

1 interesting because we had both genders represented in the
2 test population.

3 So let's break the problem down to its core
4 elements and look at the drug substance. Well, the drug
5 substance on the surface is fairly simple. Here's the
6 solubility pH profile. It has high solubility at all pH's
7 up to about pH 5 or 6, and that's the PK of the drug. Then
8 the solubility really plummets.

9 But the key point is even down here, the
10 solubility of this drug was high enough so that the dose
11 could go into solution no matter where it was in the
12 gastrointestinal tract. So there was no issue of
13 solubility.

14 We looked at the excipients and it was clear that
15 the right-limiting step in absorption was going to be in
16 vivo dissolution. That's the purpose of modified release.
17 We know the excipients were the way that the release rate
18 was controlled.

19 The mechanisms for each product was
20 different--that is, the release mechanisms--by virtue of the
21 excipients and manufacturing. And the excipient effects in
22 product A were pH-sensitive.

23 We also look at the formulation and, in
24 particular, in the dissolution, which is the rate-
25 controlling step in the bioavailability for the product.

1 Picture products A and B going into the gastrointestinal
2 tract. The first thing they hit is an acidic environment
3 within the stomach. And you can see that the dissolution of
4 product A and B--one is indicated in the white circles; the
5 other is in the blue circles, but they're superimposable.
6 So in the upper region of the gastrointestinal tract, namely
7 the stomach, no differences.

8 When you go down into the upper GI, the duodenum,
9 the early part of the jejunum, the pH changes in a fasting
10 state to 4.5 or higher. And here you can see, I think--I
11 can't see it too well from my angle but you can see that
12 there are differences in dissolution between the products.

13 Product B is rapidly dissolved in the upper GI.
14 Product A isn't. It has a slow dissolution. It sort of
15 plateaus out and then eventually it continues to be
16 dissolved. This is the excipient differences between these
17 products.

18 Now picture that dosage form or dose forms moving
19 down into the lower GI. They get into the jejunum, down
20 into the colon area. The pH now rises to 6.8 at that site
21 and you can see these products can be differentiated in
22 terms of their release at 6.8.

23 This product here, again relatively slow till it
24 gets down into that lower part of the GI and then it
25 increases. The other one has a little different profile.

1 Much of this drug is probably released in the upper GI and
2 then it plateaus out. So these clear differences in
3 formulation could be demonstrated in vitro.

4 Now the key to this case, I think, was the gender
5 differences between males and females, and I would say it
6 isn't an unequivocal situation because we have an absence of
7 some information but I think we can put together a
8 reasonable explanation for what we've observed here.

9 We focussed on the physiological variables and
10 wondered how they might interact with the dissolution
11 properties of these formulations. We realized that many of
12 the physiological variables are under genetic or
13 environmental control. They're highly variable. In the
14 literature one can find subpopulation differences in the
15 distribution of, say, gastric pH, stomach emptying.

16 Unfortunately, a lot of those papers are somewhat
17 contradictory but there are some differences and we just
18 need more information to sort them all out. But those
19 differences could be anywhere in the physiological variables
20 that I listed here.

21 What we tended to focus on though, however, were
22 variables that the data was a little bit stronger in the
23 literature in terms of gender differences and that was the
24 intestinal metabolism by CYP 3A4 and the PGP transport
25 processes, keeping in mind that this drug substance was a

1 substrate for both of those processes.

2 And here's how the analysis sort of went forward.
3 We took the CYP 3A4 and said okay, what's going on here? We
4 have large intersubject variability and substrate
5 clearances. We know that without a lot of debate. We also
6 know that intrasubject variability is less than
7 intersubject, which happens to be about 30-fold variability
8 in the population. Again that suggests that genetic factors
9 have an overriding presence.

10 And we also know that the content and expression
11 of CYP 3A4 is not only site-dependent but it's saturable, so
12 as you move down the tract, you get lower content and lower
13 activity from the duodenum on down to the ileum.

14 Well, can there be gender differences in
15 bioavailability related to this? I think the answer is yes.
16 We know for a fact that oral clearance of drugs like the one
17 I showed you, drug X, is less in females than it is in
18 males. We also know that the first pass effect for these
19 types of drugs is less in females. And we also know that
20 the bioavailability is larger in females for drugs that have
21 the characteristics of this one.

22 What we don't know specifically is what the
23 mechanism is, but one could speculate that there is less CYP
24 3A4 as one moves down the tract or perhaps less 3A4 in male
25 than females in general, or perhaps there's some gender-

1 related homeostatic mechanism that is influencing the
2 activity and content of that enzyme.

3 Now the second process in the intestinal tract is
4 intestinal PGP. We have limited data on this efflux
5 process. There are some gender differences. And what we
6 know about it is the opposite of CYP 3A4. There's not a
7 decreasing gradient but an increasing gradient in content
8 and activity as one moves from the proximal to the distal
9 gut.

10 Data show that it's fairly easily saturable.
11 Because it's saturable, one can have dose-dependent
12 effective permeabilities, so something could move from a low
13 to a high permeability status. And we also know that the
14 activity in males is greater than females for the PGP
15 transport.

16 Now given all of those facts, when you put them
17 together, this is the bottom line of the story. I call it
18 the mechanistic hypothesis of this particular example. We
19 have observed the subject-by-formulation interaction with
20 product B, and why is it? It's because that product had
21 slower dissolution at pH 4.5 in the upper GI, so a greater
22 fraction of its dose was going to be available to the lower
23 GI for absorption.

24 When it gets down into the lower regions of the
25 gut, there's faster and more complete dissolution at pH 6.8.

1 We're talking about the jejunum and ileum.

2 So we think a larger fraction of the dose of this
3 delivery system was released in the ileum than the other
4 product. And with the facts that we have about lower CYP
5 3A4 activity at that site, we know it's readily saturable.
6 We also know that females have less of a PGP efflux versus
7 males.

8 We concluded that the greater absorption with
9 product B and the higher Cmax and area under curve is a
10 function of the concentration or the greater percent of dose
11 released at the site and the longer residence time that
12 these dosage forms would enjoy within the intestinal tract
13 itself.

14 Well, that wasn't enough. We looked for some
15 supportive evidence. We looked at, for example, in this
16 study the metabolite-to-parent area under curve ratio for
17 product B. If more drug was getting past the first pass
18 effect, we'd expect a lower metabolite-to-plasma ratio in
19 terms of area under curve and indeed, we did find that in 10
20 of 13 females at a lower ratio.

21 It was consistent because only two of 12 males had
22 the lower ratio, so again it was a signal of the mechanism
23 being rational.

24 The other thing is we looked for confirmatory
25 evidence and in this case, for this product, we had a

1 multiple-dose study and we observed a similar subject-by-
2 formulation interaction in the multiple-dose study. So the
3 single-dose study wasn't an artifact. We saw the same
4 thing--higher area under curve and Cmax for product B in
5 females and, in fact, the ratios were the same, pretty much
6 the same--1.33 female to male, 1.54 female to male.

7 And then there was a body of literature that when
8 we looked at 3A4 substrates and gender effects, we
9 consistently find lower oral clearances in females for this
10 type of drug substance.

11 Well, from this exercise what we concluded is that
12 we wish we had more data in the database to understand the
13 mechanistic basis of the subject-by-formulation
14 interactions. What we'd like to do is gain experience with
15 replicate BE study designs in subject subgroups to provide
16 some data. And these types of studies, if they're designed
17 along the lines that Dr. Benet presented with the
18 appropriate subgroups, will provide us that sort of
19 evidence.

20 And then what we intend to do with that
21 information is to take a stepwise analysis similar to what I
22 just demonstrated and dissect the problem on the basis of
23 drug excipient formulation subjects factors and from that,
24 hopefully come up with information that gives insight and
25 predictability in advance to the possibility of subject-by-

1 formulation interactions.

2 I mentioned this is the effort of a small working
3 group that works in association with the IBE working group.
4 These are the members of the subworking group. They put in
5 a terrific effort, meeting every Monday at 7:00 for about
6 two hours. Thanks.

7 DR. BYRN: Questions for Larry from the committee?

8 [No response.]

9 DR. BYRN: Okay, the next speaker, Roger will
10 discuss replicate and nonreplicate datasets.

11 **REPLICATE AND NONREPLICATE DATASETS**

12 DR. WILLIAMS: This part of the presentation will
13 cover some real data, if you will, that we have within
14 agency files and that we also have from the published
15 literature that I think tries to deal with Les's statement:
16 Is this a theoretical solution to a theoretical problem?

17 And in that regard, you have also heard some other
18 statements, I think primarily from Bill Barr, as to evidence
19 in the marketplace and in the literature about subject-by-
20 formulation interactions.

21 Now the FDA has received a series of replicated
22 bioequivalence studies over the years done primarily because
23 sponsors thought they would be useful in one way or another,
24 and this is a list of those studies that indicate the drug
25 product, the drug substance, the dataset number and the type

1 of drug that is in the dataset.

2 We're going to some trouble to preserve trade
3 secret information here, so I'm not going to really talk
4 about the drug substance or the drug product. This will
5 probably be the most you see about it except for some other
6 introductory slides. And you can see down at the bottom we
7 had a very generous transmission of replicated datasets
8 that I won't say anything at all about in terms of the drug
9 substance or a drug product.

10 Now this is summary information about that
11 dataset. There are actually 31 separate drug products.
12 Thirty-four were single drug products; seven were
13 combination drug products. The study population was healthy
14 males and females. The number of subjects in the studies
15 ranged from 19 to 67 for FDA datasets and 12 to 74 in that
16 generous donation, if you will, that we received.

17 Many things were analyzed, both parent and
18 metabolites, but we focussed only on the parent drug in the
19 subsequent analyses, which I will discuss with you. The
20 reason for that is that that's what we generally recommend
21 to document bioavailability-bioequivalence, particularly
22 bioequivalence, and also because there's a confounding or if
23 you see something with a metabolite, it might be connected
24 with the observation for the parent drug.

25 And we focussed on AUC 0 to T and Cmax as our

1 bioavailability measures. And if you count, when all is
2 said and done, in terms of number of parent datasets we
3 have, the total is 55--34 in our files, 21 from the industry
4 transmission.

5 Now I'm going to show you some summary information
6 about the findings in these datasets and I'd like to show
7 you some individual cases that we think are interesting and
8 speak to the function of the aggregate criteria.

9 Now let's look at the percentage of the 55
10 datasets that showed an important, a possibly important
11 subject-by-formulation interaction.

12 Now in the criterion guidance, the advisory
13 committee will see a statement that we believe subject-by-
14 formulation interactions could be important if they're
15 greater than .15. That means roughly that 15 percent of the
16 subjects in a bioequivalence study who are above that number
17 would not be switchable, according to our current
18 understanding.

19 Now if I just show you the percentage that
20 exhibited a subject-by-formulation interaction greater than
21 .15, it's 20 percent for AUC and 33 percent for Cmax.

22 There's an adjustment going on over here at the
23 right that takes into account the within-subject variability
24 of the reference. The expert panel has pointed out to us
25 that the higher that variability it is, the more likely it

1 is to see a subject-by-formulation interaction. That was a
2 very important comment we took into account and we adjusted
3 that .15 number based on the within-subject variance of the
4 reference.

5 Now with that adjustment, and Dr. Hauck can speak
6 to that adjustment if there are any questions--Walter can
7 speak to that--you can see the numbers do drop to 13 percent
8 and 20 percent for AUC and Cmax.

9 Now that's suggesting that the evidence to say
10 that it's not just a theoretical solution to a theoretical
11 problem goes down when you adjust for within-subject
12 variance of the reference.

13 Let's look at these numbers down here. These are
14 more general statements about when is the reference greater
15 than .2 in terms of within-subject variability. And you may
16 recall from the criterion guidance that that is the point at
17 which scaling will start taking place. And you can see for
18 AUC it's 46 percent; for Cmax it's 73 percent.

19 And that suggests that frequently for Cmax we're
20 dealing with highly variable drugs, at least drugs where we
21 would suggest that you should start scaling to give the test
22 the benefit of scaling.

23 Over here on this side of the thing you've got a
24 comparison of the within-subject variance of the test and
25 reference. In about half the cases the reference is greater

1 than test and obviously test is then greater than reference
2 I about half. But for the reference-test comparison for
3 Cmax, you see it drops to 40 percent.

4 Now we did, on these datasets, compute both
5 average and individual bioequivalence using the two one-
6 sided T test approach, as well as the proposed new
7 criterion, and these are some of the numbers. Passed both
8 approaches, 78 for AUC, 62 for Cmax. Passed IBE, failed
9 ABE, 3.6, 9.1. Failed and passed, 12.7, 18.2. Failed and
10 failed, and you can read the numbers there because I can't
11 quite read them.

12 Now if you look at the seven and 10 failures for
13 AUC and Cmax for individual bioequivalence, the apparent
14 reason for the failures are listed here: subject-by-
15 formulation three and six. Within-subject variability was
16 higher for the test and there are those numbers, I believe,
17 three and one. And then it looked like the studies were
18 underpowered: one and one.

19 So that gives you some understanding as to why,
20 for either AUC or Cmax, the test failed using the proposed
21 individual bioequivalence criterion.

22 This seems a little out of order, Kimberly; could
23 you hold it back? I want to get to those graphics of--hold
24 that back, too. There are a whole bunch of slides in there
25 that show the curves. I'm sorry. Yes.

1 Now you're all experts at learning how to
2 interpret the data based on the numbers and the graphics, so
3 let me see if I can give you some examples. And these are
4 real datasets based on the replicate datasets, where we now
5 look at within-subject variance, the variance of interest
6 here, where it's a simple case for AUC, I believe. I'm
7 having trouble reading these, obviously.

8 Now you can look at the numbers up here and you
9 should be getting to be experts now in terms of scanning
10 this row of numbers but let me start with it graphically
11 because I think that's where the message is. You can see
12 here the dispersion about the mode for the bioavailability
13 measure of interest for the test is much less than it is for
14 the reference. You can also see a little bit of scaling
15 here. And the final conclusion is that it passed individual
16 bioequivalence and I believe it also passed average. If I'm
17 reading these wrong, tell me because I can't quite see them.

18 Now this is an example of the aggregate criterion
19 at work, where you have a reward for reduction in variance
20 to the test, you have a little bit of scaling and there's no
21 subject-by-formulation interaction.

22 Let's go on to this example. Here you can see
23 immediately that the variance of the test is quite large.
24 We would say that the manufacturer did not produce a good
25 product. There's no scaling and there's no subject-by-

1 formulation interaction and I believe it failed individual
2 bioequivalence and passed average.

3 Now that's a very interesting example, where we
4 would argue that the criterion is working to achieve the
5 public health objective of having less variable products,
6 whereas the average criterion does not. Let me go on.

7 This is an example of combined effects for within-
8 subject variance and mean of comparisons. You can see here
9 that the means are about 12 percent on for the test, that
10 the variance of the test is much lower. There is no
11 subject-by-formulation interaction and there is a little bit
12 of scaling. And the outcome here was fail and pass, I
13 believe. Am I reading it right, Rabby? Oh, average fails
14 and individual passes.

15 Now here's an advantage. If I were speaking to
16 the producer you could see an advantage to the producer in
17 terms of producer risk where individual bioequivalence is
18 helping--the criterion is helping you pass and probably the
19 main reason for that happening is two factors. One is a lot
20 of scaling going on. That 212 number is being driven wider
21 by the performance of the reference. From 137 to 212,
22 that's quite a drive. And you also get a reward for
23 reduction in variability.

24 Again you see the public health motivations of the
25 performance of the criterion. Let's go on.

1 Now this story is combined effects of within-
2 subject variance and scaling.

3 Oh, by the way, I might back up to that prior
4 slide. When we talk about mean variance trade-off, that's
5 an example of it. The means are a little off. Means are
6 off but you get the reward from reduction in variance. So
7 that's an example of mean variance trade-off. Let's go on.

8 The next one is combined effects of within-subject
9 variance and scaling. Here you see a lot of scaling going
10 on because your variance of the reference is quite wide.
11 It's driving your goalposts very wide. Reduction in
12 variance to the test is obvious. No subject-by-formulation
13 interaction. It passes individual and it also passes
14 average.

15 Now this is an interesting example that perhaps
16 from a consumer risk standpoint we could be concerned about.
17 There's actually quite a reduction in the mean. You can see
18 the mean of the test is about 75 percent of the reference.

19 There is a significant subject-by-formulation
20 interaction. That's the blue line. There is no reduction
21 in variance of the test relative to the reference. And
22 there is substantial scaling going on. We're looking at
23 Cmax for this drug. And you can see that it passes
24 individual but fails average.

25 Now that's an interesting example for my consumer

1 risk. If we did take into account this new criterion, we
2 would let products into the marketplace that do this.

3 Now this may be where the rubber meets the road,
4 at least in terms of a regulatory agency, but I think you
5 can see from a producer standpoint that the aggregate
6 criterion is working to allow this product into the
7 marketplace.

8 And I might say to the committee that one of to
9 questions in the series of topics for discussion will be to
10 allow the use of the aggregate criterion, to allow market
11 access. So you now know what you'll be allowing if you
12 recommend that.

13 The next one is combined effects of within-subject
14 variance and subject-by-formulation interaction for Cmax for
15 a specific drug and what you see here in terms of graphics
16 is the variance of the test is about the same as the
17 reference. There is a fairly substantial subject-by-
18 formulation interaction and there is some but not a lot of
19 scaling going on. The end result is it fails individual
20 bioequivalence and it passes, just barely, average.

21 Now this is an example where even with a subject-
22 by-formulation interaction, which we say we care about, the
23 criterion in the aggregate works to allow market access.
24 And probably that occurs by this--I'm sorry. I take it
25 back. The criterion works to impede market access, even

1 though scaling has occurred based on the variability of the
2 reference.

3 So I would argue from a public health standpoint
4 it fails there in a way that we would say is good. We are
5 trying to impede products that exhibit subject-by-
6 formulation interactions.

7 Now I believe this is my last slide in this series
8 and the advisory committee has all the graphics for all the
9 datasets in your backgrounder, so please look at them if you
10 wish over the lunchtime. You can get a sense of how the
11 criterion in the aggregate is performing.

12 This refers back to a question I believe Arthur
13 asked about downward scaling. The criterion will both scale
14 wider and scale narrower, depending on intersubject
15 variability of the reference.

16 Arthur, you made the point that you think it's
17 just luck that variability of the reference for narrow
18 therapeutic range drugs is low. We actually don't think
19 it's so much a matter of luck perhaps, but the fact that a
20 highly variable drug would have problems in the marketplace
21 if it were a narrow therapeutic range. And we can certainly
22 talk about that in the course of the discussion. It's a
23 very interesting question. And Les, of course, I think has
24 commented on that perhaps publicly in many ways.

25 Now let me just show you what's going on here.

1 The reference here did show substantially low intrasubject
2 variability of the reference. It drives the goalposts for
3 AUC down to 1.02. That's about as low as you can get. And
4 for Cmax it drives the goalpost down to 1.14. For AUC the
5 variability of test reference is about unity, so that's
6 about the same. There is no subject-by-formulation
7 interaction. And if you look at Cmax, the variability of
8 the test and reference are about the same and there is no
9 subject-by-formulation interaction.

10 So you see the effect of the aggregate criterion
11 can narrow the goalposts here for both AUC and Cmax. And it
12 passes for AUC but fails for Cmax according to the
13 individual bioequivalence criterion, whereas with average it
14 passes both.

15 Now this is a public health motivation of the
16 criterion, which is for NPR drugs, you would allow the
17 performance of the reference to drive the goalposts always,
18 and we would not allow the Epsilon term.

19 So this is truly starting, I believe, from 1.25.
20 Is that not right? Okay.

21 So you see here actually something that would make
22 it more difficult for people to get into the marketplace
23 with an NTR drug and that we would argue would provide a
24 greater assurance of switchability for these drugs that we
25 say we care about more.

1 Now I want to also now talk about a completely
2 different dataset. I'll be fairly brief about this dataset
3 because it refers to nonreplicate bioequivalence studies
4 that are in our data files. You can imagine that we see a
5 lot of these in the course of a year. This dataset was
6 compiled by staff in the Office of Generic Drugs under the
7 leadership of Dr. Patnaik.

8 Two hundred and fifty-six datasets came in in
9 1998; 90 different drug products. They were mostly healthy
10 subjects, some male and female but mostly healthy males.
11 This reflects what we're currently doing now.

12 The sample size ranged from 17 to 78 and these are
13 the test reference ratios. I'm wondering a little bit about
14 that .75, Dale. How did we let that through? But anyway,
15 you can explain that to me later on. Let's go on to the
16 results.

17 And the essence of these numbers are on this slide
18 because we have a sense that the ANOVA root mean squared
19 error is a measure of the possibility of a subject-by-
20 formulation interaction. So that if this number is greater
21 than about 1, all we can say about these datasets is that it
22 doesn't exclude the possibility of an important subject-by-
23 formulation interaction. If it's less than 1, we would
24 argue, or if it's less than maybe even about .15, we would
25 argue that the possibility of a subject-by-formulation

1 interaction is not likely.

2 Now what are we seeing here? If we take that
3 number, we would say we couldn't exclude from these datasets
4 in a fairly high proportion the possibility of a subject-by-
5 formulation interaction. And it goes down, of course, when
6 you raise the number a little bit, but still the proportion
7 is fairly substantial for both AUC and Cmax. Obviously a
8 very limited look at a dataset but it attempts to look at
9 our nonreplicated datasets in terms of whether they could
10 exclude subject-by-formulation interaction as a likelihood,
11 and these data suggest that for the most part, we couldn't.

12 This is a set of data compiled by Dr. Chen that
13 looks at PK studies, bioequivalence studies in 26 instances
14 where males and females were included in the study. In some
15 ways this is a more general look compared to the dataset
16 that Larry talked about. All different kinds of dose forms,
17 some single dose and a few multiple dose studies.

18 Now what do the data show? There was a greater
19 than 20 percent difference in the ratio of geometric means,
20 35 percent when you took into account both AUC and Cmax.
21 And if you look at datasets versus studies, that number came
22 down a little bit.

23 If you look at statistical significance, the
24 numbers go down a little bit, so this looks more at the size
25 difference, as opposed to the statistical significance

1 difference. But I think our bottom line here is that in
2 looking at these datasets, the possibility of a gender-by-
3 formulation interaction appeared to exist in a fairly
4 substantial number. And again Larry talked about a very
5 specific example of this.

6 I might mention the fact that we see both genders
7 in studies. Probably it relates to our 1993 gender
8 guideline, which encouraged inclusion of men and women in
9 studies unless there was some reason for exclusion.

10 This is a report from the literature for
11 Verapamil. Many of you know this report. It was a
12 bioequivalence study for two generic products compared to
13 the pioneer, multiple-dose study, eight young, eight
14 hypertensive elderly. The dose was 80 twice a day and the
15 data analysis was, I believe, average bioequivalence.

16 And if you look at generic 1 versus generic 2, in
17 this one you don't see any evidence of an age-by-formulation
18 interaction. In this one you clearly do between the elderly
19 and young for this particular generic product for all
20 parameters observed, fairly substantial ones.

21 All the datasets that I have shown so far were
22 numerical observations without any associated clinical
23 findings to suggest that there was a clinical impact of the
24 subject-by-formulation interaction. This is probably our
25 only example where we actually have that and it came to us

1 in 1998 for Methylphenidate.

2 We have a coordinating committee called the
3 Therapeutic Inequivalence Action Coordinating Committee that
4 was put in place after Hatch-Waxman to receive reports of
5 therapeutic failure in the marketplace and we began to see
6 reports for the test product here that it was causing
7 trouble in the marketplace. We actually pulled the product
8 out of the marketplace and compared it with the pioneer and
9 what we saw in vivo was more variability than the test, more
10 rapid absorption. It was bioequivalence based on average,
11 but dissolution suggested that it was significantly faster
12 for the test.

13 Now we actually did do a replicate study on this
14 particular formulation, working with Dr. Myer in Tennessee.
15 Let me see if I can read it from here. I just can't read it
16 very well.

17 Again you should be used to looking at these
18 numbers. If you look at the test relative to the reference
19 for Cmax you'll see a substantial increase in the variance.
20 So we see an example where the test product is more
21 variable. There is a little bit of a subject-by-formulation
22 interaction in Cmax, .143. The product failed for Cmax when
23 you used individual bioequivalence. For AUC, slightly
24 different results; the variance was increased a little bit
25 but less so. There was no subject-by-formulation

1 interaction and it did pass according to individual
2 bioequivalence. And recall I mentioned that it did pass
3 average bioequivalence.

4 So we believe this is an example from the
5 marketplace where there were clinical correlates to the
6 observation and the new criteria would have failed that for
7 Cmax and passed it for AUC, whereas the average would have
8 passed both.

9 This is the dataset that Larry showed. We think
10 it's a very interesting dataset and I think Larry's team has
11 done a terrific job of analyzing the dataset. What I would
12 argue is that in females they actually showed
13 bioinequivalence, and the reason we were able to document
14 this is because of the '93 gender guideline that encouraged
15 the inclusion of women in bioequivalence studies.

16 Now if I summarize all the evidence to date, I
17 would say it looks something like this. Replicate study
18 designs. Those are the numbers where we think there's
19 subject-by-formulation interaction. And I would argue I
20 have to recall that these data were performed in healthy
21 subjects. Our supposition is that if you did it in patients
22 or people more representative of the general population,
23 these numbers would go up because you will not see a
24 subject-by-formulation interaction in healthies, or at least
25 you'll tend not to see it.

1 Nonreplicate study designs. Those are the numbers
2 I alluded to. Gender-by-formulation interactions for both
3 AUC and Cmax, 35 percent, and there was the one very
4 dramatic example for calcium-channel blockers.

5 Miscellaneous studies, we have Verapamil and
6 Methylphenidate. Mechanistic studies, we have the FDA study
7 in progress and you heard Larry and Ajaz allude to that
8 dataset with sorbitol and sucrose.

9 And I believe that's my last overhead. Steve,
10 thank you.

11 DR. BYRN: Questions for Roger? Questions for
12 clarification? Arthur?

13 DR. GOLDBERG: Roger, on the Methylphenidate where
14 the Cmax ratio is 1.48, that would have passed average BE,
15 with a ratio of Cmax of 1.48?

16 DR. WILLIAMS: It wasn't 1.48, was it, Arthur?

17 DR. GOLDBERG: I thought it was.

18 DR. WILLIAMS: No, that was the variability
19 comparison. That wasn't the comparison of means. Can
20 somebody read the comparison of means? Oh, I didn't give it
21 to you?

22 I'm sorry, Arthur, we didn't give it but I think
23 they were within plus or minus 20 percent. And they had to
24 be to pass average.

25 DR. BYRN: Other questions?

1 [No response.]

2 DR. BYRN: I think we'll go ahead because we have
3 a full agenda, so we'll go ahead with Vinod Shah's
4 presentation on the general BA/BE guidance for orally
5 administered drugs.

6 **GENERAL BA/BE GUIDANCE ORALLY ADMINISTERED DRUGS**

7 DR. SHAH: Thank you, Steve, and good afternoon
8 everyone. I was warned in the morning before I just came in
9 that my presentation should be ending before noon but I
10 guess I'm starting, so I'll try to go very fast.

11 As you know, everyone has been talking about
12 individual bioequivalence, the replicate study designs and
13 all that, but how can they put that into practice? There
14 has to be a way. There has to be a mechanism. So these
15 studies at least could be requested from the sponsors, and
16 that's done by using our general guidance for the industry,
17 which is for the bioavailability and bioequivalence studies
18 for orally administered drug products, the general
19 considerations.

20 This guidance has been posted on the Internet on
21 August 27 and the notice of availability was made available
22 in the Federal Register in September. So the guidance is
23 now out on the Internet as a draft guidance.

24 This slides provides an overview of all the
25 contents and the table of contents in the guidance. It

1 starts talking about the background information, the general
2 bioavailability-bioequivalence, methods to document
3 bioavailability-bioequivalence, comparison of the studies
4 and the different types of the dosage forms and the special
5 topics.

6 Dr. Williams showed a slide in the morning and
7 indicated that we always need to ask three major questions,
8 which is again attributed to Professor Sheiner. I think the
9 guidance also focusses more or less in the same manner,
10 asking what is the question and the question is with respect
11 to the bioavailability and the bioequivalence, what are we
12 willing to rely upon, and that is being addressed in the
13 methods to document bioavailability-bioequivalence and the
14 pharmacokinetic studies and different types of the individual
15 studies.

16 And how confident we need to be, that is addressed
17 in the measures in bioequivalence studies, which talks about
18 the bioequivalence limits, intervals and confidence.

19 This guidance is intended to provide a how-to
20 information for the bioavailability and the bioequivalence
21 studies to meet the requirements set forth in 21 CFR. It
22 also discusses the biopharmaceutics aspects of the drug
23 product quality; that is, the release of the drug substance
24 from the drug product into the systemic circulation.

25 The guidance also provides the choice of the

1 criteria for analysis, which includes the average,
2 individual and population bioequivalence. And it uses the
3 concepts of early, peak and total exposure in the evaluation
4 criteria.

5 With respect to the replicate study designs, the
6 guidance indicates that the replicate study designs are
7 recommended for pivotal bioequivalence studies for a two-
8 year period using the pharmacokinetic measures.

9 These are the cases where we do not recommend you
10 replicate study design; namely, for the products which
11 contain the drugs with the long half-life, long half-life
12 meaning greater than 96 hours; in case where a steady-state
13 study is needed; and also in case where the excessive blood
14 samples are drawn and that may have a safety hazard.

15 Therefore in these three cases we do not recommend
16 the use of replicate study design but in all the other cases
17 where a pivotal bioequivalence study is used, it is
18 recommended that a two-year study period would be involved
19 after the guidance is finalized.

20 The bioequivalence criteria just explain how
21 exactly what we mean by the study, the replicate study
22 design, whether it's going to be an additional burden or
23 what. I'll just give an example here, that when you use the
24 individual bioequivalence criteria using the replicate study
25 design, we are recommending to use 2 times 2 times 12

1 subjects, totalling 48 treatments. With the average
2 bioequivalence right now you are using 2 times 24, which
3 again ends up in totalling 48 treatments.

4 So again this gives an indication and shows that
5 no additional burden is encountered when you undertake this
6 particular study. Again this assumes that there is no
7 subject-by-formulation interaction. And as it was discussed
8 earlier by Dr. Williams and Dr. Chen, you can use this study
9 and power it to calculate for the average bioequivalence.

10 Also the intent of our guidance is to reduce the
11 regulatory burden while maintaining sound scientific
12 principles, which is consistent with the public health
13 policy objectives.

14 Just to give you some examples as to where we are
15 reducing the regulatory burden or reducing the regulatory
16 requirements are the biowaivers for the lower strengths of
17 the modified release dosage forms. Modified release means
18 either the delayed release dosage forms or the extended
19 release dosage forms. Until now or at present, we are
20 requiring a bioequivalence study for each and every strength
21 of the modified release dosage form but this guidance
22 suggests there is no need to do that. You can just do the
23 higher strength bioequivalence study in a replicate design,
24 and that should be enough.

25 And this is in addition to the biowaivers, which

1 we already grant for the lower strengths of the immediate
2 release products, as well as the extended release beaded
3 capsules.

4 As you heard earlier from Professor Benet, we are
5 also suggesting in this guidance the elimination of the
6 multiple dose bioequivalence studies for the modified
7 release dosage forms. Again this seems to be consistent
8 with the opinion of the expert panel.

9 We are also suggesting a biowaiver for a higher
10 strength of the immediate release dosage forms and also the
11 reduced emphasis on measurements of the metabolites in the
12 bioequivalence studies.

13 And I think this concludes my brief overview of
14 the general BA-BE guidance. Thank you.

15 DR. BYRN: Questions for Vinod?

16 [No response.]

17 DR. BYRN: Okay, why don't we take a lunch break
18 until 1:15. So we'll reassemble at 1:15 for the open public
19 hearing.

20 [Whereupon, at 12:15 p.m., the meeting adjourned
21 for lunch, to reconvene at 1:15 p.m. the same day.]

1 A F T E R N O O N S E S S I O N

2 [1:30 p.m.]

3 DR. BYRN: Okay, I think we can start. I
4 apologize for the late return of some of the committee
5 members.

6 **OPEN PUBLIC HEARING**

7 DR. BYRN: We have, as you have on your agenda, we
8 have a list of presenters. Each presenter will be allowed
9 10 minutes and the first speaker is Dr. Steve Schachter from
10 the Epilepsy Foundation.

11 DR. SCHACHTER: Thank you very much, Mr. Chairman,
12 and good afternoon, distinguished committee members, ladies
13 and gentlemen.

14 I would like to first briefly introduce myself.
15 My name is Steven Schachter and I'm here today on behalf of
16 the Epilepsy Foundation. I also serve on their board of
17 directors and am the chairman of their professional advisory
18 board. I'm a neurologist who specializes in epilepsy in
19 Boston at the Beth-Israel Deaconess Medical Center and am an
20 associate professor of neurology at the Harvard Medical
21 School.

22 But above all, today I'm here as an advocate for
23 my own patients with epilepsy, approximately 1,500 who are
24 currently under my care.

25 In addition to these perspectives, I've also been

1 the principal investigator on over 60 trials of new anti-
2 epileptic drugs and devices and have long admired and
3 supported the FDA and their advisory boards for their roles
4 in regulating the testing, approval and use of seizure
5 therapies.

6 For the next eight or nine minutes I would like to
7 focus on a subgroup of patients with epilepsy whose health
8 and well-being are dependent to a great extent on their
9 seizure medications and for whom relatively minor
10 fluctuations in serum concentrations could have devastating
11 social as well as medical consequences.

12 For these particular patients, it is critical that
13 we distinguish the difference between bioequivalence and
14 clinical equivalence with regard to the medications and
15 generic counterparts.

16 First, a very brief overview of epilepsy. This is
17 a condition that affects over 2 million people in the United
18 States. Approximately 180,000 people develop epilepsy each
19 year and by the age of 75 the prevalence is 3 percent.

20 The foundation recently determined that the
21 estimated annual cost of epilepsy is \$12.5 billion. Of this
22 figure, only 14 percent is from direct medical costs, such
23 as the cost of medication. The balance, over \$10 billion,
24 are indirect costs that are due in part to things such as
25 seizures, medication side effects and lost productivity.

1 A single seizure can have serious ramifications on
2 employment, driving privileges, social interactions. It can
3 also result in serious injury from broken bones to burns to
4 even death.

5 Now not all people with epilepsy are the same.
6 For the fortunate majority, seizure control is easy to
7 obtain and for this group, varying serum concentrations of
8 seizure drugs would have relatively little effect on their
9 seizure frequency.

10 However, there is another group of patients,
11 relatively small compared to the first group, for whom
12 seizure control and avoidance of side effects occurs within
13 a much narrower range of serum concentrations. And in my
14 opinion, the range that their blood levels must be
15 maintained is narrower than the range defined as
16 bioequivalent. This characteristic is typical for the
17 patients I see in my epilepsy referral practice in Boston
18 and these are the patients that generate the anecdotal
19 reports of seizure breakthrough or side effects that appear
20 in the literature and that we recognize as clinicians on a
21 day-to-day basis.

22 The Epilepsy Foundation has taken the position
23 that prior expressed permission of the treating physician
24 and the patient be obtained before one formulation of an
25 anti-seizure medication is switched to another. I would

1 like to emphasize that as an organization and personally, we
2 are neither pro-brand nor pro-generic; we are pro-choice.

3 The foundation's view, however, is often at odds
4 with those of insurance companies, formulary committees and
5 state legislative bodies. These groups often make the
6 assumption that the FDA's definition of bioequivalence means
7 that two bioequivalent drugs are clinically equivalent; that
8 is, completely interchangeable without any clinical
9 consequence for any and every patient.

10 As you know, there are many different seizure
11 medications. The three frontline medications--that is,
12 carbamazepine, phenytoin and valproic acid--are available
13 both as brand name and as generics, and each is classified
14 as a narrow therapeutic index drug.

15 I would like to focus on the potential economic
16 impact of therapeutic nonequivalence for just a moment.
17 These costs may outweigh the potential savings and costs
18 from generic substitutions. I would like to give you a
19 real-life example from a patient we saw several months ago.
20 He had been seizure-free for years on brand name phenytoin;
21 that is, Dilantin, and with the availability of the Milan
22 version generic, he was switched by his pharmacist from the
23 brand name to the generic without notifying either the
24 patient or the prescribing physician.

25 Within a couple of days, the patient was admitted

1 to the hospital with life-threatening seizures and the total
2 bill for his hospitalization was nearly \$4,000.

3 Now the monthly difference in cost between the
4 brand and the generic, according to the pharmacy, was \$4.
5 So in other words, it would take over 83 years to recoup the
6 cost of the hospitalization with the less expensive product.
7 Or put another way, a savings of \$4 in direct cost was
8 offset by over \$4,000 in indirect costs in this particular
9 case.

10 Now how frequently does this happen? Admittedly
11 we don't have well controlled scientific studies. This is
12 one of the problems in this area. But anecdotally, it
13 appears to happen quite often. In fact, a survey conducted
14 by the professional advisory board of our Epilepsy
15 Foundation documented the frequency with which this occurs.
16 I presented those results to the FDA's Office of Generic
17 Drugs earlier this year.

18 In summary, the foundation, like the FDA, is
19 committed to enhancing patient safety, avoiding unnecessary
20 medical and social costs, and increasing the safe and
21 effective utilization of generic medications. To this end,
22 I strongly recommend that the committee members urge the FDA
23 to promote scientifically conducted studies to investigate
24 whether there are patients with epilepsy for whom
25 bioequivalence does not necessarily translate to clinical

1 equivalence. The results of such well controlled
2 investigations will be most helpful in shaping future
3 policies on the interchangeability of anti-convulsants and
4 their generic counterparts. Thank you.

5 DR. BYRN: Questions for clarification?

6 [No response.]

7 DR. BYRN: Thank you very much.

8 The next speaker is Nevine Zariffa representing
9 PhRMA, Smith Kline Beecham. I apologize for my
10 pronunciations ahead of time.

11 MS. ZARIFFA: Good afternoon. My name is Nevine
12 Zariffa and I'm here speaking on behalf of PhRMA. The title
13 slide just indicates that Smith Kline Beecham actually pays
14 my paycheck.

15 On behalf of PhRMA, we do appreciate the
16 opportunity and the invitation that Roger issued to us to
17 come and address the advisory committee.

18 In terms of an outline, I want to tell you a
19 little bit about the PhRMA expert panel, its formation and
20 mission, its membership, tell you about the position paper
21 that we have crafted at PhRMA and we'll go through the
22 objectives of that paper, as well as very briefly the
23 approval process and then, of course, spend the bulk of the
24 time on the PhRMA recommendations, which are both specific
25 as well as general.

1 You've already heard from Les about the Blue
2 Ribbon Expert Panel founded in 1998. Now on the Blue Ribbon
3 Panel you have three separate reps from PhRMA--one from the
4 biostats area--that's myself--one from clinical
5 pharmacology, one from drug metabolism, and we were all
6 involved with Les's panel. And it's fair to say that there
7 was certainly at least one occasion where we offered
8 disparate views.

9 So in order to rectify that, the PhRMA expert
10 panel comprising representatives from the relevant
11 subsections was formed a little later on in January '99 and
12 our mission really was to derive the PhRMA consensus view on
13 the FDA guidance of December '97, investigate alternatives
14 to the proposed methods, draft an expert report for PhRMA
15 that would outline our consensus view. And, of course, one
16 thing missing here is to put it forward for public
17 dissemination, which is part of what we're doing today.

18 You can see roughly 12 people on the panel from
19 nine different PhRMA member companies.

20 In terms of the position paper itself, it's split
21 out into four sections. The first is a review of average
22 bioequivalence, its properties and limitations. Then we go
23 into an expose, if you will, of the proposed population and
24 individual bioequivalence criteria from FDA, along with its
25 properties and limitations. We go through point by point

1 for each limitation that we raise and we offer some
2 recommendation as to how this might be further studied. And
3 then we do have a section on general recommendations.

4 I'll slip the first three bullets. I'm sure you
5 can read those for yourself. The last two bullets I'll draw
6 your attention to.

7 The manuscript was cleared through PhRMA itself on
8 August 26 and it was accepted for publication in the Journal
9 of Clinical Pharmacology on the 27th of August.

10 Now you can see there's a slight issue here we
11 some dates because on the 27th of August the FDA issued its
12 updated revised draft guidance. So PhRMA will be issuing an
13 addendum to comment on some of the other points that have
14 been raised in the newer version of the draft guidance.

15 I'm going to skip the section on the properties of
16 average bioequivalence and its limitations. I think other
17 speakers have done that and it's probably not a good use of
18 time. I'm going to go right into the properties of the
19 proposed population and individual bioequivalence criteria.

20 The first point that PhRMA would make is that the
21 clinical relevance of a subject-by-formulation interaction
22 has not been demonstrated. And to date, no association
23 between clinical failure and subject-by-formulation
24 interaction has been demonstrated.

25 Now we've heard this from other speakers but let

1 me reiterate. A consequence of the aggregate criteria is
2 that there are a number of numerical trade-offs that can
3 occur between the various terms. The allowable difference
4 between test and reference means in particular is very
5 sensitive to differences between variances, permitting large
6 rewards or penalty, and these differences between variances
7 are likely because estimates of variances in this type of
8 trial can tend to be quite variable.

9 Now a simple observation. The proposed criteria
10 does not mandate hierarchical testing. We don't first look
11 at means, then variances, then interaction in terms of Sigma
12 squared D. So we don't have any kind of natural nesting
13 order of individual bioequivalence demonstrating population,
14 in turn demonstrating average.

15 Another point to be made, while IBE seeks to
16 ensure switchability between test and reference products, it
17 does nothing to ensure switchability between two test
18 products--generic to generic switching--which is, in fact,
19 expected to occur in practice.

20 And last, PhRMA would like to point out that the
21 lack of global harmonization on the subject of
22 bioequivalence for at least a transition period would place
23 burden on sponsors and regulators involved in worldwide
24 submissions.

25 So let me make a few general comments on behalf of

1 PhRMA and then I'll go back to each of these points and
2 outline what our recommendations are in terms of studying
3 them.

4 We believe at PhRMA that the new criteria should
5 be transparent to regulators, prescribing physicians,
6 pharmacists and patients and provide a demonstrable
7 improvement over the current criteria either in terms of the
8 overall performance or simply in the handling of extreme
9 cases, such as narrow therapeutic index drugs or drugs with
10 high variability. And in PhRMA's opinion, the proposed
11 criteria for assessing population and individual
12 bioequivalence do not represent a significant improvement,
13 at least in any demonstrable clinical or public health
14 sense.

15 Another general comment. Population and
16 individual bioequivalence do address some of the limitations
17 of average bioequivalence but also introduce new limitations
18 which could, in turn, present undesirable characteristics
19 beyond those observed with average bioequivalence.

20 Going back to the specific points that we raised
21 in terms of the limitations of the proposal for population
22 and individual bioequivalence, the clinical relevance of
23 Sigma squared D and its use as a surrogate for switchability
24 could be studied by a targeted clinical pharmacology trial
25 constructed to provide the best evidence of Sigma squared D.

1 And then in terms of what had been at least at one
2 point statistical issues in the estimation procedures, these
3 could obviously be studied through the use of simulation
4 techniques.

5 The trade-offs between parameters, the scaling and
6 this maximum allowable difference could all be addressed
7 through the use of an ordered testing procedure where you
8 would look, say, at means first, then variances, then
9 something to look at switchability.

10 Now the quantification of the generic-to-generic
11 switching paradigm certainly can be addressed through
12 suitable simulation studies and this has already been done
13 and published for average bioequivalence, so we could do the
14 same under individual bioequivalence. FDA and PhRMA should
15 continue to engage in dialogue with other regulatory
16 agencies and solicit their involvement in any proposed
17 change to deal with this worldwide harmonization.

18 Now going back to some of the general points that
19 have been batted around at least for the past few years or
20 so, PhRMA believes that while the population and individual
21 bioequivalence criteria proposed by the FDA carried a number
22 of statistical flaws, we believe that these are minor in
23 comparison to other issues outlined above and certainly
24 would be resolved through focus effort and research and I
25 think we've seen that. That was me speaking, not PhRMA.

1 PhRMA proposes that the current standard of
2 average bioequivalence should continue as the basis for
3 market access until another method is scientifically
4 demonstrated to better serve the public interest.

5 PhRMA believes that the trial or phase-in period
6 should be replaced by simulation studies. In our view, the
7 regulatory guidance should reflect a set of current
8 practices and not a set of proposed studies to validate the
9 guidance itself.

10 Now moving on to something a bit more concrete
11 that hasn't been discussed yet today, PhRMA proposes that
12 there may be other, more effective ways of addressing the
13 public health concerns without the burdens of the complexity
14 design and analysis of the proposed criteria, and one such
15 methodology is going to be described by our next speaker,
16 Dr. Larry Gould.

17 We propose that an evaluation of Gould's method
18 and the FDA proposed criteria be undertaken and we would
19 work with FDA to identify the standards of evaluation, which
20 is, of course, a key point.

21 I'd like to make a separate comment on scaling.
22 The concept of scaling is appealing and PhRMA is committed
23 to exploring the applicability in performance of any method
24 utilizing it.

25 And last I leave you with this point. Examining

1 the performance of the proposed population and individual
2 criteria and its alternative is something that PhRMA and FDA
3 certainly can and should do cooperatively.

4 Thank you for your attention.

5 DR. BYRN: Questions for clarification?

6 DR. GOLDBERG: A fast question. Is JCP a reviewed
7 journal?

8 MS. ZARIFFA: Yes, it is.

9 DR. GOLDBERG: And it was submitted by PhRMA on
10 the 26th of August and accepted for publication on the 27th
11 of August? Did I get those dates right?

12 MS. ZARIFFA: No. Actually, I skipped the first
13 three bullets. We submitted the draft manuscript earlier in
14 the month of August, and it did get expedited, review-
15 through.

16 DR. BYRN: Okay, the next speaker is Dr. Lawrence
17 Gould, senior director from Merck.

18 DR. GOULD: While the transparencies are getting
19 ready to be projected I should like to thank the committee
20 for the opportunity to address them and present a few
21 comments on an alternative approach to assessing individual
22 and population bioequivalence.

23 If one backs off a bit and considers carefully
24 what bioavailabilities are involved, what the statistical
25 issues are in the evaluation of bioavailability, it seems to

1 me you have to consider the fact that you're getting a
2 measurement on each of two formulations from each subject,
3 and these two observations are not independent. For one
4 thing, they're made on the same subject.

5 So it's handy, I think, to consider this in a
6 number of ways. This sort of picture of the joint
7 distribution is handy for expressing a number of concepts.
8 One of them is that there are different kinds of
9 bioequivalence and the average bioequivalence simply means
10 that the centers of the two distributions on the test and
11 reference line up.

12 Population bioequivalence would mean that the
13 distributions essentially superimpose one over the other,
14 and individual bioequivalence means that large differences
15 between the subjects' responses to the formulations are
16 unlikely and certain repeated exposure of the subject to the
17 formulations would be unlikely, would imply that the
18 formulations are switchable.

19 Now the correlation that is involved here is the
20 correlation between the subjects' effects, the true effect
21 of the subject, to the test and reference formulations. If
22 the responses are not highly correlated with each other then
23 you have what amounts to high subject-by-formulation
24 interaction. Knowing what a subject's true response is to
25 the reference doesn't tell you very much about what his true

1 response is to the test.

2 If you have high correlation--this was low
3 correlation--if you have high correlation, one predicts the
4 other fairly well.

5 Now here are some scenarios that one might
6 encounter. This is the ideal one right here. The reference
7 and test distributions just about line up. And I'll talk
8 for the moment about population bioequivalence, although
9 individual bioequivalence is the key issue, but the
10 scenarios are important.

11 In this particular situation you have two
12 distributions. They're not even average bioequivalent.
13 Their means are very widely spaced.

14 Here is situation that I find kind of problematic
15 because here's the reference, which presumably has done all
16 of the work in establishing efficacy and safety; here we now
17 come with a test. Now I realize that this is an
18 exaggeration of some of the material that's been presented
19 earlier but the exaggeration is here to make a point.

20 The test here has a mean fairly far displaced from
21 the mean for the reference but it is so tight in terms of
22 its bioavailability, so little variability, that it would
23 succeed in terms of, let us say, an aggregate criterion. So
24 one might say very well, we could say the first test
25 certainly was a population bioequivalent to the reference.

1 But now the point was we might say well, if that's
2 the case, perhaps we ought to consider this test
3 formulation, this new test as the reference because that has
4 got good bioavailability, very tight, very predictable
5 bioavailability. Now you would find that you really
6 couldn't say that the reference was bioavailable,
7 prescribable relative to the test. It wasn't bioequivalent.

8 You could, of course, keep your reference, and now
9 maybe another test comes along and it's just as nice in
10 terms of its spread properties as the first but it's
11 displaced to the other side. Now again you would, by the
12 usual criteria, decide well, this is certainly population
13 bioequivalent to the reference and we can go ahead and
14 market it.

15 However, it certainly is not population
16 bioequivalent to these, to the first test. So one might say
17 that this is probably, from the standpoint of
18 prescribability, a highly questionable situation. And if
19 this applied, as well, to the total observations you got on
20 each subject, which would include the within-patient
21 variability, within-subject variability, you might wonder
22 whether that would be safe to switch these two test
23 formulations. This could be two generic formulations that
24 have been evaluated relative to a given reference.

25 So it would seem in principle--this is not a law

1 of nature--it seems reasonable to suppose that one might
2 like to avoid asymmetric decision scenarios of this kind.

3 Now I want to point out here--I'll go through this
4 one fairly quickly; you've seen this before--I'm going to
5 start with the same standard random effects model that's
6 used in the guidance. There's no difference. It's the same
7 assumptions. I'm not simplifying anything. I'm not making
8 anything more complicated. It's exactly the same.

9 The subject-by-formulation interaction here, Sigma
10 squared D, is simply the variance between the effects of a
11 particular subject to T and R, irrespective of measurement
12 error, and that is this business right here. This is not an
13 exercise in algebra. I know it looks like it is.

14 Now the FDA, again by review, the FDA population
15 and individual bioequivalence criteria are based roughly on
16 expectations of squares of the test minus reference
17 bioavailability differences. It's a little more complicated
18 than that but that's basically the principle.

19 And as a consequence of this, which is, by the
20 way, a perfectly reasonable way to start out; there's
21 nothing wrong with it, you combine the mean bioavailability
22 difference in the variance components and you get these
23 particular expressions for population, individual and
24 average bioequivalence as criteria. I've written these in a
25 slightly different way than the FDA has because I thought

1 perhaps it might be a little bit simpler and it certainly
2 takes up less real estate on the slide. Lambda is a
3 constant or scaling factor which could be either a constant
4 or the within-subject variability.

5 Now this particular approach to evaluating
6 individual bioequivalence certainly requires three-or four-
7 period designs.

8 This does, however, raise some issues. The
9 question is is this a justifiable regulatory burden? Is it
10 necessary to be quite so precise for most drugs? I'm
11 haunted by Dr. Gretter's comments this morning that
12 differences in compliance or lack thereof probably has far
13 more significant an impact on what one sees in terms of
14 bioavailability of a drug for a patient than variability of
15 absorption or metabolism.

16 Prescribability and switchability are intuitively
17 sensible in principle but there's no published evidence of
18 clinical problems from substituting formulations that are
19 truly average but not population or individual
20 bioequivalent. And I know this to be true because I went
21 and I looked very hard for these in the literature in
22 Medline over the past 20 years and found none.

23 The point here, the big take-away message is that
24 the FDA criteria are an approach to evaluating individual
25 bioequivalence--perfectly reasonable approach. But the key

1 point is it's not the only approach that one might take for
2 this purpose.

3 Now as an alternative approach one might require
4 as a principle that if you have individual
5 bioequivalence--if you're switchable, you ought to be
6 prescribable. So individual population ought to imply
7 population bioequivalence. And if you're prescribable, it
8 ought to be, on average, bioequivalent. So you would avoid
9 scenarios of this sort where you had situations where you
10 could demonstrate individual bioequivalence but not average
11 bioequivalence.

12 This is a matter of a principle that one imposes
13 on the picture. It's not an essential feature of it but it
14 does seem to be reasonable to require this.

15 Back to the picture. If one looks at this picture
16 from a statistical point of view, it turns out, and I will
17 spare you the grubby mathematical details, that individual
18 and population bioequivalence can be evaluated using
19 standard regression and correlation calculations on data
20 from 2 by 2 cross-over designs. The statistical properties
21 of these estimators are well known in the normal case, and
22 nonparametric and robust analogs exist. In effect, not only
23 do the methods work in the normal case but they're actually
24 flexible and fairly robust. Variations exist that are
25 flexible and fairly robust.

1 Now just to walk through what's involved with the
2 calculations, you simply take the sum of each observations
3 on the test, sum of subjects' observations on the reference.
4 And I say the sum of this because you, in fact, could apply
5 this to four-period design, so it's not restricted to the
6 application of two-period designs only.

7 The correlation between the observations on test
8 and reference provide an intuitive measure of individual
9 bioequivalence.

10 Now what this sample correlation coefficient
11 consistently estimates is the true correlation between the
12 true effects of test and reference of the reference
13 formulations for the subject, but attenuated possibly by a
14 factor that depends upon the relative variability.

15 What this really means is that if you have a large
16 within-subject variability--in other words, if you were to
17 administer reference formulations with subject and then
18 administer it again and then again, you would find a very
19 large degree of variability. Then, in a sense, you ought to
20 be penalized for trying to determine those individual
21 bioequivalents because if the observations you made on the
22 reference formulation didn't really well predict the
23 subsequent observations on the reference, it's not entirely
24 clear to me what individual bioequivalence means in that
25 context. And, in fact, this is related to the subject-by-

1 formulation interaction because here is the Sigma squared D,
2 here is the row. It's just that we have something a little
3 more complicated.

4 I'm going to do this quickly. Basically the slope
5 of the regression of the sum of the measurements on the
6 difference consistently estimates the difference between the
7 variances. That's essentially what population
8 bioequivalence is about. And, in fact, having applied this
9 through a number of circumstances, the conclusions appear to
10 be close in most cases to the FDA method.

11 Key points. Population and individual
12 bioequivalence are intuitively appealing concepts, although
13 there doesn't seem to be any evidence that these are needed
14 for the evaluation of most drugs. They can be evaluated in
15 various ways. That's really the key point.

16 The guidance proposal has some statistical appeal
17 but there are some issues that need to be resolved. And, in
18 fact, the bottom line is it is possible to assess population
19 and individual bioequivalence for all practical purposes
20 using data from conventional 2 by 2 cross-overs with giving
21 results that, at least in the applications that I've tested
22 them with, are consistent with the findings from the
23 guidance. Thank you very much.

24 DR. BYRN: Questions?

25 [No response.]

1 DR. BYRN: Okay, thanks very much.

2 The next speaker is Dr. Michael Spino from Apotex.

3 DR. SPINO: Thank you, Mr. Chairman, and thank
4 you, Dr. Williams, for suggesting that we present to the
5 advisory committee. I'm doing this as chairman of the
6 Scientific Advisory Committee for the International Generic
7 Pharmaceutical Alliance.

8 You have a hand-out of this presentation and it's
9 a little bit different than what I'm presenting right now
10 because I've deleted some slides and I've added some
11 elements from this morning's discussion to more directly
12 address some of the issues that were raised.

13 IGPA is comprised of generic associations in
14 Europe, the U.S. and Canada, and the conclusions of this
15 position paper were presented at the meeting three weeks ago
16 in Montreal, the IBE workshop.

17 The first conclusion that we arrived at was that
18 the scientific and clinical basis for implementing a new
19 system for the regulatory assessment of bioequivalence,
20 employing the approach of individual and population
21 bioequivalence, has not been demonstrated.

22 The view that a subject-by-formulation
23 interaction, that these are substantial and they're
24 prevalent and constitute a regulatory concern is not
25 supported by published scientific data. I feel like I'm in

1 an echo chamber here, restating what has been stated
2 repeatedly.

3 But the Levothyroxine issue from this morning, I
4 submit, is not a convincing piece of evidence regarding
5 subject-by-formulation interactions. Rather, the way I read
6 the data it's more convincing to me that we have a highly
7 variable dissolution of the brand product, resulting in
8 variable influence on the thyroid-stimulating hormone, not a
9 subject-by-formulation interaction. The blood levels of the
10 drugs were constant.

11 Based on the similarity and the release
12 characteristics for the majority of products, and that is
13 immediate release products, that demonstrate average
14 bioequivalence, there's little scientific rationale to
15 expect important subject-by-formulation interactions for
16 studies conducted under conditions of average
17 bioequivalence.

18 This is not to say that there are no conditions
19 where you cannot demonstrate that various factors alter the
20 absorption of drugs; they do. But in those conditions where
21 you have average bioequivalence and you do not have a
22 modified release dosage form, there isn't even a scientific
23 rationale, in my opinion, to consider the existence of such
24 an interaction.

25 The modified release products and the data in

1 particular, presented, I thought, very elegantly by Dr.
2 Lesko this morning, merit further exploration. But recall
3 this was an immediate release--this was not an immediate
4 release; it was a modified release product under special
5 conditions in which they found some sort of group effect. I
6 think it merits further exploration. In a study's period,
7 to explore in greater depth such a potential phenomenon, I
8 believe, is worthwhile and it's consistent with what the
9 expert panel suggested.

10 And I think this is particularly important
11 because, in fact, I presented to the last advisory committee
12 meeting here some data that we had published a few years ago
13 in which we did a comparison of Verapamil sustained release,
14 modified release preparation in which there were 18
15 subjects. Nine subjects were tested twice on the brand and
16 once on the generic and nine the other way around.

17 And what we found was that if you just took the
18 sustained release Verapamil product and did a comparison for
19 bioequivalence of itself on the two separate days, the
20 product failed. In fact, it failed quite miserably as the
21 mean difference for the AUC is about 25 percent, something
22 in that neighborhood.

23 Now here is one example and there were
24 several--here is one example of a subject given that
25 reference product on two different occasions, and that's a

1 serum concentration time profile. What we heard from the
2 group this morning, that would be a subject-by-formulation
3 interaction. Well, it's the same product out of the same
4 bottle on two different occasions.

5 The current understanding suggests to the IGPA
6 that an observed Sigma D greater than .15 might not
7 represent a true subject-by-formulation interaction. And
8 since there are many factors, and I was pleased to see Dr.
9 Williams comment that the variability of the reference
10 product seems to be correlated with the detection or the
11 potential observation of subject-by-formulation
12 interactions, there may be others, as well, and we need to
13 explore this further.

14 If there were true subject-by-formulation
15 interactions detected under the conditions of average
16 bioequivalence, we have no idea of how large these would
17 need to be to have any clinical significance whatsoever.
18 Therefore whatever that number is, whatever that number
19 greater than .15 is, we don't know what it would be and we
20 don't know what the clinical relevance would be.

21 Newly proposed modifications of the methodology,
22 such as have come out in the second iteration of the draft,
23 need to be assessed by scientists in academic and industry
24 before their possible adoption. And I say this because the
25 original wave was found to have a number of matters that

1 needed to be addressed and I submit to you that probably the
2 current iteration also needs some substantive tuning before
3 any implementation could go into place.

4 It is unnecessary to perform replicate design
5 studies with drugs exhibiting low residual variation in two-
6 period investigations of bioequivalence, and this was
7 addressed earlier.

8 An interim experimental period for regulatory
9 submissions requiring a replicate design for all
10 bioequivalence studies is unwarranted, in the opinion of
11 IGPA, based on the current level of evidence. Such a
12 directive would be disruptive to the industry and add a
13 further financial burden and time delay that would not be
14 offset by benefit of possible discoveries.

15 And I want to reiterate this is not an issue of a
16 concern of finances. If the scientific merit and the
17 clinical merit are there, we would support it. We believe
18 they are not.

19 However, an interim experimental period might be
20 reasonable for the regulatory submission of certain--not
21 all--bioequivalence studies with replicate designs if the
22 selection of the products were limited to those few that
23 were considered to have a scientific rationale--not a
24 fishing expedition--for subject-by-formulation interactions
25 or if they were at the discretion of the sponsor. And I

1 note that this is completely consistent with the
2 recommendations of the expert panel this morning.

3 Any interim experimental period, even if only
4 voluntary, must not be considered until there are clear
5 statements regarding the purpose of the experiment, the
6 study design, how the data will be analyzed and how the data
7 will be used. That is if we are going to embark upon an
8 experimental period, then we should know what is to be
9 gained from that experimental period.

10 Three weeks ago there was a workshop hosted by
11 AAPS/FDA--I believe TPP was a co-host of that--and there was
12 in that meeting overwhelming opposition to the
13 implementation of IBE as proposed in the preliminary or the
14 draft guidance. In fact, I think it was noteworthy that Dr.
15 Bill Barr stood up and said, "Roger, it looks like I'm the
16 only one up here that's supporting you."

17 I think there's something to that. I do not know
18 of any time there has been such strong opposition to a
19 proposal by FDA and that they've proceeded with it.

20 Please note that the people who have most to gain
21 from this are the CROs because their economy would
22 substantially increase with replicate designs, and yet
23 almost to a person, what I've heard is that the scientific
24 rationale--this is from the CROs--the scientific rationale
25 does not convince them of the need for IBE.

1 So Mr. Chairman, I would leave these thoughts with
2 you emanating from the International Generic Pharmaceutical
3 Alliance.

4 DR. BYRN: Questions for clarification?

5 [No response.]

6 DR. BYRN: Thank you very much.

7 The next speaker is Laszlo Endrenyi from the
8 University of Toronto.

9 MR. ENDRENYI: I would like to comment on the
10 primary motivations as suggested by FDA in the presence of
11 estimated variabilities; that is in the presence of random
12 variations.

13 The primary motivations, as Roger Williams said
14 today, were to evaluate the subject-by-formulation
15 interaction, to consider the effect of reference scaling,
16 and to provide reward for the reduced variability of the
17 test product.

18 I shall be considering the last two motivations.
19 I have discussed the first motivation, the interaction, in
20 Montreal, so that's too much.

21 Now about the reference scaling, it's mainly about
22 highly variable drugs where the reference scaling widens the
23 apparent bioequivalence limits, and narrow therapeutic range
24 drugs where the scaling narrows the apparent bioequivalence
25 limits.

1 Now a few comments about this. In the case of
2 highly variable drugs, scaling by average bioequivalence, as
3 I shall illustrate very briefly, would be probably more
4 effective than scaling individual bioequivalence criterion.

5 As Dr. Benet said, the expert panel has actually
6 asked for such a procedure for scaled average bioequivalence
7 in October '98 but such a procedure has not been
8 forthcoming.

9 I shall not discuss narrow therapeutic range
10 drugs, just to note that there was a paper by Masson and
11 Yacobi in Montreal which demonstrated how very restrictive
12 that scaling can be for NTR drugs when the variation is
13 small. So in short, it doesn't pay to have small variation
14 because you pay for it by a small bioequivalence range.

15 In this slide the results of simulations are
16 presented with 24 subjects, coefficient of variation of 40
17 percent. The red curve shows the results for scaled
18 individual bioequivalence.

19 Now you notice it shows the percentage of
20 acceptance, the trials under different conditions, as the
21 difference between the logarithmic means. And you notice
22 that this declines very shallowly, very gently, and this
23 means that it does indeed permit large differences between
24 means with a fairly high probability, and that is expected,
25 but you see it in action.

1 The blue curve is unscaled bioequivalence, is the
2 present procedure in four-period trials. It has
3 comparatively little power, as we know, so we need more
4 subjects.

5 The green curve is the curve for scaled average
6 bioequivalence and the point is that it certainly has been
7 characteristics for this specific purpose than the red
8 curve, the scaled individual bioequivalence. It has high
9 power. The concern about large difference between means
10 still there but much less than with IBE.

11 I would like to turn now to the main concern of
12 mine, that of reward. You have already heard about the
13 trade-off between means and variances, so I don't repeat it.
14 This was discussed by Walter Hauck and colleagues mainly
15 from the FDA and under ideal conditions; that is, without
16 the consideration of errors. And indeed there is a
17 possibility of reward here. This is a consequence of the
18 aggregate criterion.

19 So if I could comment about the aggregate
20 criterion, this has, as you have seen many times today, it
21 has three components put together and the sum of the three
22 should be less than the bioequivalence criterion.

23 There are problems, difficulties with the
24 aggregate criterion. This is very nice under ideal
25 conditions. So it's attractive in principle; there are

1 major difficulties in practice, as we have had shown in a
2 paper with Drs. Amidon, Midha and Skelly.

3 There are conceptual differences and you have just
4 heard them from Nevine Zariffa and Larry Gould, the
5 hierarchical problem.

6 I would like to be concerned with the technical
7 problems. And anybody who discussed it outside FDA, the
8 issue of aggregate criterion, was against it. And you have
9 the names there.

10 If I could go on, the effects of random
11 variations, first, not only rewards are present but also
12 penalties. If you have truly equivalent, the two variances,
13 inter-subject variances are truly the same, then there is a
14 50 percent change that the test variance estimated is
15 smaller and a 50 percent chance that it is larger than the
16 reference variation.

17 So there is a 50 percent probability, just by
18 random chance, that there is reward and a 50 percent chance
19 that there is a penalty. And that's the first thing.
20 Actually I shall demonstrate this.

21 The second is that these rewards and penalties
22 dominate the difference between the means. I shall
23 demonstrate that, too.

24 So actually the usual concern about average
25 bioequivalence gets very low priority because of the

1 aggregate criterion.

2 And thirdly, the rewards and penalties can be
3 large. They're not just present but they can be large due
4 to random chance. If I could go on to the demonstrations.

5 But this is from our theoretical paper and it
6 illustrated that if, for example, you have a coefficient of
7 variation of 30 percent, then the probability that you would
8 see a 10 percent change in the difference between AUCs,
9 which is quite a change, is 73 percent, half of which is
10 reward and half of which is penalty. So that's quite a
11 large probability, but this is theory. Let me go on to look
12 at the FDA data, and this is the data of 55 datasets, which
13 Dr. Williams has presented.

14 In the first column you see the rewards., all
15 together, 49 cases that were reward, and 61 cases that were
16 penalties. So apparently rewards and penalties indeed
17 occurred at random.

18 In the next slide this is about the magnitude.
19 The ratio of test over reference variance differed by more
20 than 40 percent, which is quite substantial, all together,
21 in 21 cases, which is a fair proportion, and the lower shows
22 that the same kind of probability percentage occurs with
23 statistically significant difference.

24 So in these cases you have very substantial effect
25 on the outcome of decision.

1 This is about the mean variability trade-off.
2 This is the '98 dataset because I didn't have the
3 opportunity, didn't have time to massage the '99 dataset.
4 It shows that the mean differences are less than the
5 difference of variances in an overwhelming number of cases.
6 So indeed the concern about the difference between means
7 gets smothered away because of the quantitative feature of
8 the criterion.

9 So in summary, in the absence of random variation,
10 the aggregate IBE criterion is attractive, combines three
11 features, but in the presence of random variations, there
12 are conceptual difficulties, as already you heard earlier,
13 and technical difficulties and I'm particularly concerned
14 with the technical difficulties.

15 First is that there is reduced efficiency, as you
16 have seen on those curves which I presented, and problems
17 arising from the mean variability trade-off, and this is
18 really what I am particularly concerned with, that apparent
19 rewards and penalties can occur by random chance; large
20 rewards and penalties can occur with fairly high probability
21 by random chance; and consequently, favorable and
22 unfavorable regulatory decisions can be reached by random
23 chance. Therefore, the consequences of this trade-off
24 amounts to a scientific and regulatory lottery. Thank you.

25 DR. BYRN: Questions for clarification?

1 [No response.]

2 DR. BYRN: Okay, the next speaker will be Dr.
3 Russell Rackley from Purepac Pharmaceutical.

4 DR. RACKLEY: Thank you for the opportunity to
5 make a brief presentation on a few points today.

6 I am employed by Purepac but I come here mainly as
7 a research pharmacist in the industry. Thus I'd like to
8 throw in the following disclaimer. Views expressed in this
9 presentation are those of mine and not necessarily those of
10 Purepac and its employees.

11 Briefly for an introduction, the proposed
12 individual bioequivalence methodology may have some
13 scientific merits. There are a number of unresolved issues
14 apparently regarding implementation and use. Ultimate
15 adoption of the methodology may or may not prove to be in
16 the best interest of the public.

17 I'd like to cover the following points. Question
18 first: Is there a problem? Is the current
19 methodology--ABE, that is--protecting the public? If so,
20 should a change be implemented? If not, is there proof that
21 a change should be made?

22 In my opinion, a convincing case for IBE has yet
23 to be made.

24 First, the method is complicated. The method and
25 criteria cannot be easily conveyed to the public or even

1 health care professionals. Theoretically, information from
2 a conventional two-period cross-over study may give the
3 information needed to make such assessments as subject-by-
4 formulation interaction, as would a four-period replicate
5 design study. I refer you to the method of Gould.

6 There has been some disagreement on the actual
7 mechanics, although those are claimed to be resolvable.
8 From the recent AAPS workshop there was some disagreement.
9 However, if one believes a new method is justified, little
10 consideration apparently has been given to alternate
11 proposed methods.

12 Subject-by-formulation interaction may be
13 misinterpreted. It could be affected by random variation,
14 and I give you the following example. If the response of a
15 particular test reference comparison is clustered in one
16 particular area and in one particular subject and a response
17 of one of the treatments is removed from the other
18 responses, this might be viewed as an outlier possibly,
19 which could affect interpretation of the data and mislead a
20 subject-by-formulation interaction conclusion.

21 What does subject-by-formulation interaction tell
22 us? One of the driving arguments for individual
23 bioequivalence has been to identify this. For example,
24 response in a particular subject might be such that those
25 test treatments are fairly close together or the response

1 level of the reference might be far removed. When this type
2 of result occurs, it would appear to me anyway that you
3 would get the same information from this as you would from
4 average bioequivalence.

5 Limits on mean ratios or point estimates might be
6 the more appropriate thing to say, seems to contradict the
7 method. When the test formulation is found to be less
8 variable, then the criteria may be scaled to the variability
9 of the reference. However, it is proposed that some limit
10 on these point estimates or mean ratios be implemented,
11 which to me seems to contradict the theory of the method.

12 For some products, even reference-versus-reference
13 ratios or estimates could be fairly divergent.

14 Further points on limiting mean ratios or point
15 estimates. Limits on mean ratios with individual
16 bioequivalence might negatively affect the public as
17 follows. It is conceivable that pioneer companies may
18 attempt to make formulations more variable. With even
19 tougher criteria of putting criteria on mean ratios, there
20 might be fewer generic formulations ultimately available.

21 The method as proposed, the guidance as proposed,
22 would indicate reduced population sampling; that is,
23 reducing the population sample from 24 subjects with average
24 bioequivalence to 12 with individual bioequivalence reduces
25 the potential to see or identify subgroups showing

1 significant differences between formulations.

2 It's my opinion that there may be some impact on
3 generic competition. For a variable pioneer drug, drug
4 formulations, there may be cases where only population
5 bioequivalence passes. I think there were some presented at
6 the last workshop.

7 If we move forward with this method, then pioneers
8 should be held to similar requirements, at least for any
9 significant formulation changes relative to the clinical
10 formulation, pre- or post-approval.

11 And I think it has also been stated that it would
12 be a good idea to have individual bioequivalence results
13 appear in the labeling of pioneer drugs.

14 Acceptance at the state level. In certain states
15 where formularies exist for substitution of generic drugs,
16 it is sometimes a tenuous task to gain approval. How will
17 these state agencies react to approvals under the proposed
18 method?

19 There already is data available for us to make an
20 assessment, roughly 55 to 60 replicated design datasets. My
21 question is will an additional 400 significantly add to our
22 understanding of the method, the utility of the method as we
23 know it now? And it's also been pointed out that two-period
24 cross-over datasets might also be evaluated to determine if
25 the problem really exists. Again see the method of Gould.

1 In summary, the problem may be stated in theory
2 but I feel convincing evidence is lacking. The method
3 appears to be complicated, leading to implementation of
4 multiple rules and conditions. In some instances,
5 interpretation may be misleading. An example is subject-by-
6 formulation interaction with respect to outliers. And
7 potential to further evolve a brand-defense tool may exist.
8 Data, I think, is currently available to assess the utility
9 of the method.

10 Finally, a convincing case that the public will
11 benefit from the methodology cannot be made based on
12 existing data or even that envisioned for a trial period.

13 Thank you.

14 DR. BYRN: Questions for clarification?

15 [No response.]

16 DR. BYRN: Okay, thanks very much.

17 The next speaker will be Dr. Leon Shargel for the
18 National Association of Pharmaceutical Manufacturers.

19 DR. SHARGEL: I would like to thank everyone on
20 the committee and Dr. Williams for allowing us to make a few
21 remarks at this hearing.

22 My name is Leon Shargel and I'm the vice president
23 and technical director for the National Association of
24 Pharmaceutical Manufacturers. I'm also an adjunct professor
25 at the University of Maryland School of Pharmacy. The NAPM

1 has been highly involved in legislative and regulatory
2 technical issues concerning the generic pharmaceutical
3 industry.

4 I'm sorry I did not prepare slides for the
5 audience. The advisory committee though has a copy of my
6 talk. And on behalf of NAPM and its members, including our
7 generic drug product manufacturers and the contract research
8 organizations, and we do have a large number of CROs as
9 members, I'd like to discuss some of these recommendations
10 for performing individual bioequivalence studies and
11 specifically I want to chat about clinical significance,
12 ethical concerns and cost considerations.

13 If we consider clinical significance, and we
14 concur with many of the speakers already today, we agree
15 with FDA's position that the prescriber and patient should
16 be assured that the newly administered drug product will
17 yield comparable safety and efficacy to that of the product
18 for which it is being substituted.

19 The question is in our minds whether
20 switchability, as defined here, is a clinically significant
21 problem which we need to be very much concerned with.

22 We agree the use of replicate studies in
23 determination of individual or population bioequivalence is
24 useful and certainly we would consider that this would be a
25 useful tool to look at in the future of bioequivalence.

1 Now on January 28, 1998, and there's a typo error
2 on the hand-out that I gave to the committee, Dr. Stuart
3 Nightingale, associate commissioner for health affairs,
4 wrote a letter to health practitioners that was prompted by
5 concerns about the interchangeability of certain products
6 characterized as narrow therapeutic index drug products.

7 In this "Dear Colleague" letter, and I'd like to
8 quote four points, one, "Additional clinical tests or
9 examinations by the health care provider are not needed when
10 a generic drug product is substituted for the brand name
11 product."

12 Two, "Special precautions are not needed when a
13 formulation and/or a manufacturing change occurs for a drug
14 product provided that the change is approved according to
15 applicable laws and regulations by FDA."

16 Third, "As noted in the Orange Book, in the
17 judgment of to FDA, products evaluated as therapeutically
18 equivalent can be expected to have equivalent clinical
19 effect whether the product is brand name or generic drug
20 product."

21 And fourth, "It is not necessary for the health
22 provider to approach any one therapeutic class of drug
23 products differently from any other class when there has
24 been a determination of therapeutic equivalence by FDA for
25 the drug products under consideration."

1 Now in the same letter Dr. Nightingale also wrote,
2 and I quote, "To date, there are no documented examples of a
3 generic product manufactured to meet its approved
4 specifications that could not be used interchangeably with
5 the corresponding brand name drug." That was written in
6 January 1998 and I would assume by 1998 there had been a
7 great deal of information at the FDA.

8 Now at the meeting in Montreal that was just
9 referred to, Mr. Eric Ormsby of the Health Protection Branch
10 in Canada gave a presentation and in one slide he reported
11 that 2,500 products on the Canadian market were approved
12 using the AB standards. He mentioned, and I quote, "Is
13 post-marketing surveillance really so insensitive that
14 clinically important problems can't be detected?"

15 Thus Mr. Ormsby, who was then representing the
16 Canadian Health Protection Branch, has indicated that in
17 Canada there has not been any observation of clinical safety
18 problems due to switchability.

19 What then apparently is a problem, we feel
20 certainly that the current approaches for determining
21 therapeutic equivalence by the FDA is certainly working and
22 the generic substitution of AB-rated drug products is safe
23 and efficacious.

24 And, of course, this doesn't preclude that we
25 shouldn't look at other methods. And certainly over the

1 last 20 years we have seen improvements in how we do our
2 bioequivalence studies, how we do formulation and we're
3 doing a better job, I believe.

4 However, do we really need to be performing
5 individual bioequivalence and determining subject-by-
6 formulation interactions on every bioequivalence study?
7 NAPM does not feel that this is the case.

8 To date, approximately 50--I hear 55 now--datasets
9 have been published, have been looked at, and at the annual
10 meeting of APS in Boston in 1996 and at the IBE workshop in
11 Montreal it's apparent to a nonstatistician, such as myself,
12 that there is a lot of controversy and concern whether
13 subject-by-formulation interaction is really a safety and
14 efficacy problem. We feel that the scientific literature
15 would be replete with clinical studies or at least case
16 reports if this were such a major problem.

17 Let's move on to ethical concerns. A fundamental
18 caveat in clinical studies in humans is "Do No Harm." And
19 the Declaration of Helsinki has a number of basic principles
20 and I'd like to recite a few of those and see how it fits
21 in.

22 Principle number one is "Biomedical research
23 involving human subjects must conform to generally accepted
24 scientific principles and should be based on adequately
25 performed laboratory and animal experimentation and a

1 thorough knowledge of the scientific literature."

2 And as I mentioned, at this time we do not have
3 scientific literature that really indicates that
4 switchability is a significant safety or efficacy problem.

5 The second principle in the Declaration of
6 Helsinki states, "The design and performance of each
7 experimental procedure involving human subjects should be
8 clearly formulated in an experimental protocol which should
9 be transmitted for consideration, comment and guidance to a
10 specifically appointed committee independent of the
11 investigator and the sponsor, provided that this independent
12 committee is in conformity with the laws and regulations of
13 the country in which the research experiment is performed."

14 With noted exceptions that were listed in the
15 draft guidance, as mentioned by Dr. Shah this morning, FDA
16 is recommending that all bioequivalence studies should be
17 designed as replicate studies and that the applicant may use
18 average population statistics or criteria for establishing
19 bioequivalence. And this data then is also going to be used
20 and collected by FDA for further analysis.

21 We're concerned that the request for additional
22 studies and extra datasets from human subjects should not be
23 obtained without peer review protocol describing exactly how
24 the data is to be analyzed, the risk-benefit assessment and
25 how the data is going to be used.

1 The third principle that I want to mention from
2 the Declaration of Helsinki is principle number four as
3 listed in the declaration and that states, "Biomedical
4 research involving human subjects cannot legitimately be
5 carried out unless the importance of the objective is in
6 proportion to the inherent risk to the subject."

7 Now in terms of ethics, we're concerning always a
8 risk-benefit ratio and a four-way cross-over replicate
9 design always has a greater inherent risk to the subjects.
10 It doubles the drug exposure compared to a two-way cross-
11 over study. The chances for an adverse drug event is
12 certainly greater and the fact that we're taking more blood
13 samples per subject may also increase trauma to the subject
14 and risk of damage to blood vessels.

15 We should be concerned about the subjects. I
16 haven't heard anything yet this morning or in many of these
17 seminars about concerns for the people who are actually
18 going to be involved.

19 There is also--the last item I want to emphasize
20 is cost consideration and burden to the industry. If we
21 just consider the financial cost to the pharmaceutical
22 industry, I don't think it really matters much if our
23 objective is to make better, safer, more efficacious drug
24 products. I would not stand here if I felt, gee, it'll cost
25 a little more money; it's going to make it a little harder.

1 Cost should not be an issue if our objective is that we have
2 safer, more efficacious products.

3 However, the proposal as written in the draft
4 guidances is going to increase cost both to the finished
5 dosage manufacturers, as well as to the contract research
6 organizations. They will not be making lots of money,
7 according to our membership.

8 First, the cost for replicate design studies is
9 much higher than a two-way cross-over. I pulled two of
10 our--actually I pulled several others but I got a reply from
11 two and you should have in your file three drugs that were
12 looked at: warfarin, indapamide and diltiazem, and these
13 costs of studies were greatly increased.

14 From a CRO point of view, there are recruitment
15 problems, problems of getting subjects, institutional review
16 problems, drug monitoring problems. In the case of
17 diltiazem you're going to be four-way cross-over
18 electrocardiogram or other kinds of monitoring and a need
19 for increased clinical capacity.

20 So in summary, so I don't go over my allotted
21 time, we do not feel that switchability is a clinically
22 significant safety or efficacy problem. The risk-benefit
23 should be concerned in performing replicate design studies.
24 We should carefully consider this. The replicate design
25 will put an additional burden to the industry. And we're

1 also concerned with how the data will be used, whether this
2 data will also be used perhaps naively by consumer groups,
3 state formulary commissions and others, as well.

4 We do commend or compliment FDA for looking at
5 methods for reducing burden in terms of a single dose study
6 for modified release or use of the VCS system for highly
7 permeable, highly soluble drugs. However, for the studies
8 in terms of individual bioequivalence, we feel that a well
9 designed with objective statistics analysis should be
10 available for peer review.

11 I thank you for the time.

12 DR. BYRN: Questions for clarification?

13 [No response.]

14 DR. BYRN: Okay, thank you.

15 We have two speakers that have asked to be added
16 to the list. I'd like to give you each two minutes but that
17 may be too little, so let's try to limit it to five minutes
18 apiece.

19 First speaker is Lew Sheiner.

20 MR. SHEINER: My name is Lewis Sheiner. I'm a
21 professor of clinical pharmacology at UCSF and I am one of
22 the early proponents of individual bioequivalence and
23 probably--I don't know who else wants to speak but if the
24 mystery speaker is not of my opinion then I'm the only
25 person who speaks for it.

1 I want to justify the criterion a little bit and
2 talk about the bias variance trade-off because these are two
3 issues that have come up in all of the talks this afternoon
4 that it seems to me there's another point of view on.

5 So I'm in opposition to the concerns expressed
6 that we don't know what it is, what we would do about it and
7 what this interaction means and so on.

8 Taking my clue from Roger, I'm going to try to
9 make this very simple so here's the set-up. I drive to work
10 every day and I stop off at the convenience store and I buy
11 a cup of coffee and I put two teaspoons of sugar, put the
12 top on the thing, get in the car, put it in the little hook
13 in the car and drive way toward work and drink the coffee on
14 my way to work. But I decided that my paunch is getting a
15 little big and take the easy way out. I'm going to put
16 something like this in instead.

17 So I ask people, I said, you know, this generic
18 substitute for sugar, tell me something about it. They said
19 one of these is a teaspoon of sugar.

20 So now I'm going to get in my car, I'm going to
21 buy this stuff and throw two of these in; that's the way I'm
22 going to start, and take it in the car.

23 Now the reason I talk about taking it in the car
24 is because I want to make this thing be symmetric. If I
25 oversweeten it, obviously I'm not going to like it but I

1 can't do anything about that. If I undersweeten it, I'm in
2 the car and I'm not going to take the top off and tear open
3 one of these and try to--so my problem is I'm stuck with
4 what I put in to start with.

5 So what am I going to do? I'm going to put two of
6 these in because people say one of these is one teaspoon of
7 sugar. And then what'll happen is I'll taste my coffee
8 every day and I'll titrate. And I'm going to discover
9 perhaps that one and a half is right for me, rather than
10 two.

11 Well, what if the mean difference, that statement
12 was correct, that there was just one of these to one sugar
13 and that there was no inter-individual variability. Then
14 I'd be just right the first time and wouldn't have to
15 titrate or anything. I'd be right on.

16 What if the mean difference exists but there's
17 still no inter-individual variability? In other words, it's
18 not true that one of them is one. It's some other ratio.

19 Well, I'll still have to titrate but remember in
20 that case if I have some other friends who've tried this and
21 they titrate, they say, you know, it turns out you need
22 three packets for two of sugar, then I can just do that the
23 first time and I'll be fine. So I don't have to titrate if
24 I have other people's experience and that delta exists.

25 What about if inter-individual variability exists

1 but no mean difference? I'm going to have to titrate. I'm
2 different than anybody else. Nobody can tell me what to do,
3 so I have to titrate. So I'm going to go through that
4 titration period if both of those aren't zero.

5 So in that particular circumstance I claim--oh,
6 there's one more thing. What if there's day-to-day
7 variability? They don't put the same amount in the packets.
8 Every packet has a different amount. Day-to-day variability
9 of the stuff. Then I'm never going to get it right. Some
10 days it's going to be too sweet; some days it's going to be
11 too bitter and that's it; I'll never get it right.

12 So I look at that and I say that's the worst case.
13 That kind of variability is worse than anything.

14 Second worst is difference in the means because if
15 my friends can learn about it they'll tell me about it and I
16 can get it straight the first time.

17 In the middle is that inter-individual
18 variability. I can learn about it and get it right and have
19 my coffee right every day but it'll take me a little while
20 to titration.

21 So that's variability versus mean trade-off on the
22 starting. What about switching?

23 I come in one day in my store and they ain't got
24 the pink packets; they've got the blue packets. Suddenly I
25 don't know what to do. Again I'm told one is one.

1 Okay, what happens? If the mean difference is
2 there isn't any, one is one and I've gotten myself to 1.5
3 packets remember on this stuff--I've found that that was
4 right for me--and there's this perfect correlation between
5 the blue and the pink, that means there's no subject-by-
6 formulation interaction, then I'm right on. One and a half
7 of those, one and a half of these; I'm great.

8 What if there's a mean difference but no subject-
9 by-formulation interaction? Again I have to titrate. But
10 again I can learn from my friends, since they did it before
11 me, and they say no, the blue and the red aren't exact
12 equals. It's three to two.

13 What if there's subject-by-formulation
14 interaction? That means my particular ratio is different
15 than somebody else's ratio of the pink to the blue. Then
16 I've got to titrate, but I can get to where I'm going. And
17 after a few days of having the coffee wrong one way or
18 another I'll get there.

19 Worst case again. The between-packet variability
20 in the blue stuff is worse than the between-packet
21 variability in the red stuff. Then I'm getting weird coffee
22 more often on that than I did on the original. That's the
23 worst case.

24 So the worst thing that can happen is big within-
25 individual variability. Second worst is subject-by-

1 formulation interaction, which acts just like between-
2 individual variability when you're starting. And the least
3 important is the difference in the means.

4 So the lesson is if you care about the mean, if
5 you think you care about the mean, you have to care more
6 about those variabilities. They produce the same problem as
7 the mean only worse. Either you can never get the thing
8 right or it takes you some titration to get it right. But
9 in the mean case remember you can learn from your buddies.

10 So that gets me to say finally that the only
11 question then really is are the differences in between-
12 individual variability between innovator and generic big
13 enough ever or are the ratios of the within-individual
14 variabilities not one or far from one ever? Or is the
15 subject-by-formulation interaction ever large enough to
16 worry about, to cause me to have those problems?

17 And that's a question that's settled by fact.
18 It's not settled by lack of fact, the non-dead bodies in the
19 street, which is just not an argument. And it's not settled
20 by argument. It's settled by fact, and the FDA is proposing
21 to get some more facts on this issue. I think that's almost
22 an unexceptionable notion in the scientific age, to do that
23 kind of thing.

24 Finally, let me just say a word about Laszlo's
25 point. There may be technical issues; I'm not sure. I

1 think it really actually, those technical issues will depend
2 upon again defining what it is you care about.

3 Now I believe in the aggregate criterion. I
4 believe in these distance measures, but we can all agree on
5 what we care about, the what do you want to know question,
6 and then we can settle the technical issues. We can settle
7 them through simulation and we can design a study format and
8 design an analysis system that has the right performance
9 characteristics. We can design a test that has just the
10 right performance characteristics and have no question about
11 that.

12 I think we're actually pretty close to that but
13 maybe we need to do some more of that. But the point is
14 that's a purely technical problem and that can be settled
15 and you have good people working on that.

16 So the issue you have to think about is if you
17 care about the means and you care about the variability, the
18 question is do we know enough to know that those
19 variabilities are of no concern?

20 DR. BYRN: Questions for clarification?

21 [No response.]

22 DR. BYRN: Okay, thanks.

23 Next speaker is Robert Buice.

24 MR. BUICE: My name is Bob Buice. I represent the
25 Bioequivalence Focus Group for the American Association of

1 Pharmaceutical Scientists. We have submitted a position
2 paper on this topic of population and individual
3 bioequivalence and I think you have a copy of it now. I
4 would like to briefly, in my two minutes or five minutes
5 now, highlight some of the points.

6 I think all of these have been made already but
7 I'll run through them very quickly anyway.

8 Variations of the average bioequivalence approach
9 have been used for more than two decades now. Recently
10 we've seen a few subject-by-formulation interactions pointed
11 out. We've seen a few variance issues raised.

12 Overall, nothing really jumps out at you as being
13 a serious clinical problem. The problem, we feel, is still
14 largely theoretical. Now there might be something there but
15 there's just nothing jumping out as saying that.

16 I could go on and on about the complexity of the
17 replicated designs, the increased clinical costs, the
18 problem with drop-outs, the increased exposure to the
19 subject. This has all been pointed out.

20 A key point we'd like to make though in this
21 subgroup causing the subject-by-treatment interaction,
22 you're talking about doing studies with 12 subjects, 24
23 subjects, even 36 or more. What are your chances of picking
24 up that small subgroup in one study? You might do four or
25 five studies and never see it and pick it up in another one.

1 There just doesn't seem to be that many of them.

2 Also, this Sigma D of .15, as has been pointed
3 out, that can occur by chance. It's also been pointed out
4 that there's no evidence that that suggests any kind of
5 clinical significance.

6 And regarding this two-year trial period, a lot of
7 data have been submitted over the past two decades and Larry
8 Gould has already suggested a method of analyzing these
9 data.

10 Also, the FDA has replicated data. There's a lot
11 of data already available. It just doesn't seem warranted
12 to jump into a two-year trial period without a little more
13 reason to do that.

14 Now if there are isolated problems, if there are
15 minor problems picked up, and that's about what we suspect
16 will happen, maybe 5 percent or so or less, if that many, we
17 suggest you treat those as isolated problems. If you see a
18 subject-by-formulation interaction, identify the mechanism,
19 the physiologic mechanism, the pharmaceutical mechanism,
20 whatever, and treat that as a separate problem.

21 And finally, we suggest that you keep the present
22 method in place until a serious problem has been identified.
23 Thank you very much.

24 DR. BYRN: Questions for clarification?

25 [No response.]

1 DR. BYRN: Okay, thank you.

2 Okay, let's take a 15-minute break and then the
3 committee will be--oh, I would like to thank all the
4 speakers for staying on time. Then the committee will begin
5 our deliberation.

6 [Recess.]

7 **COMMITTEE DISCUSSION**

8 DR. BYRN: Okay, what we're going to do is deviate
9 from the agenda just slightly. Dr. Vince Lee has to leave
10 so I've asked him to make a few comments. Then Roger will
11 go ahead and introduce the discussion topics and the
12 committee will continue.

13 So Vince, the floor is yours.

14 DR. LEE: Well, thank you, Steve. I have to be
15 brief because the FDA shuttle is going to leave in a few
16 minutes.

17 I think the idea about IBE is a very forward-
18 looking approach for drugs coming through [inaudible]
19 chemistry. I'm speaking as an academic and I have the
20 suspicion that the new drug candidates coming off the
21 pipeline as a result of [inaudible] chemistry will have more
22 and more challenging delivery problems that are more prone
23 to variability.

24 And I think that even though ABE might be
25 addressing and might be serving us well for the time being,

1 it's about time to take a long-term view about what to
2 anticipate in the future.

3 So that's my view. So I'm in support of the
4 concept. I do agree that we need to do more work to
5 substantiate the concept. On that note I will close. Thank
6 you.

7 DR. BYRN: Thanks very much, Vince. Good luck.
8 We wish you safe travel and we'll now go back to our agenda
9 and let Roger introduce the discussion topics.

10 **INTRODUCTION TO DISCUSSION TOPICS**

11 DR. WILLIAMS: At this point what I'd like to do
12 is really now start working very closely with the committee
13 to assist the committee in any way possible as they
14 deliberate on the six discussion topics that you see in your
15 agenda. And associated with each of those discussion topics
16 will be an overhead and Kimberly, I think you can go to the
17 first one, which is a question for the committee. You'll
18 see that all these six questions are interrelated and sort
19 of flow sequentially one to another.

20 Associated with some of these questions I may show
21 another overhead in an intent to clarify the question. And
22 I have to be very careful in terms of not influencing the
23 committee here, but I will say if the answer to the first
24 one is no, we can probably all go home.

25 So I don't want to give you an incentive but we

1 put this very important one up first. I think you can see
2 why it's so important.

3 Now at this point in time I think I will just sit
4 quietly, Steve, and if there are people in either the
5 working group or the expert panel who I think could provide
6 some assistance, I'll make that statement.

7 DR. BYRN: Okay, thanks, Roger.

8 I've had a couple of questions and maybe we'll
9 start with Kathleen. She had an early question.

10 There was also a request by the committee that we
11 would be able to ask speakers questions directly to clarify
12 certain points related to these topics, so I think we will
13 do that.

14 We ask people in the audience if they are asked a
15 question to simply answer that question, to not engage in a
16 debate with each other or with the committee.

17 So with that, we can start with Kathleen, who had
18 a question for clarification.

19 DR. WILLIAMS: Steve, could I just say one more
20 thing before Kathleen starts, that I meant to say?

21 DR. BYRN: Sure.

22 DR. WILLIAMS: You know it's obviously up to the
23 committee how they want to provide their recommendations to
24 the agency, which can either be done by some kind of
25 consensus process or a vote. And I have absolutely no

1 opinion about how you handle that, Steve. If you feel at a
2 certain time a vote would be justified, I'd leave it up to
3 you as chair.

4 DR. BYRN: Okay, I think the general thinking of
5 the committee is that we'll try to do it by consensus. It
6 may be necessary to take a vote but we don't plan to at this
7 time.

8 So with that, we can go ahead with Kathleen's
9 questions.

10 DR. LAMBORN: I had a couple of points of
11 clarification resulting from some of the statements this
12 afternoon.

13 I'd like to ask Dr. Hauck if he could comment on
14 the perceived differences. A number of people suggested
15 that Larry Gould's method could be used in lieu of replicate
16 designs and obviously the working group has been considering
17 this. Could you comment on that?

18 DR. HAUCK: I wrote down a couple of notes, as I
19 was given at least a couple of minutes advanced warning. I
20 apologize. You will see why. I don't normally hand-write
21 anything for public presentation but hopefully this will be
22 helpful.

23 Let me, by way of background, say that the FDA, in
24 getting to the criterion, has recommended in the working
25 group, we considered every single criterion that was out

1 there and available at the time, including disaggregate
2 criteria. The aggregate-disaggregate terminology actually
3 comes out of the working group efforts. So this is not
4 really new.

5 Specifically now with respect to Larry's proposal,
6 there's a couple of things in there. One is he talks
7 about--and actually Laszlo did, too--the hierarchy of
8 criteria, that if you have a criterion that shows IBE, it
9 should also show a population bioequivalence.

10 I think if you actually looked at the material
11 that has gone by you this morning, and unfortunately that
12 kind of means a little bit of dealing with the Greek letter
13 aspect of it, you'd actually see it's not sensible. The two
14 sets of criterion end up depending on different variances.

15 The hierarchy question has actually been there
16 since--actually, this is actually the 10-year anniversary of
17 the initial presentation on individual bioequivalence, and I
18 suspect the hierarchy question was there one month after
19 that. So this is again--it's been around. I've been looking
20 at it since about that time and every single time I see an
21 individual bioequivalence criterion and a population
22 bioequivalence criterion, they don't satisfy the hierarchy.

23 So how does Larry do it? Well, if you actually
24 look at what he does, he does it by not doing individual
25 bioequivalence. There's two things in there where he fails

1 to do it. One is he's looking at the wrong variance, and
2 that's really the main thing. When you look at population,
3 it's total variance. When you look at individual, it's
4 within-subject variance. He looks at total variance. So
5 within-subject variance doesn't get covered.

6 And then he also only looks at a piece of to
7 subject-by-formulation interaction and I'd like to thank my
8 colleague Terry Hislip, who's been working with Larry's
9 method a little bit for the following graphic.

10 When you look at the subject-by-formulation
11 interaction, as actually Larry had pointed out, it has two
12 pieces to it. Part of that piece is whether the between-
13 subject variances are equal. In fact, his approach is not
14 sensitive to differences in between-subject variance, and
15 that's what this is attempting to show. The Y axis here is
16 his correlation coefficient and the X axis is the within-
17 subject variability. And you see the sensitivity is totally
18 a function there of the within-subject variance and drops
19 off considerably. And that's very different. That's for
20 one between-subject variance, twice the other.

21 Even more so, I think there's a more fundamental
22 problem with the approach that Larry's taken is that there's
23 really no hypothesis there. He starts with a test
24 statistic. You then have to reengineer and reverse-
25 engineer, rather, to find out what the regulatory

1 requirement is that's associated with the procedure that's
2 put forward.

3 And if you happen to do a three-period design or a
4 four-period design, you get a different answer. That is in
5 the fact that the regulatory criterion established by
6 Larry's approach would be different depending on what design
7 the sponsor chose to do.

8 Now I'm a statistical consultant, not the
9 regulatory person, but it strikes me as somebody who's
10 informed about some of this, that that seems unsatisfactory
11 in a regulatory context.

12 And this would be kind of new information.
13 Obviously the appeal of what Larry has proposed is that it
14 can be done in two-period designs. I want to mention and
15 I'd like to thank Terry for this; we have found that at
16 least for the low variability products--that is probably in
17 the 10 to 15 percent range of lower--that, in fact, the
18 aggregate criterion that we have proposed can be done in
19 two-period designs. So some of that appeal goes away.

20 I think there's general appeal to the disaggregate
21 approach and I think Larry is to be credited for taking what
22 has been vaporware in the disaggregate area in the sense
23 that people have been saying "We want disaggregate" and not
24 really proposing anything and he at least was willing to put
25 something on the table. But I think for the reasons I've

1 outlined, it's not really a viable alternative.

2 DR. BYRN: Any questions? Kathleen?

3 DR. LAMBORN: I must admit that just hearing it
4 now, I can't totally follow all your points except to say
5 that that you feel that you've looked at it and that it is
6 measuring a different--something different than the
7 individual bioequivalence. But then if that's the case, why
8 are you saying that depending on variability, you can
9 measure it?

10 DR. HAUCK: We can test the aggregate individual
11 bioequivalence criterion with a slightly conservative test
12 in a two-period design. We cannot separate out the
13 components in that circumstance but if somebody were to say
14 can you do a 5 percent, or actually it would be slightly
15 less than 5 percent test of the individual bioequivalence
16 aggregate criterion, as proposed in the guidance and that
17 would be the constant scale piece, yes, that can be done
18 with a two-period design without paying too heavy a penalty
19 in sample size for the conservatism.

20 But no, we can't estimate subject-by-formulation
21 interaction. We can't do a separate comparison of the
22 variances. I mean it's just the aggregate piece as an
23 aggregate.

24 DR. BYRN: So I think the answer is that you could
25 do some limited work but you couldn't do the complete study

1 with the two-period design.

2 DR. HAUCK: That's correct.

3 DR. BYRN: Could I ask Roger a related question,
4 which would be, and this relates to all of these models; if
5 the data was requested by the FDA--that is, if we answered
6 discussion topic 1 yes--would there be any way that that
7 data could be put, blinded, of course, be put on the net so
8 that people could perform analyses of different types to try
9 to understand other ways of analyzing the data and so on?

10 DR. WILLIAMS: Yes, Steve. As a matter of fact,
11 you're reminding me. Kimberly, I had a second overhead, I
12 believe, with question 1. It should be following that.
13 That's a series of steps that kind of give a motivation for
14 the replicate designs and what would we do with them in this
15 interim period.

16 And when we get to the sixth question, what we're
17 trying to do is to find our analyses and protocols and
18 approaches during this interim period.

19 I will congratulate the expert panel. I think
20 some of these suggestions came from them and we want to
21 further elaborate it when we get to topic area 6.

22 But Steve, you'll see in step 4 there's an attempt
23 to get to just what you're talking about. We'll try to give
24 progress reports as this interim period moves along. To the
25 extent possible, we will share publicly the available data.

1 DR. BYRN: Okay, other questions for clarification
2 on question 1? Robert.

3 DR. BRANCH: The proposal right now is to accept a
4 smaller sample size for bioequivalence studies, half that
5 sample size, and do replicate measures in those individuals.

6 Can we hear some reassurance from either Les or
7 Walter about the impact that will have in trying to identify
8 an already small subset who we're suspecting has a subject-
9 by-formulation interaction? It seems to me that going down
10 to 12 subjects, if you're now saying what is the effect of
11 gender comparison or what is the effect of age comparison,
12 within such small subgroups the statistical power is going
13 to be extremely small.

14 DR. WILLIAMS: Steve, may I comment on that?
15 That actually is an excellent question which comes up, I
16 believe, in topic area 5. We kind of put that a little
17 lower down, Bob, but it's a great question and we would like
18 to hear from the advisory committee on that.

19 DR. BOEHLERT: Maybe this is a clarification
20 question as well but for sponsors who submit these kinds of
21 studies during this two-year interim period, are the
22 conditions of approval then dependent on the outcome of
23 those studies? And if indeed flaws are identified, then
24 what?

25 DR. WILLIAMS: Judy, I think you got us to

1 question 3 I want to say, which relates to a very critical
2 question about market access. Are we going to be willing to
3 rely on the individual criterion for market access?

4 We do have methodology now that always allows us
5 to use the average approach with a replicate study and Don
6 Sherman is here in the audience and Stella Machado if you
7 have questions about that.

8 DR. LAMBORN: I think a related question though,
9 is suppose you see what appears to be an interaction which
10 might raise concerns. Will you ignore that for the purposes
11 during this interim period? So do you truly use the average
12 and take the other as being a research component?

13 DR. WILLIAMS: Kathleen, that's such a good
14 question. I feel like this is what we do now and we don't
15 even know about it. So I guess what would we do if we saw a
16 huge subject-by-formulation interaction? I would say the
17 agency has a right to ask an applicant about it and further
18 discuss it.

19 MR. BOLTON: One possible answer to that question
20 is that when you analyze the average bioequivalence using a
21 replicate study, you would be looking at the interaction
22 term as the error term, and that would really--if you had a
23 large interaction, that would really widen the confidence
24 interval and perhaps cause it to fail unless you use a very
25 large number of subjects.

1 So there is some protection for that.

2 DR. BYRN: You need to identify yourself for the
3 recording. That's okay?

4 And I appreciate the input but anybody that speaks
5 needs to be recognized by the chair. That was Sandy Bolton.
6 Thanks.

7 Other questions for clarification of discussion
8 topic 1? Kathleen?

9 DR. LAMBORN: I guess I'm having a little concern
10 that--I understand why Roger put it first because it's sort
11 of the bottom line but I think as I think about the
12 discussions and some of the individual comments that we've
13 had back and forth and also the specific recommendation from
14 the expert panel, if we take this as being is it reasonable
15 and appropriate to recommend replicate study designs for
16 some specified drug products with some conditions yet to be
17 determined--in other words, if all we're saying at the
18 beginning is is it worth discussing the additional
19 questions, then that's one thing. Because it seems to me
20 that we are coming back to a lot of those specific questions
21 in terms of a comfort level in saying that we could
22 recommend that we move ahead with replicate designs.

23 DR. WILLIAMS: I think Kathleen's suggesting that
24 we maybe, and I like this idea, discuss question 1 in terms
25 of something like is it in principle a reasonable approach?

1 And in a way, that's the way it's worded. Do we think that
2 under certain conditions, undefined as yet, is it
3 appropriate for FDA to recommend replicate study designs for
4 specified products?

5 Maybe we can start with that discussion, just sort
6 of an in-principle discussion. Robert?

7 DR. BRANCH: I liked Dr. Sheiner's comment that
8 this area really would benefit from having some data on it.
9 But as I heard it presented, particularly by the expert
10 panel, what is being proposed is a two-year experiment.

11 Usually when you propose an experiment, you not
12 only have your entry criteria but you have some methods, you
13 have some analytical criteria, but you have some objective
14 in terms of what the outcome would be.

15 It seems to me that--I would just like a level of
16 reassurance, and I think that last slide that Roger was
17 showing was the first that I really saw about where the
18 process would go if this does start, that this is actually
19 considered as an experiment, which means that the FDA is
20 open to the proposition that this is not contributing to
21 overall public health and that this is something that can be
22 rolled back. If this is gone into in the full expectation
23 that once started, this is inevitable and will always
24 continue, it's not an experiment.

25 So I would just like some reassurance and

1 clarification of okay, if industry is requested to provide
2 information in this format for two years, what criteria
3 would justify rolling back that position and going back to
4 the current status quo?

5 DR. BYRN: Maybe Roger can comment on that.

6 DR. WILLIAMS: I think again an excellent question
7 and this slide that you see here, Bob, is an attempt to
8 begin to have the agency think about the protocol, if you
9 will, for the interim period.

10 And then I think when we get to topic area 6 we'll
11 get more into a discussion of that as to how the committee
12 would give us recommendations as to the specific elements of
13 the protocol.

14 And I think it's a good question. I think it's a
15 very fair thing to ask the agency to do.

16 Now I think the rollback concept I might state in
17 a slightly different way because there's always the thought
18 that based on a better mechanistic understanding, the way
19 Larry Lesko talked about, we could move more products into
20 the Biopharmaceutic Classification I System.

21 Now I don't know that you would call that a
22 rollback but it's more a deviation to say as we get the data
23 to understand these things better, we don't have to do in
24 vivo studies at all.

25 Now that's the essence of the Biopharmaceutic

1 Classification System. And the Biopharmaceutic
2 Classification System rests on the assumption that you will
3 not see subject-by-formulation interactions.

4 Now that's not only a rollback; I would call it a
5 roll-forward.

6 DR. BYRN: Roger, who would write the protocol if
7 this went forward? Who would write the protocol? Would
8 that be done by the expert panel or would that be done by
9 the agency?

10 DR. WILLIAMS: I think it can be something done by
11 the agency subject to review by the expert panel and perhaps
12 a further endorsement by the advisory committee.

13 DR. BYRN: Kathleen?

14 DR. LAMBORN: I guess I'm coming back to the idea
15 that was mentioned, and I think it links to what we just
16 said, that it's important that we know exactly what we
17 expect to learn when we come out of the two-year interim
18 period. And, for example, does it make the most sense,
19 rather than saying we're going to look at all products
20 unless there's a safety reason or other reason not to, to
21 start with the instances where there is some reason to think
22 that if there's going to be a subject-by-formulation
23 interaction, that that's the group where it's most likely to
24 be found.

25 So in other words, do we need as broad a brush to

1 move into this interim period or can we perhaps come up with
2 a narrower definition of the group which is worth studying
3 first?

4 DR. BYRN: Arthur and then John.

5 DR. GOLDBERG: Kathleen, I'm a little concerned
6 about limiting the scope because I think that you might bias
7 the outcome. If we look, for example, only at highly
8 variable drugs and the agency would like to apply this to
9 other drugs, we won't have any other data on any other drug
10 other than the highly variable.

11 So I would like to see, if we are going to go
12 through with this, I'd rather see it not be limited but to
13 go across the board.

14 DR. LAMBORN: I was thinking more of the instances
15 not of highly variable versus not highly variable but the
16 issue of whether we really do have substantial cases for
17 subject-by-formulation interaction.

18 DR. WILLIAMS: Steve?

19 DR. BYRN: Yes, Roger?

20 DR. WILLIAMS: May I just say that that is the
21 second question. So if there can be some agreement in
22 principle, we will immediately go to that question.

23 DR. DOULL: I guess I share Kathleen's concern in
24 that this morning when Dr. Gretter was talking, he talked
25 about eight or nine drugs, something like that, and then we

1 heard about Cyclosporine, Levothyroxine and so on. But
2 somehow I have the feeling that we're devising a system that
3 will be applied to a huge number of drugs, all the drugs,
4 and really the problem is a more defined problem. And
5 somehow I have the feeling we haven't well defined the
6 problem yet.

7 I appreciate what Arthur is saying, that we need
8 to look or we certainly won't find anything but if we're
9 devising a system that applies to everything because we have
10 a few bad actors, then the information I'm not sure will
11 justify that effort.

12 DR. LAMBORN: I think I got asked to modify the
13 first one to see if we had something that we could vote on,
14 which is just another way of making this as--is it possible
15 that there's any place where we're going to want to
16 recommend replicate study designs? And do we agree that
17 that's at least worth exploring? And then we could go to
18 the more specific. I think, Roger, that's what you're
19 asking us to do.

20 DR. BYRN: Okay, does everybody understand the
21 change? So we're trying to refine the question a little bit
22 to address it. Does everybody understand the change that
23 Kathleen has proposed?

24 DR. LAMBORN: And Roger, is that consistent with
25 what you intended?

1 DR. WILLIAMS: Yes, I think these seem like
2 excellent modifications.

3 DR. BENET: What's the difference between sum and
4 specified?

5 MS. TOPPER: Go to the microphone, please.

6 DR. LAMBORN: The question was what's the
7 difference between sum and specified? Probably not much.
8 It was where I sort of started from so I just followed
9 through with it.

10 DR. BYRN: Okay, what's the thinking of the
11 committee? I'm hearing that there's some thought that maybe
12 we should discuss some of these other topics before we go
13 back to the first topic? On the other hand, we have a
14 fairly general question here that we can try to determine
15 whether there's some consensus as to whether there's
16 consensus on supporting this question.

17 Does anybody on the committee want to go ahead to
18 some of these other topics? Do you think we should further
19 discuss this question and see whether there's consensus?

20 [No response.]

21 DR. BYRN: Okay, let's further discuss this
22 question and see whether there's consensus.

23 DR. LAMBORN: Could we just maybe have a show of
24 comfort level on this?

25 DR. BYRN: That's what I'm trying to do. Anybody