

1 back to the other point though. If you **had** two  
2 peripheral blood cultures of Staph. aureus, are you  
3 going to implicate the catheter?

4 DR. RELLER: See, I'm not sure that I  
5 would implicate the catheter if you gave me a  
6 peripheral Staph. aureus. I would not be comfortable  
7 ascribing a Staph. aureus infection to a peripheral  
8 catheter if I have a single peripheral positive blood  
9 culture and it came through the catheter and I was not  
10 exceedingly careful to exclude all other things as  
11 well because, in fact, in some studies that we've  
12 done, presented, but not yet published, but at ASM,  
13 that if you have a noncoagulase negative  
14 Staphylococcus and you have a catheter tip that grows  
15 a Staph. aureus or a Gram negative rod, and you, based  
16 on that information, ascribe that infection to that  
17 catheter, you are often on very dangerous grounds, and  
18 in fact, you know, it may have started with the  
19 catheter, but with the high risk of other sites of  
20 infection having already become involved, that it's a  
21 dangerous thing to simply accept that it's a catheter.  
22 Take the catheter out short course therapy and forget  
23 about it with Pseudomonas aeruginosa, enterobacter,  
24 and Staph. aureus.

25 So actually I would feel, Bill, that I

1 would be very reluctant to put extra weight on the  
2 importance of the catheter and linking it with the  
3 catheter because I think actually in the non-coag.  
4 negative Staph. you'd be misled, and on the coag.  
5 negative Staph. you don't need it. I mean that's what  
6 I think.

7 CHAIRMAN CRAIG: Dr. Mermel.

8 DR. MERMEL: I don't think you can have  
9 your cake and eat it to. On the one hand, we're  
10 requiring the pulse field gel with the absolute rigor  
11 that these are true bloodstream infections and that  
12 they're coming from the catheter.

13 Now we're saying that you have two blood  
14 cultures for coag. negative Staph., and it's a  
15 catheter related infection. Indeed, you don't even  
16 have to culture the catheter.

17 I think we have to, if we're going to  
18 stick to this very rigorous -- and thinking more about  
19 it, I would agree with the pulse field gel. I think  
20 hold the bar high. If we're going to get a new  
21 product on the market, that's fine.

22 But I think we have to have the same rigor  
23 to prove, for example, in the neutropenic, the short  
24 cut syndrome patient that they're not translocating  
25 from some other source.

1 I mean I think we all agree that many  
2 primary blood stream infections, I think, which was  
3 Dr. Craig's point, come from a catheter, but not all  
4 of them do, and it obviously depends on the patient  
5 population, the neonate or, again, the person who's  
6 gotten a mucolytic agent.

7 So I think that we do need to -- I think  
8 your idea of a hierarchy is important, but I think  
9 that in that hierarchy we either need a culture of the  
10 catheter or quantitative methods, and I guess we can  
11 eventually discuss the time to positivity.

12 But I think just having a couple of  
13 positive peripheral cultures in my mind isn't rigorous  
14 enough, especially considering what we said ten  
15 minutes ago about using molecular fingerprinting for  
16 coag. negative Staph.

17 CHAIRMAN CRAIG: Dr. Archer.

18 DR. ARCHER: Let me just ask. I agree  
19 with the criteria that Barth set for bacteremia. I  
20 disagree, I guess, in that I think there needs to be  
21 some measure if the catheter is infected.

22 My question is I tried to look up as many  
23 of these articles as I could because I'm not directly  
24 keeping up with this field, and the data on the three  
25 to one to five to one quantitative culture being

1 catheter versus peripheral seems pretty shaky, sort of  
2 like a lot of the hub data.

3 Dr. Mermel, maybe you can help me. Maybe  
4 there's data I don't know about which helps define  
5 this better.

6 DR. MERMEL: I think, and Sam probably has  
7 a lot of experience at his institution, one of the  
8 important things to know is that, as Barry Farr tried  
9 to point out in his meta analysis, I believe all of  
10 the data that's published with this methodology are  
11 long term catheters.

12 Now, that's not to say that it wouldn't  
13 work with short term catheters. Intuitively, the  
14 problem with that, however, intuitively is that we  
15 believe that the longer the catheters are in, the  
16 greater the risk the hub may be a source of  
17 bloodstream infection, and therefore, if you're  
18 obviously drawing these blood cultures through the  
19 hub, you're going to have a bigger bioburden and have  
20 the higher quantitative cultures.

21 With short term catheters there may be  
22 more -- a greater role of the skin and a lesser  
23 sensitivity with quantitative methods.

24 So we just don't know, however, in the  
25 average ICU population with a short term triple lumen

1 catheter the sensitivity and specificity. I think  
2 Barry tried to point that out, Dr. Farr, in his meta  
3 analysis, that we don't have that sort of data in this  
4 patient population.

5 DR. ARCHER: Right. Well, that was the  
6 problem with the meta analysis, that he fully agreed.  
7 None of the studies were comparable, and so really  
8 doing the meta analysis is almost -- you could argue  
9 about the exercise, but in any of these one individual  
10 studies, and there's only a couple even that he quoted  
11 that looked at quantitation either of the hub or  
12 catheter cultures versus peripheral, and in no one  
13 study was there compelling evidence that this was  
14 really going to differentiate one from the other.

15 DR. MERMEL: Well, I'm not so sure. I  
16 think actually the opposite. I think actually the  
17 data is mounting with the time to positivity and the  
18 quantitative methods that we're talking about, you  
19 know, a difference in the bioburden of organisms and  
20 how quickly they grow or the quantity of them in the  
21 microbiology lab when the catheter is infected, and I  
22 think it's almost like a bioassay in terms of, you  
23 know, the time to positivity in this method.

24 And I think most of the studies have known  
25 -- I think where there's some squeakiness in the wheel

1 is should we use three to one, should we use five to  
2 one, you know, those sorts of arguments.

3 Some people have even suggested that if  
4 you have more than 100 colonies just in a catheter  
5 drawn culture, that that enough is alone. Certainly  
6 that's even on shakier grounds, I believe.

7 But I think greater than five to one makes  
8 sense scientifically. I think there is an argument in  
9 terms of the weakness in not having a lot of data,  
10 very little or no published data with short term  
11 catheters, but I wouldn't use that as a reason not to  
12 include this criteria. I think we could argue about  
13 it should be five to one or four to one.

14 DR. ARCHER: But short term catheters,  
15 you're going to be able to take the catheter out.

16 DR. MERMEL: That's right.

17 DR. ARCHER: And then you can do all kinds  
18 of different quantitative studies for which there's a  
19 lot more data. We're talking about leaving catheters  
20 in and trying to document the catheter as the source,  
21 and you've really only got quantitative hub and  
22 quantitative drawing blood back through the catheter  
23 as the whole two methods, or maybe infusate as well,  
24 to try to say that this is a catheter related  
25 infection, right?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 DR. MERMEL: Right, or, well, also there's  
2 the predictive value of the skin, but I think for  
3 those long term catheters, I'd feel comfortable as  
4 long as we agreed upon a certain definition where the  
5 cutoff should be; I'm happy with quantitative  
6 cultures.

7 CHAIRMAN CRAIG: Dr. Isaam?

8 DR. RAAD: Yes. There are five studies  
9 which strongly suggest that the ratio of greater than  
10 ten to one is highly suggestive that the catheter is  
11 the source. There is one study that sort of brings it  
12 down to five to one. I don't feel comfortable in  
13 going to three to one. There might be some reference,  
14 but I think this is kind of becoming too flexible, and  
15 then we're sort of -- and it all kind of postulates  
16 that there is in the lumen of the catheter, there is  
17 probably at least fivefold the number of colonies than  
18 what you're getting from peripheral blood, suggesting  
19 that the source in long term catheters, including  
20 tunnels and ports, is the catheter itself.

21 So in long term catheters where you cannot  
22 remove the catheter, you need some evidence,  
23 microbiologic evidence to point to the catheter as  
24 being the source, and hence you have to rely short of  
25 differential to positivity time on simultaneous

1 quantitative blood cultures.

2           The issue is -- the problem is that both  
3 catheter cultures and quantitative blood cultures, the  
4 results come back later on, 96 hours after the onset  
5 of fever, and in the real world what happens is even  
6 if you're going to remove the catheter, the culture  
7 results going to come back 72 or 96 hours after the  
8 onset of fever.

9           If you do quantitative blood cultures at  
10 our institution, which we routinely do them, they're  
11 labor intensive, and again, the results come back 96  
12 hours later. By this time, the patient has been in  
13 some sort of antibiotic if this is a real infection.

14           So in the real world if you're going to  
15 wait until the results of these quantitative catheter  
16 cultures or blood cultures are going to come back to  
17 include the patient on a study, there will not be any  
18 patient to be included on the study. These patients  
19 will have been on some sort of antibiotic for more  
20 than 24 hours or more than 48 hours.

21           So this would be a great guidance, but it  
22 will not -- there wouldn't be any study, any patients  
23 to study really. So one has to keep this in mind.  
24 Unless we have differential positivity time or unless  
25 we are able to include highly suspected cases, someone



1 with a Staph. aureus bloodstream infection, a  
2 peripheral blood culture with Staph. aureus, has a CVC  
3 in place, have inflammation at the site; there is no  
4 other apparent source. I mean this is catheter  
5 related bloodstream infection until proven otherwise.

6 And this does correlate ultimately with a  
7 quantitative catheter cultures or blood cultures. So  
8 there should be some include criteria. Two positive  
9 Staph. epi. infections, the same antibiogram, there is  
10 no other apparent source; the patient has a central  
11 venous catheter. These could be included, and then  
12 there would be restriction microbiology criteria for  
13 evaluability, to determine evaluability, but not  
14 inclusion, and this is the point I would like to make.

15 CHAIRMAN CRAIG: Yes, Dr. Ross.

16 DR. ROSS: Just to clarify, I think Dr.  
17 Raad raises an extremely important point that we're  
18 fully in agreement with. I think it simply would not  
19 be workable to say you have to have a positive culture  
20 result in hand before enrolling these patients. I  
21 think the intent is that patients be enrollable on the  
22 basis of clinical criteria alone, and then at the end  
23 of the day in terms of the evaluability be assessed,  
24 but I absolutely agree with you. I think you will  
25 lend up with no enrolled patients if you were to wait

1 for a positive culture.

2 CHAIRMAN CRAIG: Now, I thought when we  
3 talked about this issue before and the committee sort  
4 of reviewed it that what we thought was that there  
5 should be at least two positive blood cultures, but  
6 that we didn't feel that they necessarily had to be  
7 both peripheral, but that one could come through the  
8 catheter if it had a large enough number to implicate  
9 the catheter.

10 And I think I agree with Barth's thing  
11 that two blood cultures are sort of necessary, but  
12 what I do disagree with him is that I would feel  
13 comfortable with just two peripheral. I think there's  
14 got to be some way since we're trying to be strict and  
15 trying to really be sure that we're dealing with  
16 catheter related infection that we have some way of  
17 still connecting the infection to the catheter.

18 So I would want to have that stipulation  
19 as well either by having a higher number coming from  
20 the catheter blood culture or if the catheter is  
21 removed, getting it there.

22 I'm less confident though with hub  
23 cultures and some of those others farther down the  
24 line.

25 Dr. Murray.

1 DR. MURRAY: Yeah, just to say for the  
2 record that I agree complete with what Bill said, and  
3 I think Gordon said the same thing.

4 DR. WEINSTEIN: Bill.

5 CHAIRMAN CRAIG: Yes, Dr. Weinstein.

6 DR. WEINSTEIN: As a practical matter, the  
7 number of laboratories in the United States that are  
8 currently do or may be able to do quantitative blood  
9 cultures is exceedingly small. So that if that is a  
10 criterion, and it may be a reasonable criterion to  
11 use, you're not going to be able to find many  
12 laboratories that are going to be able to support that  
13 kind of a clinical study.

14 CHAIRMAN CRAIG: Dr. Mermel.

15 DR. MERMEL: However, I think we have to  
16 realize that's just in that situation where they've  
17 not removed the catheter, and then that also begs the  
18 question then of are we going to accept time to  
19 positivity as an inclusion criteria knowing that 95  
20 percent of the labs don't have quantitative methods.

21 CHAIRMAN CRAIG: Dr. Reller.

22 DR. RELLER: I'm glad Dr. Mermel came back  
23 to the quantitation because I wanted to address that  
24 or the time to positivity.

25 If one is looking at a ratio, whether it's

1 four, five, or ten, it would be absolutely critical to  
2 make sure that the blood from the catheter and  
3 peripheral were cultured in the same media because the  
4 media differences far outweigh the time differences or  
5 outweigh the time differences that people have spoken  
6 to.

7 And then one goes to the physiology. I am  
8 exceedingly uneasy with quantitation as a differential  
9 -- excuse me -- with time to positivity as a  
10 differential tool. How often do these organisms  
11 replicate? Fifteen minutes, 30 minutes? I mean we're  
12 talking about what might be one being four and then  
13 whatever you start with similarly going up in good  
14 media and under incubation.

15 The replication of the organisms and the  
16 quantitative differences are not within the time  
17 frames that would enable, I think, a reliable  
18 differentiation in terms of assuming because something  
19 grows faster that there's that precise a relationship  
20 with quanti -- I just don't believe that. It doesn't  
21 make any sense microbiologically.

22 Dr. Murray has mentioned maybe in the  
23 research laboratory, but physiologically,  
24 microbiologically it doesn't make sense to me, and I  
25 would avoid that one. It's, I think, dangerous. It's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a dangerous quagmire to get into.

2 The absolute -- when we discussed this  
3 last year, actually we started out with two peripheral  
4 blood cultures and then loosened up to include ones  
5 through the catheter because the standard party line  
6 that used to be true was that people shouldn't get  
7 cultures through the catheter. Some laboratories  
8 wouldn't accept them.

9 The reality is that we can't do that  
10 anymore because, one, we don't know where they're  
11 drawn from, and that may be all that we get,  
12 particularly in premature or neonates. So it becomes  
13 exceedingly important to have ways of telling whether  
14 things are real are not.

15 And there's been a lot of work done on  
16 that, that they have to be close in time. They have  
17 to be pulse field, for example, in premature or  
18 neonates with even cultures that are multiply positive  
19 with coag. negative Staph. over days. If you look at  
20 positive day one, day three, they're often different  
21 by pulse field as opposed to having them all at the  
22 same pulse field close in time of being supportive of  
23 real bacteremia.

24 so that if one then looks at the  
25 insensitivity of the roll technique where you would be

1 missing by numbers that Leonard and Dr. Raad gave  
2 earlier of maybe only 70 percent sensitivity with the  
3 great than 5 colony forming units, Leonard?

4 DR. MERMEL: It's less than that. It is  
5 a little bit less than that.

6 DR. RELLER: At best.

7 DR. MERMEL: Yeah.

8 DR. RELLER: And I think most people here  
9 would recognize of all of the techniques,  
10 quantitative, semi-quantitative, differential  
11 quantitation through catheter, et cetera, I mean, most  
12 people would accept not that it's necessarily the most  
13 sensitive; it's the most reproducible, the most  
14 available, and the one most often used.

15 So if you've got a technique that it's at  
16 best 70 percent sensitivity, I see the potential for  
17 exclusion of patients who really have catheter related  
18 bacteremia, where they've got the clinical criteria;  
19 they've got two peripheral blood cultures that grow a  
20 coagulase negative Staphylococcus that's going to  
21 constitute the vast -- I mean, the majority, 70, 80  
22 percent of these are going to be with coagulase  
23 negative Staphylococcus, and you don't have any other  
24 site, no prostheses, et cetera. I think it would be  
25 unreasonable when we're searching for numbers to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 necessarily a priori exclude.

2 I have no problem with doing a  
3 quantitative, a semi-quantitative culture of the cath.  
4 tip if it's removed, but given the ambiguities of  
5 quantitation relative to peripheral, and to me the  
6 uselessness of time to positivity and the lack of  
7 availability in clinical laboratories of quantitative  
8 methods that have to be done at the time of  
9 enrollment, you can't do it after the fact like you  
10 can pulse field gel electrophoresis.

11 I just think that with the primary  
12 emphasis on bloodstream infection, that one can fairly  
13 categorize this 30, 40 percent of patients with  
14 coagulase negative Staphylococci who have two  
15 peripherals and no other source, and the patient gets  
16 treated and responds. I think there are ways to deal  
17 with this.

18 CHAIRMAN CRAIG: Dr. Archer.

19 DR. ARCHER: Just one question for Dr.  
20 Mermel again. Do antibiotic and anti-infective  
21 impregnated catheters affect your ability to recover  
22 organisms from or through the catheter?

23 DR. MERMEL: Sam could also speak to this.

24 There was an article in Journal of  
25 Clinical Micro., because I had reviewed it a few years

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ago, that raised that possibility that with some  
2 intraluminally covered -- with some antimicrobial  
3 agent, catheters drawing a blood culture through may  
4 have -- I can't remember who the author was. I don't  
5 know if Barth --

6 DR. RAAD: Schmidt is it?

7 DR. MERMEL: I think so. That's right.  
8 The Cleveland Clinic, I think, group, suggested that  
9 that was a possibility.

10 I've also been concerned about that, say,  
11 with heparin bonded catheters they use in children,  
12 with umbilical catheters where they're bonded with  
13 benzoconium, and we know that initially when those  
14 catheters -- if you draw blood through a heparin  
15 bonded catheter that's got the benzoconium in it, that  
16 adversely -- that impacts on potassium measurements.  
17 Using the Kodak ectocam system can cause false, pseudo  
18 hyperkalemia, and then they go and treat people and  
19 they actually have normal potassiums, and you get this  
20 big bolus effect as you're drawing blood through a  
21 freshly inserted heparin bonded catheter with  
22 benzoconium.

23 So I think the possibility does exist.

24 DR. ARCHER: That would certainly affect  
25 time to positivity if you got some inhibition growth



1 early on because of that.

2 DR. MERMEL: I would agree that that would  
3 seem very plausible.

4 DR. RAAD: I think the time to positivity  
5 should exclude patients with the impregnated  
6 catheters, whether antibiotics or antiseptic. But  
7 going back to what Dr. Reller said, and I strongly  
8 agree, I think there is an entity. Given the fact  
9 that our quantitative culture methods are somehow  
10 limited, whether the semi-quantitation, even the  
11 sonication, even the quantitative blood culture  
12 methods, we have to give room to this entity of  
13 probable catheter related bloodstream infection that  
14 does include patients with true bacteremias, including  
15 Staph. epi. and certainly Staph. aureus, no other  
16 apparent source, probably catheter site inflammation,  
17 and these are probable catheter related bloodstream  
18 infections, even the absence of catheter site  
19 inflammation.

20 That has to be part of the intent to treat  
21 analysis, and then in the specific analysis of  
22 evaluable definite cases, there would be the ones with  
23 definite microbiologic and quantitative data, whether  
24 quantitative catheter cultures or differential  
25 quantitative blood cultures.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MERMEL: Can I ask for a  
2 clarification? Sam, you're saying that you would  
3 include those patients. It's like probable. What  
4 would you do though when the rubber hits the road at  
5 the end in terms of definite, and they just have two  
6 peripheral cultures?

7 DR. RAAD: I think these probable cases  
8 should be part of the intent to treat. I mean this is  
9 what intent to treat is about. If you exclude them,  
10 you're really biasing the studies.

11 But then you might want to do a  
12 subanalysis for the definite cases or ones that you  
13 might want to call evaluable.

14 The other issue is with the Staph. aureus.  
15 Now, all of us agree here that Staph. epi. you would  
16 like to see at least two positive blood cultures, but  
17 Staph, aureus -- and it all depends on the fact  
18 whether the clinician was expecting endocarditis at  
19 that point or not, and remember this is a febrile  
20 patient that might have had one blood culture draw and  
21 has a Staph. aureus and later on you remove the  
22 catheter and the catheter is culture positive with  
23 high colony count on the catheter tip for Staph.  
24 aureus.

25 This is catheter related Staph. aureus

1 bloodstream infection even if you don't have two  
2 cultures. so to call this at the end being  
3 inevaluable because you wanted two peripheral positive  
4 blood cultures for Staph. aureus and in addition to a  
5 catheter culture for Staph. aureus would be too  
6 excessive.

7 I think for Staph. aureus, it should be  
8 treated differently than Staph. epi. With Staph.  
9 aureus, I think most people would agree that one  
10 positive blood culture in the setting of clinical  
11 sepsis and a positive catheter tip culture or  
12 intravascular segment would certainly speak of a true  
13 catheter related bloodstream infection for Staph.  
14 aureus.

15 CHAIRMAN CRAIG: Well, can we get back to  
16 the criteria? At least I've heard Barth reemphasize  
17 what we had talked about before of having two positive  
18 blood cultures, and I've heard some comments from  
19 other people that they felt that that was desirable,  
20 too.

21 Is that, again, what we want to emphasize,  
22 that we should have at least two positive blood  
23 cultures?

24 Now, to implicate the catheter, the  
25 question is: is that all we're going to require, is

1 just two positive blood cultures, or do people want  
2 more to try and implicate the catheter?

3 My own feeling was that, yes, I think we  
4 still need to implicate the catheter. I mean, I had  
5 personal experience with patients with VRE at our  
6 institution where I've had positive blood cultures  
7 with VRE from peripheral sites, but taking out the  
8 catheter we can't find the organism there at all, and  
9 I have a positive rectal culture. So I'm sure it's  
10 probably translocation from the gut.

11 so I think there needs to be some  
12 connection to the catheter so that if one of the  
13 cultures was drawn through the catheter and you had a  
14 high number, that would be a way of implicating it,  
15 and then if the catheter is removed, that would be  
16 another way of implicating it.

17 But I have great difficulty with some of  
18 the other criteria.

19 Yes.

20 DR. NORDEN: I think you just changed a  
21 little bit from what you had said earlier. I mean, I  
22 think a blood culture drawn through the catheter, if  
23 it's not quantitated, is no different than a  
24 peripheral culture. It's still a blood culture.

25 CHAIRMAN CRAIG: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORDEN: Well, this time you added  
2 quantitation.

3 CHAIRMAN CRAIG: No. What I'm saying is  
4 that you need some way of implicating the catheter.

5 DR. NORDEN: I agree.

6 CHAIRMAN CRAIG: If you draw two blood  
7 cultures and you draw one through the catheter, it  
8 would still be okay if the catheter is removed and you  
9 met the criteria for implicating the catheter by way  
10 of the roll test.

11 DR. NORDEN: Right.

12 CHAIRMAN CRAIG: On the other hand, if the  
13 catheter was not being removed and you weren't going  
14 to be able to get that and you had two cultures and  
15 one was drawn through the catheter, the only way to  
16 really implicate the catheter then would be from  
17 quantitation.

18 DR. NORDEN: Okay. I don't disagree with  
19 that.

20 DR. ARCHER: It seems to me that the best  
21 way to handle this might be to have the sponsor  
22 include as many tests as possible when the catheter is  
23 not removed or even when it is to try to implicate the  
24 catheter, and we might be able to collect some data  
25 actually on the basis of the studies that are done,

1 whether these methods actually predict catheter  
2 related infections and what the outcome is.

3 Maybe more than one should be required of  
4 sponsors in order to try to answer some of these  
5 questions.

6 I have another FDA related question. If,  
7 for instance, a company does studies with catheter  
8 related bloodstream infections and, say, has 20 Staph.  
9 aureus infections and in ten of those or 15 of those,  
10 the catheter is removed, the patients do well, ten  
11 days' treatment; do they then get an indication in the  
12 package insert for Staph. aureus bacteremia, or will  
13 it have to say catheter related Staph. aureus  
14 bacteremia where the catheter comes out?

15 DR. CHIKAMI: I'm not sure I can address  
16 the numbers issue, but you raised the issue about how  
17 the study was actually done. That is, in the course  
18 of the study if the catheter was removed as an  
19 important point in management, and I think we'd have  
20 to think about it. It's an issue we may bring to the  
21 committee, but in fact, there are other precedents  
22 where important management issues in the course of a  
23 clinical trial have been described in the label in the  
24 clinical study section if we feel and there is  
25 scientific evidence to support that whatever that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 management strategy was was important. We think it's  
2 important for the use of that product and in its  
3 effectiveness and its safe use.

4 CHAIRMAN CRAIG: Dr. Mermel.

5 DR. MERMEL: I think it's an extremely  
6 important point because there are studies that have  
7 shown that with Candida and Staph. aureus not removing  
8 the catheter is an independent risk factor for death.

9 So now I know death isn't the sort of  
10 thing that's an endpoint, but it is going to --  
11 leaving the catheter in with Staph. aureus or Candida  
12 is going to increase independently the risk of death  
13 of the patient and, you know, obviously a bad outcome,  
14 and I think that distinction in terms of analysis is  
15 going to be extremely important.

16 How it is in the package insert I don't  
17 know, but I think in the final analysis it's going to  
18 be very important because of that very compelling data  
19 whether or not the device has been removed or not as  
20 part of treatment.

21 CHAIRMAN CRAIG: Dr. Murray.

22 DR. MURRAY: Yeah, because i was going to  
23 make that point, too. I have to assume that any  
24 study, any evaluation, you're not going to be looking  
25 at the guys who had the catheter removed mixed in with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the guys that hide the catheter left in. I mean those  
2 are two very distinct patient populations.

3 And although they may all come into the  
4 same entry criteria or get admitted into the study and  
5 put on therapy, surely the analysis can't have them  
6 mixed together because I think those are apples and  
7 oranges completely.

8 And while I agree that the two positive  
9 blood cultures as a criteria, I also sort of agree  
10 with Sam that if it's Staph. aureus, so the fever went  
11 to 104, you drew a blood culture, took out the line,  
12 cultured the cath. tip and there were, you know, 50  
13 Staph. aureus on the cath. tip, but you lost the  
14 opportunity to get another blood culture. I think the  
15 Staph. aureus kind of would tend to agree with in that  
16 instance that one might be sufficient.

17 Now I want to make one other comment.  
18 What about these Staph. epis. where the catheter has  
19 been removed? And so I'm really sort of throwing this  
20 out to the pharmaceutical industry. Maybe there is a  
21 way to get some information there.

22 So at 48 hours you find out it's Staph.  
23 epi. The patient has now been on therapy for 48  
24 hours. The catheter was removed. Perhaps we could  
25 consider or encourage the companies to consider having



1 an arm that when that was the case, the therapy either  
2 stops at 48 hours if the patient is doing well or at  
3 72, and otherwise they continue on with what was the  
4 preset therapy.

5 Because I think we may end up treating a  
6 lot of people with Staph. epi. bacteremias whose  
7 catheters were removed for seven to ten days, and that  
8 tells us nothing. And there may be a way to  
9 incorporate into this having a separate part of the  
10 study for those where it's removed and you find out  
11 it's Staph. epi. cutting therapy short, and find out  
12 if two days is equal to seven. Then that's good  
13 information, getting closer to zero all the time.

14 CHAIRMAN CRAIG: Yes, Dr. Mermel.

15 DR. MERMEL: I think also, Barbara, you'd  
16 make this a non-neutropenic, and also I think I would  
17 also echo Dr. Raad's comments with regards to a single  
18 positive blood culture for Staph. aureus and also add  
19 Candida.

20 CHAIRMAN CRAIG: There's one other  
21 scenario here that I wanted to see what the people  
22 thought, is when we do have entry site exudate, and as  
23 was suggested by Dr. Archer, that a Gram stain  
24 definitely looks like there's purulence there, and you  
25 see some organism there; that if you had a positive

1 culture there and two blood culture positives and they  
2 were all the same organism, that you would consider  
3 that to be a catheter related infection.

4 So we could have three ways then of  
5 implicating the catheter: by having a higher number  
6 in the culture through the catheter or for the blood  
7 culture through the catheter; by rolling it; and by if  
8 there happens to be an exudate that is Gram stain  
9 positive and also then recovers the same organism.

10 What do people feel about the catheter hub  
11 and the infusate? Should we just suggest that  
12 companies would be encouraged to collect such data;  
13 that it may be helpful, but at this point in time  
14 we're uncertain about the sensitivity and specificity  
15 of those tests?

16 Okay. Well, that takes care of at least  
17 the initial bacteria. Yes, Dr. Parsonnet.

18 DR. PARSONNET: I just wanted to make one  
19 comment, which is that throughout this discussion it  
20 seems like we've just massive increased the complexity  
21 of the studies that are being done to the point where  
22 we've talked about stratifying by duration of therapy,  
23 type of catheter, the organism involved, whether the  
24 catheter is retained or removed, ways of implicating  
25 the catheter, probable versus definite infections, to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 the point where I'm not sure these studies are going  
2 to be feasible to look at all of these various things,  
3 and it may be that we need to prioritize what things  
4 are most important.

5 DR. MURRAY: I think that reflects the  
6 fact that we're not sure they are feasible in some  
7 ways. I mean it is very difficult. There's so much  
8 mixed out there, and that's probably why there is no  
9 indication right now it's extremely complex, and every  
10 time we ask again we sort of waffle.

11 DR. PARSONNET: I think that's the point.  
12 These studies haven't been done very well in the past  
13 for a reason, which is that they are extremely hard, a  
14 nd we're throwing out all of these criteria, but it's  
15 not clear to me, especially given the sample size  
16 calculations that we heard previously that by  
17 including all of these things we're just making these  
18 sorts of studies completely -- we're just showing that  
19 they're going to be completely impossible to do to the  
20 degree that we'd like to see them done.

21 DR. ARCHER: But I think that the point  
22 has been made several times about you include a lot of  
23 people in the studies and then you look at how the  
24 data falls out, and maybe a lot of this will have to  
25 be done post hoc, and you may not exclude everybody on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the basis of these criteria, but you need to collect  
2 the data, as much **data as** possible.

3 And I think the stratification, I mean  
4 it's going to be a tough job for the FDA once all the  
5 data come in to decide what qualifies and what  
6 doesn't, but I think if you collect the data, then I  
7 think you might be able to arrive at some conclusions.

8 CHAIRMAN CRAIG: I think the hardest thing  
9 is the catheter removal, and I agree, as David said  
10 and as it says in here, the guidelines, is that the  
11 companies have to have some set way of dealing with it  
12 so that it's standard throughout the protocol of how  
13 it's going to be looked at.

14 Because that's such, in my mind, a big  
15 variable in what one's going to see in terms of the  
16 outcome that that really needs to be down in print and  
17 standardized, and it's going to happen exactly as it  
18 says.

19 DR. MERMEL: I just want to say the time  
20 is ripe to do the studies. We know life is difficult  
21 and it's going to be complex, but looking at studying  
22 thousands and thousands of patients for heart disease  
23 and oncology and yet we can't tell a physician how to  
24 treat their patient. I mean anyone who's taken care  
25 of anybody in a hospital over a few days is going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com

1 have a patient with a bloodstream infection, and if  
2 it's related to a catheter, we can't tell them  
3 anything.

4 And so something that's part and parcel  
5 with daily care of patients, it is complex, and I  
6 think we'll just have post hoc analysis and keep track  
7 of whether or not the patient got an echo cardiogram  
8 up front and whether or not the catheter is removed.

9 There's going to be a lot of complexities,  
10 but I certainly wouldn't discourage industry from  
11 pushing ahead and trying to answer some of the most  
12 basic, fundamental questions in taking care of  
13 patients that are hospitalized and in home care that  
14 are totally unanswered.

15 CHAIRMAN CRAIG: Okay. The other question  
16 I think we need to clearly address that we've talked  
17 about already is the test of cure cultures, and  
18 questions of whether they're necessary, whether  
19 they're necessary for certain organisms and not for  
20 others. I'd like to hear some comments from  
21 participants.

22 Dr. Archer.

23 DR. ARCHER: I agree 100 percent with what  
24 Dr. Raad said. I don't think test of cure for any  
25 organism, if the patient is doing well, feeling well,

1 is going to yield anything but a bunch of contaminants  
2 that's going to make the study results difficult to  
3 interpret -- in adults.

4 CHAIRMAN CRAIG: Could I just ask --

5 (Laughter.)

6 CHAIRMAN CRAIG: Could I ask our  
7 consultants what the data would say on catheters that  
8 are left in, that with organisms such as coagulase  
9 negative Staph., if there's any usefulness there later  
10 on? Do those catheters sometimes continue to give  
11 positive blood cultures without fever symptoms, the  
12 other things going on?

13 DR. MERMEL: Two points. The test of  
14 cure, I think, Sam might have touched upon the fact  
15 that -- Gordon, would you feel there's more compelling  
16 evidence to do it if it were something like Staph.  
17 aureus? Still no.

18 DR. ARCHER: Can you imagine a patient  
19 with Staph. aureus bacteremia who's asymptomatic?

20 DR. MERMEL: No, no, no. In terms of --  
21 well, I've seen it. Yeah, I've actually seen cases.  
22 Yeah, I actually have, yeah. But --

23 DR. ARCHER: Well, possibly if the  
24 catheter is left in, in a patient with Staph. aureus  
25 bacteremia, you might want to get some test of cure,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 but otherwise if the patient is doing well, I think  
2 you have all these other ways of assessing.

3 You're not likely to get Staph. aureus  
4 contamination. So that's less of a -- but it's one  
5 more test to do that's probably going to yield you  
6 minimal information.

7 DR. MERMEL: Well, I'm just sure. I just  
8 think the Staph. aureus is just so much more  
9 pathogenic. I just -- I usually, when that's the only  
10 Staph. aureus bacteremia, routinely, irrespective of  
11 the source, recommend repeat blood cultures after I've  
12 stopped therapy. That's just the way I was trained to  
13 practice by people like Dr. Craig.

14 Regarding the catheter in, Dr. Raad has  
15 data showing that there's a threefold, if I'm quoting  
16 correctly, higher risk of repeated bloodstream  
17 infection with coag. negative Staph. if you leave the  
18 catheter in, although I don't know, Sam, how many of  
19 those people -- what their clinical symptoms were at  
20 that time in terms of were any of those people, you  
21 know, not meeting the criteria we're using.

22 DR. RAAD: Yeah, there was patients who  
23 had the catheter left in with Staph. epi., but they  
24 had real Staph. epi. bacteremias. There were 20  
25 percent chance higher of relapse versus those that had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 their catheters removed.

2 But all of those that had a recurrence  
3 came back with, again, clinical manifestations of  
4 infection, including fever. So that's why I'm making  
5 the argument that if patient is doing well, there is  
6 no need to do the blood cultures certainly with Staph.  
7 aureus unless with the Staph. aureus you have  
8 something to mask the infection: an elderly patient,  
9 a renal failure patient, or a patient on steroids.

10 DR. MERMEL: Yeah, I think those are  
11 important.

12 DR. RAAD: And Candida.

13 DR. MERMEL: Clinically I've seen patients  
14 with Staph. aureus bacteremia without much fever in  
15 those sorts of subgroups.

16 CHAIRMAN CRAIG: Yes, Dr. Donowitz.

17 DR. DONOWITZ: I think there's test of  
18 cure weeks after you've stopped therapy or days after  
19 you've stopped therapy, but I also think that there  
20 should be some criterion during the infection in terms  
21 of daily cultures until negative, which should be  
22 fairly specific, and that way you're talking about  
23 efficacy of therapy in the middle of your diagnostic  
24 period.

25 Routinely I would advocate that. We don't



1 routinely, Gordon, much to your surprise do any test  
2 of cure in kids because, again, if they enter with  
3 symptoms and they recur, they recur with the same  
4 symptoms.

5 DR. ARCHER: I think for Staph. aureus, in  
6 particular, multiple blood cultures after starting  
7 therapy is very important. For instance, if you do  
8 those with naphcillin (phonetic) versus vancomycin,  
9 there's a clear difference in time to eradication of  
10 bacteremia with vancomycin versus naphcillin, and it  
11 might be another way of evaluating drugs, one versus  
12 another, in comparative studies.

13 DR. WEINSTEIN: But, Gordon, given that a  
14 large percentage of hospital acquired Staph. aureus  
15 bacteremia, many of which may be associated with  
16 catheter, are going to be caused by methicillin  
17 resistant strains and vancomycin kills slowly, and it  
18 may take a week to clear the bacteremia, it probably  
19 doesn't make a lot of sense to repeat blood cultures  
20 after 48 or 72 hours when you know that a fair number  
21 **of** those patients are going to continue to be  
22 bacteremic. It's going to take longer to clear the  
23 bacteremia.

24 DR. ARCHER: True, but there's a range.  
25 Some may; some may not, and once again, if you're

1 doing it as a comparator, and you comparator is  
2 vancomycin against whatever your drug is, then you  
3 want to show that it does better than vancomycin in  
4 terms of clearing the blood, and I think that's a  
5 useful kind of a test to get in those situations.

6 CHAIRMAN CRAIG: Something that you'd put  
7 in there to suggest people to do or something that you  
8 would require people to do?

9 DR. ARCHER: Once again, I think if a  
10 company wants to prove that its drug is better or  
11 equal to, they want as many parameters as possible for  
12 evaluating drug efficacy, and that's just one. I mean  
13 it would seem to be in their benefit to get those  
14 kinds of studies.

15 CHAIRMAN CRAIG: Dr. Murray.

16 DR. MURRAY: I think it's of interest, but  
17 I think without knowing that the rapidity with which  
18 a blood culture becomes negative under therapy in  
19 these settings, that that has anything to do with  
20 ultimate outcome, it's kind of a slippery slope to  
21 make it a requirement.

22 DR. MERMEL: But again, Sam has data with  
23 Staph. aureus bacteremia suggesting that if after  
24 three days of initiating appropriate therapy they  
25 still have bacteremia, that those patients are very

1 different than those in which it resolves within three  
2 days and are those much more likely to have metastatic  
3 foci.

4 So if you didn't follow that criteria and  
5 then you had a higher failure rate with Staph. aureus  
6 bacteremia and you didn't know that those patients  
7 were bacteremic for several days, you might think it's  
a a drug effect where in actuality they seeded those  
9 sites early on in the infection.

10 So I think with Staph. aureus, again, that  
11 getting multiple cultures is very important in looking  
12 at efficacy because you tease apart those that seeded  
13 foci as compared to drug efficacy.

14 CHAIRMAN CRAIG: yes.

15 DR. CHIKAMI: I think that's an important  
16 issue because as the guidance is written now, there  
17 are early evaluations based primarily on evaluating  
18 the clinical course. There have not been built into  
19 it recommendations related to this issue of following  
20 the microbiologic response and how important that may  
21 be.

22 Again, it may be organism specific.  
23 That's the sort of complexity that we'd have to think  
24 about in how to sort of provide that sort of guidance.

25 DR. MERMEL: I think many clinicians or at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 least myself, if someone's got high grade continued  
2 bloodstream infection, I treat them for a long course.  
3 I treat them as if they have a endovascular focus of  
4 infection, even if they had a TEE, for example, and it  
5 was negative. If I see someone with Staph. aureus and  
6 I think it came from a line, pulled out the line,  
7 initiated therapy, four days later they still have  
8 positive blood cultures, in my care of patients they  
9 get a month of therapy as if they have an endovascular  
10 focus of infection.

11 So I think it's very important with Staph.  
12 aureus to know that, have that data.

13 CHAIRMAN CRAIG: Is that based just on the  
14 blood culture or is that based -- are the patients  
15 clinically sick as well?

16 DR. MERMEL: I think oftentimes they're  
17 sick as well, and it doesn't have to be -- you know,  
18 they could have septic thrombophlebitis, for example,  
19 but they've got continuous bloodstream infection.  
20 Isn't that our definition of an endovascular  
21 infection?

22 CHAIRMAN CRAIG: Yeah, but, I mean, the  
23 question that I think they were trying to get is we've  
24 been talking before about clinical and now we're  
25 talking about microbiologic. Is there something

1 unique about it that's not picked up by clinical  
2 observation?

3 DR. **MERMEL:** I don't know if we have the  
4 data.

5 DR. **ARCHER:** These might be sick patients  
6 who are ill for other reasons, and it's one more thing  
7 to follow. They may not deffervesce immediately in  
8 terms of whatever their symptoms are, but if they  
9 clear their bloodstream very quickly, then I think  
10 that's one more parameter that can be used to follow  
11 them versus not.

12 And, once again, you've got a lot of  
13 patients with a lot of different things, but you've  
14 got them randomized to two different drug regiments,  
15 or Drug A/Drug B, and you tease all of this out, I  
16 think, at the end looking at all of it, rapidity to  
17 clearance, metastatic foci, and so forth with each  
18 individual drug, but there will be a lot of data  
19 gathered in the meantime that we don't have now.

20 CHAIRMAN **CRAIG:** Okay. Any other comments  
21 on that?

22 So I think the general consensus from here  
23 was that blood cultures when somebody's doing fine are  
24 not needed, but if they still have symptoms at the  
25 time, then we would.

1 DR. CHIKAMI: And just to clarify that  
2 point, these are in situations also where the catheter  
3 was left in place. The feeling is that those patients  
4 who are likely to have relapsed would relapse with  
5 symptoms.

6 CHAIRMAN CRAIG: Well, I mean, at least  
7 that's what I thought Dr. Raad said. Is that correct?  
8 Dr. Danner's experience?

9 DR. DANNER: When a catheter is left in  
10 place, I would favor cultures even if someone is not  
11 febrile, and the reason for that is that you may have  
12 decreased the amount of colonization, but not  
13 completely cleared the catheter. You might pick that  
14 up with a blood culture, but not see it clinically.

15 And it also depends a little bit on how  
16 the catheter is being used at that time. If the  
17 catheter is just being locked and not otherwise used,  
18 you may not know that the catheter has a problem based  
19 on clinical symptoms until the person comes in for  
20 another course of chemotherapy or some other  
21 intervention and it's hooked up to an IV and you run  
22 stuff through it, and then they get a shaking chill  
23 and have another event.

24 So as a test of cure to show that you at  
25 least can no longer detect that that catheter is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 colonized with the same infection, in the situation  
2 where the catheter is left in place I would draw a  
3 culture through it.

4 DR. ARCHER: But what if you got a  
5 positive culture from the catheter and a negative  
6 peripheral culture in a patient who is doing well?  
7 Would that be a failure of therapy?

8 DR. DANNER: If it's the same organism,  
9 you know, that you had two weeks ago, yeah, I think  
10 that is probably, and what will happen likely with  
11 that catheter is that eventually there'll be a  
12 relapse, but it may happen down the road.

13 CHAIRMAN CRAIG: I guess my concern still  
14 is what are we treating. Is this an infection we're  
15 treating or is this a catheter we're treating?

16 DR. DANNER: I thought when you're trying  
17 to treat an indwelling catheter that you're leaving in  
18 place that you're clearing the catheter related  
19 infection, but you're also decolonizing that catheter,  
20 and if you haven't decolonized the catheter, then  
21 that's a failure of your treatment.

22 DR. ARCHER: I think Dr. Raad would say  
23 that you've got organisms buried deep in biofilms in  
24 catheters after successful therapy that you could  
25 probably recover in most cases if you looked hard

1 enough.

2 DR. RAAD: Yes. It's extremely difficult  
3 to decolonize catheters even with long term therapy  
4 because of the organisms being imbedded in biofilm and  
5 being resistant to antimicrobial agents in the setting  
6 of biofilm. So a positive blood culture through a CVC  
7 might not be very helpful, certainly for Staph.  
8 epidermidis bloodstream infections.

9 For Staph. aureus, I see where the  
10 cautiousness clinically and you want to make sure that  
11 this is negative, but, again, if this is positive  
12 through the CVC and a catheter is left in and the  
13 peripheral vein is negative and there is no evidence,  
14 no clinical manifestations of infection, what do you  
15 call hits, a hub colonization? Is it failure of  
16 therapy?

17 So why to do a blood culture which is not  
18 going to be helpful or meaningful? And for Staph.  
19 aureus infections, the data in the literature is in  
20 favor of removing the catheter if this is true  
21 catheter related bloodstream infection, and so these  
22 catheters should not be left in place.

23 There is no attempt to use antibiotic lock  
24 therapy for long term catheters and so on, but I  
25 certainly will not kind of propagate using blood

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



1 cultures through CVC for Staph. epidermidis if the  
2 patient is doing fine. For Staph. aureus I see where  
3 you're concerned.

4 DR. DANNER: Well, also other organisms.  
5 I mean enteric Gram negatives and things. I mean if  
6 that's still in that catheter, it's going to come back  
7 eventually, particularly if the patient with that  
8 catheter is going to go through another cycle of  
9 chemotherapy and become neutropenic again and things.

10 I wouldn't leave the catheter in. I think  
11 that's a failure of trying to clear the catheter.  
12 It's still infected.

13 DR. RAAD: Yeah, but we're not evaluating  
14 actually whether we're able to decontaminate the  
15 catheter. We're evaluating whether we're able with  
16 follow-up to cure the patient, and the issue --

17 DR. DANNER: Well, it's not a cure if you  
18 make the patient neutropenic two weeks later and they  
19 then are bacteremic with the same organism because  
20 you've stopped the antibiotics and the organism is  
21 still on the catheter and it's now regrown and you're  
22 infusing stuff through the catheter and they're still  
23 infected.

24 CHAIRMAN CRAIG: Yes, Dr. Norden.

25 DR. NORDEN: I'd like to respond to that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 Bob. I think that really we have to define what we're  
2 trying to do in the study. The study is trying to  
3 treat catheter related bacteremia, and you need one  
4 endpoint for that.

5 The clinical outcome as you talk about two  
6 weeks down the road or four weeks down the road, when  
7 the patient gets another episode of neutropenia is  
8 something that as clinicians we're going to be unhappy  
9 about, but I don't think, you know, it's something you  
10 can ask of an antibiotic or that you'd decolonize, as  
11 Dr. Raad has said, the catheter.

12 So I think you have to say this is my  
13 endpoint. The endpoint is clearing bacteremia, and  
14 you stop there, and that's a success.

15 DR. DANNER: Well, Carl, maybe we practice  
16 different, but to me, you know, just like with a  
17 urinary tract infection, if you want to know you  
18 cleared it, you then have clean urine and you're not  
19 still growing the organism and you don't still have  
20 white cells there.

21 You know, these patients are very complex.  
22 A lot of them are on steroids. A lot of them are  
23 elderly. A lot of them have reasons not to  
24 necessarily have clinical signs, particularly if  
25 you've decreased the amount of organisms in the

1 catheter during the course but not cleared it, and if  
2 you are otherwise not using the catheter in the same  
3 way and you've just locked it, and it's not currently  
4 being used for infusion because your antibiotics have  
5 stopped and they're not getting a course of  
6 chemotherapy, and to me you need to know that the  
7 catheter was cleared of the infection.

8 And the way to do that is to draw a  
9 culture through it.

10 DR. NORDEN: I don't think it's a matter  
11 of practicing differently. I suspect we probably take  
12 care of patients very much the same. I think that  
13 what I'm trying to say though is that this is a drug  
14 trial that you're now doing, and you have a right to  
15 set up any criterion that you think is valid as an  
16 endpoint.

17 And if the criterion you decide is  
18 clearing of bacteremia and everybody agrees that  
19 that's okay, then that's what you use.

20 I mean, I think you're absolutely right  
21 about urinary tract infection. You do the same thing  
22 with osteomyelitis. You'd like a bone biopsy to be  
23 sterile, but when you treat pneumonia, you don't  
24 actually look to see if you clear the sputum. I mean  
25 you don't always do that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 CHAIRMAN CRAIG: I mean you're actually  
2 talking about a surrogate for decolonization of the  
3 catheter. I mean the only way to really be sure that  
4 it's decolonized would be to completely remove it,  
5 scrape everything off you could from the inside and  
6 culture it to be sure that it didn't have any  
7 organisms, and that's not going to happen.

8 DR. DANNER: Well, I don't think you have  
9 to be short that level, but at least to know that you  
10 still don't have positive cultures, and when you hook  
11 up to that catheter, you know, if you hook into the  
12 catheter and you draw blood out and there's bacteria  
13 in it, when you hook into the catheter and infuse  
14 things in, there's bacteria in that also.

15 DR. ARCHER: But, Bob, 90 percent of the  
16 catheters that are going to be left in are going to be  
17 left in for Staph. epi. and coag. negative Staph.,  
18 right?

19 DR. DANNER: Staph. epi., I think, is a  
20 different issue.

21 DR. ARCHER: Okay. Well, I think that is  
22 the issue. I think most of us would agree if you have  
23 to leave the catheter in and the patient had  
24 Pseudomonas, enterobacter, or Staph. aureus, then I  
25 think you're right. You would want to be careful, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 maybe you'd want to culture the catheter again.

2 But most of the time those catheters are  
3 going to be pulled even if it's a central catheter for  
4 those kinds of bacteremia related to catheter.

5 DR. DANNER: Yeah, enterobacteriaceae, I  
6 mean, people treat those, I mean, for the permanent  
7 catheters. They attempt to clear the catheter and  
8 treat that.

9 DR. ARCHER: And your experience is those  
10 relapse?

11 DR. DANNER: Some of them do. Some of  
12 them clear, and some of them relapse, and I would like  
13 to have the culture.

14 If you keep in the criteria where you're  
15 following up long term enough, then I think the  
16 catheters that are still colonized and have not been  
17 cleared adequately if your follow-up is long enough,  
18 those people who are going to have a problem will  
19 relapse and you'll pick it up.

20 But you certainly then need to have the  
21 later follow-up in there.

22 DR. RAAD: But then the antimicrobial  
23 agent will not be able to decolonize the catheter.  
24 In these situations what you need to do is be more  
25 concerned about removal of the catheter, which is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 management issue.

2 To expect that the antimicrobial agent in  
3 the case of some of the organisms, such as  
4 stenotrophomonas multiphilia (phonetic) or some of the  
5 other agents will decolonize the catheter, and to call  
6 this that this is failure because a positive blood  
7 culture through the CVC in a patient who is doing well  
8 is positive reflects failure of the antimicrobial  
9 agent is --

10 DR. DANNER: Well, I don't know. I mean  
11 you have somebody that got an E. coli infection of  
12 their catheter. You treat them with antibiotics.  
13 Subsequent cultures through the catheter are negative.  
14 Three months later they have fevers. You're drawing  
15 other cultures. You don't recover that same E. coli.

16 So I think those catheters are, in fact,  
17 decolonized. They no longer have the E. coli on them.

18 CHAIRMAN CRAIG: We need to --

19 DR. MERMEL: Could we resolve that issue,  
20 however? Instead of requiring a blood culture through  
21 the catheter, for patients whose catheters are left in  
22 place have longer follow-up so that if there is a  
23 bacteremia it could be recorded because then it's  
24 clinically meaning. The patient has a true  
25 bloodstream infection, you know, six weeks after --

1 DR. DANNER: Six weeks after if it  
2 relapses with the same organism. Then that's a  
3 failure, and yes, if you had a longer follow-up you  
4 could address it the same way.

5 I myself, I mean, after I finish the  
6 antibiotics, you know, not for all organisms, but for  
7 a substantial number of organisms, I'll repeat  
8 cultures through the catheter and make sure I cleared  
9 the organism from the catheter.

10 But long enough follow-up would address  
11 the same issue.

12 CHAIRMAN CRAIG: Okay. Dr. Reller.

13 DR. RELLER: I wonder if one way out of  
14 this controversy, given the diversity of the  
15 organisms, some catheters coming out and some not, and  
16 I think it's in accord with clinical practice, that if  
17 a patient is not doing well, implicit in these  
18 guidelines is a delineation of the factors for  
19 documentation of whether the catheter was removed or  
20 not, and what the criteria for removal of the catheter  
21 are.

22 So that if a patient who has an organism  
23 that's an aureus or a Candida, most people are going  
24 to remove the catheter if they can straight away.  
25 **Some**, if it's a vital lifeline, are going to try to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 get by without moving the catheter, but if the patient  
2 is not doing well, they're going to get blood cultures  
3 and if positive, then the pressure is really on to  
4 remove the catheter.

5 So if we had it in that the patient was  
6 doing well, whether the catheter was removed or not,  
7 and most of the time this is going to be with  
8 coagulase negative Staphylococci, which would be one  
9 of the goals in developing a drug is to be able to  
10 save more catheters to get through whatever they  
11 needed the catheter for in the first place.

12 So if a patient is not doing well and the  
13 catheter is going to be removed and blood cultures are  
14 obtained, that that be required, that those data be  
15 captured, but that getting blood cultures at two,  
16 three, five days on every patient regardless is not.  
17 It's neither necessary, nor, in fact, depending on the  
18 antibiotic and the organism necessarily interpretable.

19 But at the end of therapy, presumably if  
20 you're treating catheter related infection, it's going  
21 to be a short course. Whether short is five days,  
22 seven days, or ten days, it's going to be delineated  
23 in a given protocol for a given agent, and I would  
24 think that after completion of therapy, at some time  
25 after that, that that's the follow-up blood culture



1 that I'm interest in, and interest in for two or three  
2 reasons.

3 One is that if this patient had a catheter  
4 related infection that was caused by Staph. aureus or  
5 Candida, even with removal of the catheter, I am  
6 always nervous, and it may be subtle in the dialysis  
7 patient, et cetera. For the purposes of a clinical  
8 trial, if you're saying this is a simple one, it may  
9 be a bad organism but it's simple. We remove the  
10 catheter; they got a short course of therapy. To  
11 document that after therapy is stopped I think would  
12 be very important.

13 They might pop up with osteomyelitis six  
14 weeks down the -- but you would say three or four days  
15 after completion of therapy with a bad organism and  
16 catheter removed that that patients did not have  
17 bacteremia, which was a necessary criterion for  
18 evaluability on entry.

19 For the patients with the you might say  
20 easier organism, the coag. negative Staph and the  
21 catheter was left in place, even without symptoms, I  
22 am very interested for the purposes of study in  
23 showing that after the therapy is stopped because of  
24 biofilm, et cetera, that that thing is not popping  
25 back up right away.

1           One could argue about whether it would be  
2 worthwhile looking further down the line, but I think  
3 there needs to be -- we put a lot of emphasis, and I  
4 think appropriately, on the primacy of microbiological  
5 criteria for evaluation, that they actually have a  
6 bloodstream infection related to the catheter, but I  
7 think afterwards whether removed for the bad organisms  
8 or left in for the easier organism, that it would be  
9 important to document that they no longer have  
10 bacteremia.

11           I'm not interested in between if they're  
12 doing well, but I am interested at the conclusion of  
13 therapy.

14           CHAIRMAN CRAIG:     But you're going to  
15 require a peripheral one then, right?

16           DR. RELLER: Yes, or I mean it could be --  
17 well, I mean, if the catheter -- it has to be a  
18 peripheral if the catheter is gone, for those that had  
19 it removed for --

20           CHAIRMAN CRAIG: No, but I'm talking about  
21 the catheter still being in place.

22           DR. RELLER: With the catheter still in  
23 place, I mean, what I'd really like to have, Bill, at  
24 the conclusion of therapy is one through the catheter  
25 and one peripheral, for the catheters which are left

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in place, which is most of the time going to be for  
2 coag. negative Staph., because then I think you would  
3 really get the information that you want that this  
4 patient got Antibiotic X. They had a bacteremia  
5 related to the catheter. They got a short course of  
6 therapy, and the antibiotic works, and after stopping  
7 therapy what was positive before and peripherally is  
8 no longer there. That would be the best situation.

9 CHAIRMAN CRAIG: I guess my problem is I  
10 think we've identified a population in the beginning  
11 with our entry criteria of people that are going to  
12 respond with fever and signs and symptoms. So we're  
13 not talking about patients on steroids, patients with  
14 renal disease. We're talking about people that can  
15 respond to infection with signs of infection.

16 So in somebody that's doing perfectly well  
17 at the end of therapy, I have great difficulty in  
18 understanding why we need to do a blood culture in  
19 that population.

20 Now, if you want to have as a second  
21 indication for approval of the drug that it can  
22 decolonize the catheter and the catheter is left in,  
23 then I think it's perfectly fine to go ahead and get  
24 a blood culture, but I don't think that has anything  
25 to do with treating catheter related bloodstream

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com

1 infection. It has to do with decolonizing the  
2 catheter, which I think can be a second endpoint.

3 Someone could look at both of them, but I  
4 don't think that they're related. Sure, if you don't  
5 decolonize the catheter several times down the line  
6 the patient may again get a secondary infection, but  
7 I'm not sure that that has anything to do with the  
8 ability of the drug to treat the infection.

9 DR. DANNER: I think we're looking though  
10 at different diseases. I mean if you have a temporary  
11 catheter in, a peripheral IV or a temporary central  
12 catheter, those catheters when they're infected are  
13 removed. You don't try to treat them in situ, and  
14 your goal is, therefore, different.

15 You're removing the catheter, and then  
16 you're trying to mop up whatever bacteremia or, you  
17 know, sites that have been seeded or whatever with  
18 your antibiotics.

19 There's another very large set of patients  
20 that are being included in this type of trial, which  
21 is a very different disease in a different set of  
22 patients where you have a permanent catheter in that  
23 has become colonized and has, through its becoming  
24 colonized, caused an infection.

25 These catheters are not supposed to be

1 colonized with bacteria. It's not like some other  
2 devices or medical devices where they're in non-  
3 sterile sites. Intravascular catheters aren't  
4 supposed to be colonized with bacteria, and your goal  
5 there to me is to either decolonize them in treating  
6 the infection; it's part of the thing you're using the  
7 antibiotics for, or it's at least to knock down the  
8 colony counts so much so that the remaining bacteria  
9 are all locked in glycochalates and other things, and  
10 it's not going to get back out and cause another  
11 infection.

12 They're really two different entities.

13 CHAIRMAN CRAIG: But you're going to  
14 change the study design. I mean any company that  
15 wants to try and get an indication for the drug then  
16 isn't going to look at long term catheters. You're  
17 not going to get the data on long term catheters.  
18 You're going after the short term because if you're  
19 going to get a blood culture at the end and call that  
20 as part of a failure of the therapy, then why look at  
21 that population?

22 DR. DANNER You don't have to be any  
23 better --

24 CHAIRMAN CRAIG: I think it's a secondary  
25 endpoint that applies only to catheters that are

1 there, that the primary endpoint, which is the  
2 clinical response and the ability to clear the  
3 bacteremia that was related to the infection is the  
4 primary thing.

5 There I think you can combine the data  
6 from those that have the catheter removed in addition  
7 to those that have the catheter staying in, but when  
8 it comes to the ability as a secondary thing to be  
9 able to clear the catheter, that should be a secondary  
10 endpoint, and if you fail there, that's one of the  
11 secondary things you're unable to do, but it shouldn't  
12 result in you being a failure for the treatment of the  
13 infection.

14 DR. DANNER Your goal when you treat a  
15 catheter infection in situ and leave the catheter in  
16 is to clear the infection and to clear that catheter  
17 so that you can continue to use it and leave it in  
18 place.

19 The study drug will not be put under any  
20 higher burden than the comparator. The comparator  
21 will also be -- that's a more difficult situation.  
22 There's no doubt about it. If you remove the  
23 catheter, your ability to clear these infections with  
24 or without antibiotics is dramatically improved, but  
25 the comparator is going to be put under the same

1 burden and criteria.

2 So, yeah, your failure rate will be  
3 higher, and you will have some of those catheters you  
4 leave in situ where they remain heavily colonized, and  
5 you briefly clear the bacteremia, but then it recurs  
6 and the person gets sick again, and you know then that  
7 you have to remove the catheter.

8 Also, some of those people will fail to  
9 clear the original infection, and the catheters will  
10 come out in 48 or 72 hours because of persistent  
11 fever, which a lot of people will use as criteria.

12 The comparator has the same -- you know,  
13 that's why you have a comparator. Is the new drug  
14 that you're looking at, is it equivalent to  
15 conventional therapy?

16 **CHAIRMAN CRAIG:** Yeah, but with the  
17 requirement of ten percent difference, I think at  
18 least what I would see happening is it would be much  
19 better to look at it in a population where you're  
20 going to have very good results than looking at it in  
21 a population where you're going to have a lower  
22 response.

23 And so what would drive it then would be  
24 where you would expect to get your good response, and  
25 that was those where the catheters would be removed.

1           And so my way of designing the trial then  
2 would be only look at those which the catheter is  
3 removed.

4           On the other hand, if it's a secondary  
5 endpoint and it's being looked at as a secondary  
6 endpoint, as a separate thing, I have no trouble with  
7 that. I agree that it is something that should be  
8 looked at, and what you'd like with any drug is not  
9 only to be able to treat the infection, but also to  
10 eliminate colonization as a secondary endpoint, and  
11 those to me are two different things that you're  
12 asking the drug to be done, and they should be listed  
13 in the criteria as two separate things.

14           But to fail on one and say, therefore, you  
15 fail overall on everything, I think, is incorrect.

16           Dr. O'Fallon.

17           DR. O'FALLON: I'm very much behind what  
18 you're saying. What bothers me is that there really  
19 are two things going on here. One of them is how best  
20 to treat the patient. No question about that.

21           But these studies are being done as to how  
22 to assess the effectiveness of the therapy. We want  
23 to know whether this is an effective therapy, and so  
24 what we're really looking for are two different  
25 things. One of them is can it clear the bugs, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 being a doc. Can it really get rid of it? That's  
2 what they really want to know and we want to know, as  
3 to whether this stuff is any good.

4 I keep hearing that the can't clear, you  
5 can't expect it to clear the catheter, but I mean, as  
6 a second endpoint. So it seems to me that's another  
7 endpoint, but they're basically trying to figure out  
8 whether this is effective in clearing bugs.

9 CHAIRMAN CRAIG: Yes. Dr. Reller.

10 DR. RAAD: I just wanted to say current  
11 antimicrobials are not able to decolonize catheters  
12 because of the dynamics of the whole environment of  
13 biofilm.

14 We published in the JID, the Journal of  
15 Infectious Disease, in 1993 a study on 354 catheters  
16 from patients who were treated with antimicrobial  
17 therapy, some of them for a long time period.  
18 Colonization was almost universal, even after  
19 treatment with vancomycin, and Ornafsen and Tenny had  
20 the same data from, again, University of Maryland.

21 so for these long term catheters,  
22 colonization is almost universal, and even with  
23 treatment you're not able to decolonize these  
24 catheters. You might kill some of the free floating  
25 organisms for a short while, but ultimately these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 organisms in biofilm would creep back again.

2 And hence to kind of expect -- this would  
3 be ideal as another endpoint to look for an agent that  
4 would decolonize catheters, but at this point we don't  
5 have antimicrobials that are able to achieve this  
6 endpoint, and this might be an interesting study  
7 towards this specific endpoint, which would be quite  
8 desirable.

9 And I think it's not going to be achieved  
10 by an antimicrobial alone. You'll probably need  
11 something else to break the biofilm.

12 CHAIRMAN CRAIG: Dr. Reller.

13 DR. RELLER: Some, perhaps many, of these  
14 patients after initiation of therapy for presumed and  
15 subsequently documented by criteria outlined catheter  
16 related bloodstream infection, especially with  
17 coagulation negative Staphylococci because it's so  
18 common, won't get well because they've got other  
19 things going on.

20 And then there's no objective assessment  
21 about clearing what was documented to be present. I'm  
22 uneasy. What you're suggesting, Bill, is that a  
23 patient --

24 CHAIRMAN CRAIG: No, we said before --

25 DR. RELLER: -- could be clinically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well --

2 CHAIRMAN CRAIG: We said that if the  
3 patient was not doing well we felt that follow-up  
4 cultures were indicated in those patients. I'm  
5 talking about somebody at the end of therapy that is  
6 afebrile, doing well, and we decided at the beginning  
7 in our entry criteria that we identified patients that  
8 can respond to infection with signs of infection.

9 My feeling in that population is I'm not  
10 going to yield anything at the test of cure, at the  
11 end if they're doing well in terms of getting blood  
12 cultures at that time.

13 DR. RELLER: Let me come to the bottom  
14 line, Bill. Let's take two patients, not whether it  
15 should be done or not, but this is what is actually  
16 done in the study that I'm evaluating.

17 I have a patient who is clinically doing  
18 well, had coag. negative Staph., got seven days of  
19 therapy. Three days later they're still doing well.  
20 I obtain a blood culture, and through the line and  
21 peripherally, and they're both positive for coag.  
22 negative Staphylococcus. It's the same one that was  
23 there before.

24 Now I have a patient, another patient, who  
25 is not perfectly well clinically, had coagulase

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 negative Staphylococcus from the two sites earlier,  
2 and three days after stopping therapy, they're not  
3 perfectly well, but their cultures are negative.

4 Who's the failure and who's the success?

5 CHAIRMAN CRAIG: Based on -- you said the  
6 first one clinically --

7 DR. RELLER: The first one clinically  
8 well, but their cultures are still positive. The  
9 catheter was left in place for coag. negative Staph.

10 CHAIRMAN CRAIG: Yeah.

11 DR. RELLER: The other one was clinically  
12 not well, complicated patient. They've got, you know,  
13 congestive failure, other things, and they had coag.  
14 negative Staph.

15 CHAIRMAN CRAIG: But, again, the initial  
16 fever and everything was --

17 DR. RELLER: No, these patients were the  
18 same at the start, the same at the start.

19 CHAIRMAN CRAIG: Yeah.

20 DR. RELLER: One appeared to get well and  
21 their blood cultures are still positive. The other  
22 one was not well, whether owing to the infection or  
23 not, was not -- everything didn't go away.

24 CHAIRMAN CRAIG: That would be a clinical  
25 failure, and it would probably end up as a -- since

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 you did do blood cultures, as a microbiologic success.

2 DR. RELLEL: Okay.

3 DR. ARCHER: But, Barth, the first case  
4 isn't going to occur. The data are that those  
5 patients who don't clear the blood will be  
6 symptomatic. That's the point.

7 DR. RELLEL: See, I don't believe that.

a DR. ARCHER: Dr. Raad presented data that  
9 if the patients didn't have another reason, if they  
10 cleared the blood and they became asymptomatic, fever  
11 went away quickly, stopped therapy, they still were  
12 fine; the chance of recovering organisms from both  
13 those sites are exceedingly small. I don't know what  
14 the exact numbers were, but very small.

15 DR. RELLEL: I thought people with coag.  
16 negative Staphylococcal bloodstream infection with  
17 coag. negative Staph. treated with the catheter left  
18 in place, that the failure rate was in the order of  
19 30, 40 percent.

20 DR. MERMEL: It depends on how you look at  
21 the data. I think what Sam showed -- I guess Sam's  
22 still here -- is that there was a higher rate of  
23 relapse, but in terms of looking at fever, you know,  
24 over the days ahead leaving the catheter in, I don't  
25 think there was a difference.

1 MR. RAAD: There was a 20 percent relapse  
2 rate, but all of those had clinical manifestations of  
3 infections. So, again, that's a small number. Twenty  
4 percent came back with Staph. epi., multiple blood  
5 cultures, but all of them had clinical manifestations  
6 of infection.

7 I'm not aware -- if somebody had a real  
8 infection if it's catheter related, if they manifest  
9 it in the first place, they should manifest with it  
10 later on within the four to eight weeks' follow-up.  
11 I don't see why they would not be able to manifest  
12 with that kind of -- with the infection.

13 CHAIRMAN CRAIG: Well, Gary, I don't think  
14 we're going to come up with a consensus on this --

15 (Laughter.)

16 CHAIRMAN CRAIG: -- last issue. I think  
17 there's some that feel that repeats are not needed  
18 even when the catheter is left in place, and there are  
19 some that feel that when the catheter is left in  
20 place, repeats are needed.

21 The possibility of having it **be** a  
22 secondary objective in places where the catheter is  
23 left in place, to have the organism removed, I'm just  
24 not sure unless you want us to give you a vote as to  
25 how we would do on it. I think it's not a consensus

1 among the group.

2 DR. CHIKAMI Well, and I think that if  
3 committee members and certainly members of the  
4 audience, and as I said, this is a draft document  
5 which will be published in the Federal Register for  
6 comments, and this is clearly a controversial issue  
7 that we'll expect to get further comments on and try  
8 to come to some resolution.

9 CHAIRMAN CRAIG: Okay. Any last comments  
10 that anybody wants to make?

11 If not, we'll break for lunch and we'll  
12 start what, one o'clock or five after?

13 DR. RELLER: Bill.

14 CHAIRMAN CRAIG: Yes.

15 DR. RELLER: One o'clock, please.

16 CHAIRMAN CRAIG: One o'clock.

17 (Whereupon, at 12:05 p.m., the meeting was  
18 recessed for lunch, to reconvene at 1:00 p.m., the  
19 same day.)

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:00 p.m.)

DR. RELLER: Good afternoon. I'm Barth Reller, at Duke University Medical Center, and the acting chairman for this afternoon session. I'd like to call the meeting to order and begin with the conflict of interest statement by Rhonda Stover.

DR. STOVER: The following announcement addresses the issue of conflict of interest with regard to this meeting and is made a part of the record to preclude even the appearance of such at this meeting.

Based on the submitted agenda for the meeting and all financial interests reported by the committee participants, it has been determined that all interests in firms regulated by the Center for Drug Evaluation Research which have been reported by the participants present no potential for an appearance of a conflict of interest at this meeting with the following exceptions.

Dr. William Craig and Dr. Gordon Archer are excluded from participating in today's discussion and vote concerning Levaquin.

In addition, in accordance with 18 United States Code 2.8(b), full waivers have been granted to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



1 Dr. Robert Danner, Dr. Carl Norden, Dr. Julie  
2 Parsonnet, and Dr. Keith Rodvold.

3 A copy of these waiver statements may be  
4 obtained by submitting a written request to the  
5 Agency's Freedom of Information Office, Room 12A30 of  
6 the Parklawn building.

7 In addition, we would like to note that in  
8 1996, Dr. Rodvold consulted with Johnson & Johnson  
9 regarding levofloxacin. Further, he has had interests  
10 in Eli Lilly, Rhone-Poulenc Rorer, Bayer Corporation,  
11 and Bristol-Myers Squibb unrelated to their competing  
12 products.

13 Although these interests do not constitute  
14 a financial interest in the particular matter within  
15 the meaning of 18 United States Code 2.8, they could  
16 create the appearance of a conflict. However, it has  
17 been determined, notwithstanding these interests, that  
18 it is in the agency's best interest to have Dr.  
19 Rodvold participate in the committee discussions  
20 concerning Levaquin.

21 Further, several of our committee members  
22 have had interests related to Levaquin that we believe  
23 should be disclosed. FDA believes that it is  
24 important to acknowledge these participants'  
25 involvement so that their participation can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 objectively evaluated.

2 Dr. Carl Norden previously served as a  
3 consultant for Ortho-McNeil concerning levofloxacin  
4 for different indications.

5 Dr. Rodvold previously participated in a  
6 pharmacokinetic study of levofloxacin and  
7 ciprofloxacin in a lung penetration of levofloxacin  
a and trovafloxacin sponsored by Ortho-McNeil.

9 In the event that these discussions  
10 involve any other products or firms not already on the  
11 agenda in which an FDA participant has a financial  
12 interest, the participants are aware of the need to  
13 exclude themselves from such involvement, and their  
14 exclusion will be noted for the record.

15 With respect to all other participants, we  
16 ask in the interest of fairness that they address any  
17 current or previous financial involvement with any  
18 firm whose products they may wish to comment upon.

19 DR. RELLER: Thank you, Rhonda.

20 I'd next like to have -- even though some  
21 were present this morning, we have new consultants for  
22 this afternoon -- to next have each of the members and  
23 consultants for the advisory committee meeting to  
24 identify themselves.

25 Please.

1 DR. O'FALLON: Judith O'Fallon,  
2 Biostatistics, Mayo Cancer -- May Clinic.

3 DR. RODVOLD Keith Rodvold, Colleges of  
4 Pharmacy and Medicine, University of Illinois at  
5 Chicago.

6 DR. CHRISTIE-SAMUELS: Celia Christie,  
7 Department of Child Health, University Hospital of the  
8 West Indies, Jamaica.

9 DR. SOPER David Soper, Medical  
10 University of South Carolina.

11 DR. DANNER: Bob Danner, Critical Care  
12 Medicine Department, NIH.

13 DR. STOVER: Rhonda Stover, FDA.

14 DR. RELLER: Julie.

15 DR. PARSONNET: Julie Parsonnet, Division  
16 of Infectious Diseases, Stanford University.

17 DR. NORDEN: Carl Norden, Infectious  
18 Disease, Cooper Hospital, University of New Jersey  
19 Medical School.

20 DR. BATTINELLI: Dave Battinelli, Vice  
21 Chairman, Education, Boston University School of  
22 Medicine.

23 DR. WHITNEY: Cindy Whitney, CDC, Atlanta.

24 DR. COX: Edward Cox, Medical Officer,  
25 FDA.

1 DR. HOPKINS: Bob Hopkins, Medical Team  
2 Leader, FDA.

3 DR. GOLDBERGER: Mark Goldberger, the  
4 Director of the Division of Special Pathogens.

5 DR. KWEDER: I'm Sandra Kweder. I'm the  
6 Acting Director of Office of Drug Evaluation IV.

7 DR. RELLER: Thank you.

8 Next on our agenda is our open public  
9 hearing. Are there any comments that are submitted  
10 that wish to be made?

11 Yes, Dr. Bell.

12 DR. BELL: Thank you.

13 I am David Bell from the Centers for  
14 Disease Control and Prevention in Atlanta, and my  
15 position there is to coordinate CDC's antimicrobial  
16 resistance activities.

17 I'd like to say that from a public health  
18 point of view, CDC is delighted that the  
19 pharmaceutical industry is developing and seeking to  
20 market new drugs for the treatment of resistant  
21 infections. We very much depend on these new drugs to  
22 help us out of the predicament that we are now in with  
23 drug resistant organisms.

24 However, the potential for overuse of the  
25 new drugs hastening the developing of resistance and

1 shortening the new drugs' useful lifetime must also be  
2 considered in the approval process.

3 In the case of a drug approved for  
4 treatment of penicillin-resistant pneumococcal  
5 pneumonia, there is a potential for overuse because of  
6 the widespread confusion among clinicians regarding  
7 the distinction between intermediate resistant and  
8 fully resistant pneumococci.

9 For pneumonia, experts generally believe  
10 that only fully resistant pneumococci may not reliably  
11 respond to penicillin or cephalosporins. Pneumonia  
12 caused by pneumococci classified as intermediate  
13 resistant is readily treatable with penicillins or  
14 cephalosporins, and fluoroquinolones offer no  
15 advantage.

16 The confusion is exacerbated by the fact  
17 that the term "nonsusceptible" is used to describe  
18 both intermediate resistant and fully resistant  
19 strains, and that these break points were developed  
20 for use in the treatment of meningitis, and so are  
21 overly conservative when applied to the treatment of  
22 pneumonia.

23 Since this is the first application for  
24 approval of an antibiotic for penicillin-resistant  
25 pneumococcal pneumonia, this unfortunate confusion

1 must be addressed. If a clinician receives a culture  
2 result from a patient with pneumonia, indicating  
3 pneumococci with intermediate resistance to penicillin  
4 or cephalosporins, the clinician should not be under  
5 the impression that he or she needs to use an  
6 alternative drug.

7 For out-patient empiric treatment of  
8 community-acquired pneumonia, clinicians may choose to  
9 use a fluoroquinolone if they wish to provide coverage  
10 against both atypical organisms and full penicillin-  
11 resistant pneumococci.

12 However, they should not be given the  
13 impression that fluoroquinolones are necessary or  
14 advantageous in treating pneumonia due to pneumococci  
15 with penicillin MICs below two. Some experts would  
16 say including two, which are still the great majority  
17 of invasive pneumococci in the United States.

18 Other drugs, such as macrolides still  
19 offer effective empiric treatment for **most cases** of  
20 community-acquired pneumonia.

21 Now, I want to emphasize that CDC is not  
22 at all opposing this proposed indication if it is  
23 otherwise acceptable to the committee. In fact, as I  
24 mentioned, we are delighted that pharmaceutical  
25 companies are bringing forth drugs to treat these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 resistant organisms.

2                   However, it is important to prolong the  
3 useful life of these valuable new drugs.  
4 Fluoroquinolone use will over time lead to resistance  
5 among respiratory and gastrointestinal flora,  
6 particularly in a situation like this where drug  
7 overuse may result from honest confusion among  
8 clinicians regarding microbiologic nomenclature.

9                   The phrasing of the indication, a comment  
10 in the label, and especially promotional materials  
11 should take steps to assist clinicians and patients by  
12 reducing the potential for overuse due to this  
13 confusion.

14                   DR. RELLER: Thank you, Dr. Bell.

15                   Are there any questions or comments for  
16 Dr. Bell?

17                   (No response.)

18                   DR. RELLER: Dr. Mark Goldberger, who  
19 directs the Division of Special Pathogen Immunologic  
20 Drug Products at the agency, will present the FDA's  
21 introduction.

22                   DR. GOLDBERGER: Thank you.

23                   I'd like to extend my welcome to Dr.  
24 Reller, advisory committee participants, members of  
25 R.W. Johnson Pharmaceutical Company, and all the other

1 participants in today's meeting.

2 As some of you or many of you may  
3 remember, we had an advisory committee almost exactly  
4 a year ago devoted largely to the issue of looking at  
5 the development of drugs for resistant indications,  
6 and in fact, information about the indication being  
7 sought today and the underlying data was presented by  
8 the company at that time, and in fact, there was an  
9 opportunity to get some advice about what the  
10 committee thought at that point in time might seem to  
11 be a reasonable amount of data to gain an indication  
12 such as that which the company is seeking today.

13 Some of the issues that came up then were  
14 the potential value of preclinical data, PK/PD data,  
15 the demonstrated effectiveness of the drug in  
16 susceptible isolates of pneumococci in patients, as  
17 well as some number of resistant patients, some number  
18 of resistant isolates actually in patients who were  
19 treated.

20 There was a little bit of discussion about  
21 the numbers, and it always becomes difficult to come  
22 down to an exact number, but there were comments along  
23 the lines of ten to 15 cases, some bacteremic cases,  
24 et cetera, and depending on how much overall data  
25 there was against the pneumococcus.



1                   We have been working with R.W. Johnson  
2                   Pharmaceutical Company. We believe the advice we've  
3                   provided has been consistent with that provided by the  
4                   committee a year ago, and we hope, therefore, that  
5                   there is sufficient information here to allow a  
6                   reasonable discussion of the issue in question.

7                   I would also like to extend my thanks to  
8                   R.W. Johnson for the effort that they have put in to  
9                   collect the amount of data that we have today.

10                  I think one other issue that I think ought  
11                  to be brought up in terms of what was discussed a year  
12                  ago was that there was interest by committee members  
13                  in understanding how the pattern of penicillin  
14                  resistance to pneumococcus, as well as potentially  
15                  quinalone resistance might change over time, and there  
16                  were issues about whether there needed to be ongoing  
17                  data collection.

18                  And it may well be that that's also an  
19                  issue that will need to be discussed during this  
20                  afternoon's meeting.

21                  So I won't take up anymore time now. I  
22                  would just like to thank everyone here who's  
23                  participating in the meeting, and I hope we will have  
24                  a useful discussion about this issue.

25                  Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. RELLER: Undergirding this afternoon's  
2 discussion and the reason for this meeting very much  
3 hinges on the whole issue of where we are with regard  
4 to resistance in this important pathogen and what the  
5 trends are, and to update us on that Dr. Cynthia  
6 Whitney from the CDC will do that for us.

7 Cynthia.

8 DR. WHITNEY: Good afternoon. I'd like to  
9 spend a few minutes just reviewing epidemiology of  
10 antimicrobial resistance in regards to Streptococcus  
11 pneumoniae. I'll give you some of the latest  
12 information, then spend a couple of minutes just  
13 reviewing the literature about whether resistance  
14 matters in terms of patient outcomes with regard to  
15 pneumonia, and then spend the last couple of minutes  
16 focusing on what we know about resistance to -- the  
17 epidemiology of resistance to fluoroquinolones.

18 Drug resistance Strep. pneumoniae really  
19 became in the United States in the 1990s. Throughout  
20 the 1980s, there really was just a small amount of  
21 intermediate level resistance, but in the early 1990s,  
22 we saw the emergence of high level penicillin  
23 resistance, and that trend has continued to increase.

24 CDC uses a system called the active  
25 bacterial core surveillance, or ABCS, to track drug

1 resistant Streptococcus pneumoniae. This is a system  
2 that started back in 1994. It currently operates in  
3 eight states, which are shown here.

4 It's a population based system that tracks  
5 pneumococcal disease in a total population of about 17  
6 million persons.

7 This is how ABCS works. ABCS is an  
8 active, population based surveillance system for  
9 Strep. pneumoniae. A case is defined as a situation  
10 in which pneumococcus is isolated from a general site  
11 in a resident of one of the surveillance areas.

12 To identify cases, surveillance personnel  
13 contact all area clinical laboratories, and then twice  
14 a year they conducted audits of laboratory records to  
15 insure complete reporting.

16 Isolates are collected and sent to  
17 reference laboratories where they undergo  
18 susceptibility testing and serotyping. In addition,  
19 surveillance personnel collect case patient  
20 information which includes demographic and clinical  
21 data.

22 Here are the results from 1998. In 1998,  
23 decreased susceptibility of cotrimoxazole or  
24 trimethoprim sulfa was the single most frequently  
25 identified resistance. About 24 percent of isolates

1 had decreased susceptibility to penicillin. It was  
2 about half a high level resistance and half  
3 intermediate in our data.

4 Between 14 and 18 percent of isolates had  
5 decreased susceptibility of cefuroxime, amoxicillin,  
6 erythromycin, or cefotaxime. There were only a small  
7 number of isolates that were resistant the  
8 levofloxacin or trovafloxacin, which were the two  
9 fluoroquinolones in our panel, and we have not yet  
10 identified an isolate with decreased susceptibility to  
11 vancomycin.

12 Over the last four years, we have seen an  
13 increase in many of the resistances. Between 1995 and  
14 1998, we saw a significant upward trend for  
15 penicillin, cefotaxime, erythromycin, cotrimoxazole,  
16 and between 1995 and 1997 for ofloxacin.

17 In addition, we've seen a significant  
18 upward trend in the proportion of isolates that are  
19 not susceptible to at least three different drug  
20 classes.

21 Interestingly, when you look at the  
22 proportion of isolates that are pan susceptible,  
23 meaning that they're susceptible to every drug we have  
24 in our panel, those proportion of isolates has stayed  
25 relatively stable at about 60 percent.

1           So what we're seeing is that there's a  
2 fairly large population of isolates that remain  
3 susceptible to all agents and are probably easily  
4 treated, but there is a population of isolates that  
5 have at least one resistance that are gaining  
6 additional resistances. So the problem of cross-  
7 resistance is increasing.

8           Let me just illustrate this issue of  
9 cross-resistance another way. In this table, I've  
10 taken the ABCS isolates and grouped them by whether  
11 they're penicillin susceptible, penicillin  
12 intermediate, or penicillin resistant, and the numbers  
13 in each of these columns here are the proportion of  
14 isolates that are resistant to the drugs here.

15           So, for example, in the population of  
16 penicillin susceptible isolates, no isolates are  
17 resistant to ceflotaxime, and very few isolates are  
18 resistant to either clindamycin, tetracycline,  
19 erythromycin, cotrimoxazole, levofloxacin, or  
20 trovafloxacin.

21           So if you've got a penicillin susceptible  
22 isolate, you can choose from among a variety of agents  
23 that will probably be effective. This is not the case  
24 if you've got a penicillin-resistant strain, however.

25           I'd like to focus your attention in this

1 last column. You've got penicillin-resistant isolate.  
2 Over 40 percent will be resistant to cefotaxime, 12  
3 percent to clindamycin, a quarter to tetracycline,  
4 almost two-thirds to erythromycin. Almost all will be  
5 resistant to cotrimoxazole. Levofloxacin and  
6 trovafloxacin, however, will remain highly effective  
7 against these isolates.

8 One of the hallmarks of the epidemiology  
9 of antimicrobial resistant pneumococcus is that there  
10 really is geographic variation. In these figures I've  
11 got penicillin, susceptibility to penicillin and  
12 erythromycin by our ABCS areas.

13 The two areas from the southeast United  
14 States, Tennessee and Georgia, almost always have the  
15 biggest problems with resistance, and this has been  
16 reported in other studies.

17 Not only does resistance vary by  
18 geographic area, but it really also varies by patient  
19 population. This is a figure showing the proportion  
20 of isolates that are not susceptible to penicillin  
21 just within the State of Connecticut for 1997. I  
22 think there are 18 individual institutions here that  
23 have had at least ten isolates during that time.

24 As you can see, the overall prevalence in  
25 the state was 18 percent at that time, and we've got

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a range here from almost no resistant isolates to over  
2 40 percent.

3 So your patient population really can  
4 influence the prevalence of penicillin-resistant  
5 pneumococcus that we see.

6 So what is the relevance of that? Well,  
7 I think if you have been reading the literature  
8 lately, there are a lot of different reports from a  
9 lot of different surveillance systems, and you'll see  
10 different numbers based on the patient populations  
11 that those samples are drawn from.

12 For example, to illustrate this point,  
13 I've taken isolates here from TSN, Century, and ABCS.  
14 These are three large U.S. surveillance systems that  
15 collect -- that have microbiologic data, and I've  
16 taken just blood isolates, and from the same time  
17 period, which is February to June, 1997.

18 At this point in time ABCS had 24 percent  
19 decreased susceptibility to penicillin. TSN had  
20 almost 30 percent, and Century had 41 percent. so you  
21 can see even controlling for time and site of  
22 isolation, you can get a pretty wide variety of  
23 results based on the patient population that your  
24 sample comes from.

25 One of the factors that we know that

1 affects the prevalence of drug resistance are  
2 demographic factors. Penicillin resistance is much  
3 more common in young children.

4 Here the percent of nonsusceptible  
5 isolates by age and by race, and children for  
6 penicillin have a higher prevalence of nonsusceptible  
7 isolates than older persons and the elderly.

8 And also white persons, which are  
9 represented here by the red bars, in general, tend to  
10 have more resistance or are more likely to have  
11 resistant isolates than black persons.

12 This is data from 1998. If I showed you  
13 this data from 1995, the ratio gap would be much  
14 larger than it is here.

15 One of the issues to discuss today is, you  
16 know, we're really focusing on community-acquires  
17 pneumonia, and I'm showing you data from sterile site  
18 surveillance systems. If we were to have data from  
19 surveillance systems that included non-sterile site  
20 isolates, are probably going to show you a higher  
21 prevalence of drug resistance.

22 In this table, I'm showing data from four  
23 surveillance systems that included both blood isolates  
24 and the lower respiratory tract isolates, and if you  
25 compare these two columns, in all four of these



1 studies the prevalence of penicillin nonsusceptible  
2 isolates was higher for lower respiratory tract  
3 isolates than for blood isolates, and this is true for  
4 both of these studies from the USA and also from  
5 Norway, where the prevalence of penicillin resistance  
6 is very low, and from Taiwan where it's very high.

7 So I'm pointing out these things just  
8 because when we see some data later today, you may see  
9 slightly different numbers, and these are some of the  
10 reasons that you can see slightly different numbers  
11 from different surveillance systems.

12 Now I'd like to shift gears and talk about  
13 whether drug resistance matters in terms of patient  
14 outcome. We know from case reports of patients with  
15 meningitis that with pneumococcal meningitis, yes, it  
16 does matter, and NCCLS has set their cutoffs for  
17 intermediate resistance based on that clinical  
18 information.

19 But it has been a much harder question to  
20 answer for patients with pneumococcal pneumonia. The  
21 first studies that look at this were by Pallares and  
22 Friedland, and in both of these studies they found no  
23 difference between patients that had either  
24 intermediate or resistant isolates compared to  
25 patients with susceptible isolates.

1           In three published studies that came after  
2 that, they also found no difference, and in each of  
3 these studies there was fairly small numbers in terms  
4 of the percent of isolates that were resistant.

5           In two recent studies that are both in  
6 press, there has been shown an increase in mortality  
7 when you compared isolates that were resistant to  
8 isolates that susceptible, and I'm going to just  
9 present some data now from this Feikin study, which is  
10 a CDC study.

11           In the Feikin study, what we did was focus  
12 on deaths that occurred in hospitalized patients after  
13 hospital day four, and the reason the study was done  
14 this way is because of the findings from this **data**  
15 from Robert Austrian that was published back in 1964.

16           With this data, you can see that in  
17 untreated patients and patients that received serum  
18 therapy from long ago and in patients that were  
19 treated with penicillin, there really is no difference  
20 in outcome before hospital day four. Many patients  
21 will die of their pneumococcal disease no matter what  
22 treatment they're given.

23           After hospital day four, however, it  
24 appears that having an effective therapy really can  
25 make a difference.

1           So Daniel Feikin really focused on deaths  
2           that occurred after hospital day four. Here are his  
3           final results of the logistic regression model that  
4           adjusted for things like age, race, area, and the  
5           presence of underlying diseases.

6           What Dr. Feikin found is that when you  
7           compare isolates that are either penicillin  
8           intermediate or even have MICs of two to the record  
9           group, which is susceptible isolates, you really see  
10          no difference in the risk of death between these  
11          groups.

12          However, when you focus on the group of  
13          patients that had penicillin MICs of greater than or  
14          equal to four, there's a very high odds ratio of 7.1,  
15          which is statistically significant, compared to the  
16          reference group of penicillin susceptible isolates.

17          Here's the same analysis looking at  
18          cefotaxime. Again, if you look at cefotaxime  
19          intermediate isolates, there's really no difference  
20          compared with the reference group of susceptible  
21          isolates. It's among those that are defined as  
22          cefotaxime resistant according to NCCLS where you see  
23          an elevated odds ratio for late deaths.

24          So what does this mean in terms of the  
25          prevalence of isolates where we may see treatment

1 failures occur?

2 This is again 1998 data from ABCS, and if  
3 you look at all nonsusceptible isolates defined by  
4 NCCLS, there's about 24 percent of isolates, and in  
5 this group of patients we probably would see  
6 meningitis treatment failures if you tried to use  
7 penicillin to treat these patients.

8 However, if you look at the range in which  
9 pneumonia treatment failures might occur, it's  
10 somewhere between 14 percent and seven percent,  
11 depending on whose study you look at. So it's really  
12 a much smaller proportion of isolates that we're  
13 concerned about for pneumonia treatment failures.

14 Here are the data for defotaxime. Again,  
15 14 percent have decreased susceptibility defined as an  
16 MIC greater than one according to the NCCLS cutoffs.  
17 These are the patients that might have meningitis  
18 treatment failures. Only about six percent might have  
19 a pneumonia treatment failure if you tried to treat  
20 pneumonia patients with cefotaxime.

21 In the last minute or two, I just want to  
22 summarize some of the latest data on fluoroquinolone  
23 resistance. There was a recent paper by Chen, et al.,  
24 that did a nice study of fluoroquinolone resistance in  
25 Canada, and I just want to summarize this for you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1           In this paper, they looked at the  
2 prevalence of fluoroquinolone resistance by age and  
3 found that all of the isolates occurred in these two  
4 age groups, either 15 to 64 or 65-plus years. They  
5 found no fluoroquinolone resistance among children.

6           If you look at the prevalence of  
7 resistance in those two age groups over time, they  
8 really didn't have any isolates before 1993, and  
9 between 1994 and 1998 they've had a steady increase in  
10 the prevalence of resistant isolates.

11           I should note that in this study they used  
12 an interesting definition of what they were calling  
13 fluoroquinolone resistant. It was a definition of  
14 having a ciprofloxacin MIC of at least four micrograms  
15 per mL.

16           In this figure you can see that the  
17 increase in use of fluoroquinolones in the population  
18 seems to correlate with the increasing prevalence of  
19 fluoroquinolone resistance.

20           Chen and colleagues did a logistic  
21 regression analysis looking for predictors of  
22 fluoroquinolone resistance. What they found is that  
23 age, by increasing decade, was a predictor of  
24 fluoroquinolone resistance; that there was an increase  
25 in resistance over time; and that if you lived in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ontario you were also more likely to have a resistant  
2 isolate.

3 In addition, isolates from respiratory  
4 secretions were more likely to be fluoroquinolone  
5 resistant. In addition, if you had an isolate that  
6 was resistant to penicillin, an MIC of greater than  
7 two, you also were more likely to have a  
8 fluoroquinolone resistant strain, and this is really  
9 the first study that's been published that has  
10 illustrated that there might be a cross-resistance  
11 between fluoroquinolones and penicillin.

12 Here are some of the recent U.S. data from  
13 ABCS, looking at some of these same issues. I have  
14 found that in the last few years, we have seen  
15 increasing resistance to the fluoroquinolone.

16 Between 1995 and 1997, we included  
17 ofloxacin in our susceptibility testing panels, and we  
18 saw an increase of about 50 percent in the proportion  
19 of isolates that were not susceptible to this agent.

20 In 1998 and 1999, we've had levofloxacin  
21 and trovafloxacin in the panel. The proportion of  
22 isolates that have decreased susceptibility to these  
23 two agents remains low, but if you look between the  
24 two years, there is a hint that it may be increasing.  
25 The 1999 data is really only about 50 percent complete

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 at this time. So I think we have to consider these  
2 results preliminary, but I think it is concerning that  
3 we are seeing a little bit of increase in the  
4 proportion of resistant isolates.

5 In the U.S. data, we also are finding this  
6 association with age. Among persons less than 18,  
7 none of the isolates have decreased susceptibility to  
8 levofloxacin or trovafloxacin. All of the isolates  
9 occur, with decreased susceptibility, occur among  
10 adults who have an indication for this drug.

11 If you look at the prevalence of decreased  
12 susceptibility to these two drugs by its relationship  
13 to penicillin susceptibility, there does seem to be a  
14 little bit of a relationship. If you just look at the  
15 levofloxacin numbers, if you have a penicillin  
16 susceptible isolate, only .1 percent have reduced  
17 susceptibility to levofloxacin; with penicillin  
18 resistance 1.2 percent, have decreased susceptibility  
19 to levofloxacin.

20 Again, the overwhelming majority of  
21 isolates are susceptible to these agents, but it's a  
22 little bit concerning that we may be seeing the first  
23 signs of some cross-resistance, but again, numbers are  
24 small. So we'll just have to wait and see.

25 And just to close, I want to present some

1 data that I think is a little bit concerning and  
2 illustrates the problems that we might see with  
3 overuse of these agents.

4 This involves an outbreak of multi-drug  
5 resistant Streptococcus pneumonia that have been  
6 occurring in New York City over the last few years.  
7 The outbreak started in the winter of 1995 and 1996.  
8 At that time, there were seven cases of serious  
9 pneumococcal disease, either pneumonia or sepsis, in  
10 a long term care facility, which I'll call Long Term  
11 Care Facility A. There were two deaths, and the  
12 infections really were clustered among 77 residents on  
13 two wards. There were no infections among the staff  
14 or residents on other wards with the outbreak strain.

15 The outbreak strain was a serotype 23F.  
16 That appears to be somewhat related to the Spanish 23  
17 clone. When this outbreak first started, it was  
18 resistant to penicillin, cefuroxime, erythromycin,  
19 quinidomycin, chlorophenocol, trimetheprim sulfa, and  
20 tetracycline. It was intermediate to ceftriaxoline  
21 and meropenem, and was only susceptible to ofloxacin  
22 with rifampin and vancomycin. So you can see this is  
23 a very concerning strain, probably one of the most  
24 highly resistant strains I've ever seen.

25 The New York City Health Department did a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com



1 carriage study and found that there was a carriage of  
2 nine percent among the residents of this outbreak  
3 strain.

4 The Health Department, in conjunction with  
5 the long term care facility, did an intervention to  
6 try and control this outbreak. They gave everybody  
7 who was not immunized polysaccharide vaccine, and they  
8 gave residents on two wards that were involved  
9 ofloxacin and rifampin for a seven day course.

10 This may seem like a pretty radical  
11 intervention, but this has been done in other  
12 outbreaks in long term care facilities where people  
13 have tried to eradicate the strain by giving  
14 antibiotic therapy.

15 These did follow-up carriage studies. At  
16 one week there was one percent carriage of  
17 pneumococcus. At four weeks there was two percent  
18 carriage, and by eight weeks, there was six percent  
19 carriage.

20 So if you compare this to the original  
21 nine percent, there was initially some decrease in  
22 carriage, but then the carriage came back, and in  
23 addition, all of the post intervention multi-drug  
24 resistance outbreak strains now were rifampin  
25 resistant, and at week eight there was one isolate

1 that was also now ofloxacin resistant.

2 Well, this ofloxacin resistant strain has  
3 persisted. Between 1996 and September 1998, there  
4 have been four sporadic cases due to this  
5 fluoroquinolone resistant strain, and over the last  
6 winter, there has been another cluster of disease  
7 where we see five cases due to the fluoroquinolone  
8 resistant strain in residents of several wards,  
9 including wards that weren't originally involved.

10 Overall carriage of the outbreak strain is  
11 now 5.6 percent, and no staff seem to be carrying it.  
12 The carriage all seems to be among the residents.

13 Since the outbreak strain in the post  
14 intervention area has a levofloxacin MIC of great than  
15 or equal to 16 and a trovafloxin MIC of two; so what  
16 we've seen is a situation where there was widespread  
17 use of ofloxacin, and now we've developed a resistant  
18 strain.

19 So just to sum up my main points, the  
20 recent data suggests that multi-drug resistant Strep.  
21 pneumoniae is increasing. One of the hallmarks of  
22 drug resistant Strep. pneumoniae is that there's  
23 marked geographic variation in the prevalence, and  
24 also there's marked variation between patient  
25 populations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1                   For most drugs other than  
2 fluoroquinolones, isolates from children and non-  
3 sterile sites are more often drug resistant.

4                   Penicillin or cefotaxime are probably  
5 effective for pneumonia due to isolates that are  
6 intermediate to these drugs, and half of all  
7 nonsusceptible isolates are in a range where treatment  
8 failures may occur. In other words, about half of the  
9 isolates that we see that are nonsusceptible are  
10 highly, highly resistant.

11                   Fluoroquinolone resistance is unusual, but  
12 may be increasing, and finally, we've seen some  
13 evidence, such as the outbreak and the fact that  
14 resistance only occurs among in adults that suggests  
15 that fluoroquinolone use is leading to resistance in  
16 some cases.

17                   Are there any questions?

18                   DR. MURRAY: Hi, Cindy. On the mortality  
19 data for Feikin, I think you mentioned this, but I  
20 faded out for a minute. So that was controlled for  
21 severity of underlying disease like AIDS or being on  
22 steroids or having disease that might be known to be  
23 associated with higher mortality and penicillin  
24 resistance, both?

25                   DR. WHITNEY: Right. We were able to

1 control for underlying conditions. We weren't able to  
2 control for severity of illness at presentation.

3 DR. NORDEN: Cindy, in the Feikin study  
4 what was the age range? I just missed it. You  
5 probably said it.

6 DR. WHITNEY: Among adults it was over 18,  
7 just persons hospitalized with pneumonia, and they  
8 excluded patients with nodes of co-pneumococcus  
9 (phonetic).

10 DR. NORDEN: Thank you.

11 DR. RELLER: Any other discussion of Dr.  
12 -- yes, Celia.

13 DR. CHRISTIE-SAMUELS: Regarding the  
14 hospital data that you showed us with about 40  
15 hospitals, there was one hospital that was an outlier  
16 or something like 40, 50 percent. What kind of  
17 hospital was that? Can you say?

18 PARTICIPANT: Can you repeat the question?

19 DR. WHITNEY: Yes, I think you're asking  
20 about the data that I showed from Connecticut where  
21 there's this wide range of hospitals. I'm actually  
22 not familiar with that hospital per se. I can't tell  
23 you for sure.

24 DR. CHRISTIE-SAMUELS: Thank you.

25 DR. RELLER: Dr. Soper.

1 DR. SOPER: Do you have a sense as to what  
2 proportion of resistance leads to a modification of  
3 physician behavior and the prescribing of a different  
4 antimicrobial? I mean, when you see physicians that  
5 are changing their prescribing habits, is it in  
6 response to a five percent increase in resistance, a  
7 15 percent?

8 DR. WHITNEY: That's a very good question,  
9 and I don't have a number for you. I would imagine if  
10 a physician is aware that resistance is problem in  
11 their community, they'd change their behavior, and if  
12 they don't think it is, they don't, but I don't have  
13 numbers for you on that.

14 DR. SOPER: I agree with you, and I think  
15 proportionately, I'm not sure that any of us have set  
16 that threshold. So even though there may be a  
17 relatively low proportion of resistance in your  
18 community, the fact that information is out there that  
19 *Streptococcus pneumoniae* is resistant may be changing  
20 behavior across the country.

21 DR. WHITNEY: Yeah. So I think, yeah, I  
22 would agree, and I think our opportunity to use -- to  
23 promote judicious antibiotic use in terms of using  
24 narrow spectrum and things like that is we really need  
25 culture information, and with patients with pneumonia,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the diagnostics are not very sensitive. So I think  
2 it's a real problem.

3 DR. RELLER: Dr. O'Fallon.

4 DR. O'FALLON: Just a question about those  
5 logistic regression. Were there single variable  
6 models or was it a multivariate model that you were  
7 showing us? You were showing the factors that are  
8 associated with resistance.

9 DR. WHITNEY: Both the Feikin model and  
10 the model from the Candida paper there were  
11 multivariate models.

12 DR. RELLER: Mark.

13 DR. GOLDBERGER: On the Feikin study, so  
14 that was you said hospitalized patient --

15 DR. WHITNEY: Yes.

16 DR. GOLDBERGER: -- who received  
17 intravenous penicillin.

18 DR. WHITNEY: We don't know the treatment  
19 for most of those patients. That's right. So we  
20 can't say for sure that the patients that died failed  
21 -- were given penicillin and, therefore, died because  
22 they failed penicillin therapy. That's right. We  
23 don't know that for those patients.

24 DR. GOLDBERGER: So then it's possible  
25 that penicillin susceptibility or resistance in that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study sort of reflects the status of the patient and  
2 other factors rather than the antibiotic therapy they  
3 actually got?

4 DR. WHITNEY: In some cases that may be  
5 true. I think these outcome studies have been  
6 extremely difficult because of that factor. I mean,  
7 to look at a -- there has been some data that suggests  
8 if you get a patient on the correct therapy up front  
9 they're going to do better, and in this cases, since  
10 it was all culture confirmed patients, it's doubtful  
11 that they would have stayed on inappropriate therapy  
12 for the whole course of their illness.

13 It may just be that at the time that study  
14 was done the fluoroquinolone were not in wide use. So  
15 it is likely that a lot of the patients with resistant  
16 strains were given either beta lactams or macrolide,  
17 and we know because of cross-resistance between beta  
18 lactams and macrolides they may have failed either  
19 therapy.

20 DR. RELLER: Any other discussion for Dr.  
21 Whitney?

22 (No response.)

23 DR. RELLER: Thank you very much.

24 We'll then move to the sponsor  
25 presentation. Dr. Graham Burton will provide the

1 introduction, followed by Drs. Bush and Corrado.

2 The request has been made and honored that  
3 the sponsor be enabled to make their entire  
4 presentation, and then we'll have ample time for  
5 discussion of all of the issues generated therefrom.

6 Dr. Graham Burton.

7 DR. BURTON: Good afternoon, Mr. Chairman,  
8 members of the advisory committee, colleagues at the  
9 Food and Drug Administration, ladies and gentlemen.

10 My name is Dr. Graham Burton. I am Vice  
11 President of Clinical Research and Regulatory Affairs  
12 at the R.W. Johnson Pharmaceutical Research Institute.

13 Now, that's a little bit of a mouthful.  
14 So if you hear myself **and** my colleagues refer to PRI,  
15 that's the institution which we represent this  
16 afternoon.

17 I'd like to thank our colleagues at the  
18 FDA for inviting us along here this afternoon to  
19 present you the data that underpins our supplemental  
20 new drug application on the use of levofloxacin in the  
21 treatment of community-acquired pneumonia associated  
22 with penicillin resistant and intermediate strains of  
23 *Streptococcus pneumoniae*.

24 We will all use the name of this organism  
25 as the pneumococcus or *Streptococcus pneumoniae*



1 interchangeably this afternoon. So please bear with  
2 us for correctness sake.

3 May I just provide a short background to  
4 this application? Levofloxacin received approval by  
5 the FDA for marketing in December 1996, following a  
6 worldwide development program that involved us at PRI  
7 in the United States, Hoechst Marion Rousseau in  
8 Europe, and Daichi in Japan.

9 The original approval was for the  
10 treatment of skin, urinary tract, and respiratory  
11 infections, including community acquired pneumonia,  
12 due to a wide variety of organisms, and these  
13 organisms included the pneumococcus based upon studies  
14 involving over 650 patients treatment with  
15 levofloxacin in our pivotal trials.

16 The labeling at that time included the  
17 spectrum of activity of the following organisms  
18 isolated from the patients with community acquired  
19 pneumonia and were based upon a microbiological  
20 eradication rate of 95 percent and a clinical success  
21 rate of 95 percent from our pivotal clinical trials.

22 Now, following approval at the end of  
23 1996, we had -- the clinical program stated in '91.  
24 We have approval in '96, and we've noticed from three  
25 of the cases in the original NDA that were fully

1 resistant to penicillin that levofloxacin worked.

2 And so we started the clinical program for  
3 community acquired pneumonia and the investigation  
4 prospectively and the collection of these cases during  
5 the process of the NDA examination in 1996, and we've  
6 submitted the supplement earlier this year.

7 We've based a lot of what we've done in  
8 collaboration with our colleagues at the FDA and also  
9 bearing in mind the information that you yourselves  
10 gleaned and brought into the public focus at the two  
11 open committee meetings that have been held.

12 Sine marketing throughout the world has  
13 taken place, we estimate that there have been 100  
14 million courses, and by courses I mean treatment  
15 courses of levofloxacin throughout the world, between  
16 ten and 14 days each, ten million of which have been  
17 used in the United States.

18 The only additional activity that we've  
19 had is the addition of an extra indication just about  
20 a year ago.

21 So why did we do this program? We've  
22 noticed that there's been an increasing penicillin  
23 resistance of the pneumococcus identified, and this is  
24 increasingly so.

25 Community acquired pneumonia is a common

1 disease. I think we all recognize that, and as I  
2 mentioned earlier, we did identify a small number of  
3 cases where levofloxacin had eradicated the penicillin  
4 resistant organism.

5 So in close cooperation with the FDA, we  
6 embarked on our prospective program and have collected  
7 the cases of both fully resistant organisms and  
8 intermediate strains for submission.

9 Now, the organization of our presentation  
10 this afternoon, first of all, we'll start with a  
11 microbiological overview by Dr. Karen Bush, who is our  
12 team leader for the anti-infective agents. She will  
13 describe data that leads us to believe, of course,  
14 that the penicillin resistance of the pneumococcus is  
15 continuing to increase, and you've heard about that.

16 That clinical isolates of the pneumococcus  
17 that we have gathered from around the world remain  
18 sensitive to levofloxacin in more than 97 percent of  
19 cases.

20 And that levofloxacin is equally active in  
21 vitro against the resistant pneumococcus and wild  
22 strains that we have tested to date.

23 And she will also discuss the mechanisms  
24 of resistance, comparing and contrasting levofloxacin  
25 with other antimicrobial agents.

1                   Following Dr. Bush, Dr. Michael Corrado  
2 will present the clinical aspects. Mike was involved  
3 from the early days of the clinical program for the  
4 development of levofloxacin. He was then a member of  
5 the staff at PRI, and he has since left and formed his  
6 own contract research organization, but has been  
7 intimately involved with the development program and  
8 is here to present you the clinical data.

9                   Some of the findings from Mike show some  
10 interesting features. Levofloxacin is differentially  
11 taken up by many tissues in the body and especially so  
12 in the lung. We believe that this may have a bearing  
13 upon its efficacy in community acquired pneumonia.

14                   Indeed, the clinical data does support the  
15 fact that community acquired pneumonia associated with  
16 resistant pneumococci can be treated successfully with  
17 levofloxacin, and that levofloxacin has a safety  
18 profile that's well known and similar to beta lactem  
19 and macrolides, and that data comes from our clinical  
20 trials.

21                   We also have three other experts with us,  
22 four other experts with us -- I'm sorry -- one of  
23 whom, Dr. Tony Medeiros, Professor of Medicine at  
24 Brown University, is going to take a short time to  
25 present the clinician's dilemma. What happens when