

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

FRIDAY

JANUARY 28, 2000

+ + + + +

The meeting was held at 8:00 a.m. in the Versailles I and II rooms of the Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Harry B. Greenberg, Chair, presiding.

MEMBERS PRESENT:

HARRY B. GREENBERG, M.D., Chair

KATHRYN M. EDWARDS, M.D., Member

MARY K. ESTES, Ph.D., Member

STEVE KOHL, M.D., Member

ROBERT S. DAUM, M.D., Member

KWANG SIK KIM, M.D., Member

0102 '00 FEB 16 P4:09

OPEN

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

MEMBERS PRESENT (continued):

WALTER L. FAGGETT, M.D., Member

DIANE E. GRIFFIN, M.D., Ph.D., Member

BARBARA LOE FISHER, Member

NANCY CHERRY, Executive Secretary

INVITED PARTICIPANTS:

ROBERT COUCH, M.D.

NANCY COX, M.D.

THEODORE EICKHOFF, M.D.

L. PATRICIA FERRIERI, M.D.

THOMAS FLEMING, Ph.D.

CHARLES HOKE, JR., M.D.

EDWIN KILBOURNE, M.D.

C-O-N-T-E-N-T-S

PAGE

Call to Order, Introductions
Administrative Matters 5

Presentation of Plaques to Retiring
VRBPAC Members 7

Introduction of Members 8

Open Public Hearing

Introduction by Dr. Levandowski 10

Discussion of U.S. Surveillance
Dr. Fukuda 15

Questions and Answers 27

Discussion of World Surveillance and Strain
Characterization, Dr. Cox 41

Questions and Answers 41

Presentation by Dr. Canas on the Armed Forces 45

Questions and Answers 45

Presentation by Dr. Hampson on the Southern
Hemisphere 47

Questions and Answers 47

Presentation by Dr. Zambon on the United
Kingdom 49

Questions and Answers 49

Presentation by Dr. Nerome on Japan 51

Discussion of Vaccine Responses
Dr. Levandowski 51

Discussion of Availability of Strains and
Reagents, Mr. Offringa 53

Comments from Manufacturers by Dr. Slusaw 55

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S (cont.)

PAGE

Discussion of Options for Strain Selection
 Dr. Levandowski 127

Committee Discussion 136

Open Public Hearing 147

Committee Discussion (cont.) 148

Open Public Hearing Description of
 Laboratory of Pediatric and Respiratory
 Virus Diseases Activities, Dr. Carbone 165

Questions and Answers 174

P-R-O-C-E-E-D-I-N-G-S

(8:02 a.m.)

1
2
3 CHAIRMAN GREENBERG: Good morning. Good
4 morning, everyone. I'd like to welcome you to the
5 second day of the VRBPAC meeting. I have no major
6 announcements, so, without further ado, I'm going to go
7 to the boss here.

8 MS. CHERRY: Good morning and welcome.
9 Let me repeat an announcement I made yesterday because
10 I know that many of you in the audience are here for
11 the first time. And that is if you are parked in the
12 parking lot across the street, Bethesda is diligent
13 about checking parking meters so don't get so wrapped
14 up in what you're hearing today that you forget and
15 let your meter go.

16 And I have a conflict-of-interest
17 statement to read: "The following announcement
18 addresses conflict-of-interest issues associated with
19 the sessions of the Vaccines and Related Biological
20 Products Advisory Committee on January 28, 2000.
21 Based on the agenda made available, it has been
22 determined that the committee discussions for the
23 influenza virus vaccine formulation for 2000-2001 and
24 the briefing from the Laboratory of Pediatric and
25 Respiratory Virus Diseases presents no potential for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a conflict of interest.

2 The director of the Center for Biologics
3 Evaluation and Research has appointed Doctors Robert
4 Breiman, Robert Couch, Theodore Eickhoff, Patricia
5 Ferrieri, Thomas Fleming, Charles Hoke, and Edwin
6 Kilbourne as temporary voting members for the
7 discussion of the flu formulation. In the event that
8 the discussions involve specific products or firms not
9 on the agenda and for which FDA's participants have a
10 financial interest, the participants are reminded of
11 the need to exclude themselves from the discussions.
12 Their recusals will be noted for the public record."

13 With respect to all other meeting
14 participants, we ask, in the interest of fairness,
15 that you state your name and affiliation and address
16 any current or previous financial involvement with any
17 firm whose products you wish to comment on. This
18 includes anyone who speaks in open, public hearing.

19 I'll return the microphone.

20 Okay, is Dr. Zoon here?

21 CHAIRMAN GREENBERG: Ah, good. You're up.

22 (Laughter.)

23 That's an auspicious entrance. This type
24 of thing is always fun, although sad, so I think Dr.
25 Zoon is about to make a presentation of plaques to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 retiring VRBPAC members. No problem.

2 DR. ZOON: Well, this truly is a special,
3 special honor. I was coming down Rockville Pike this
4 morning. Of course a bus was stuck blocking two lanes
5 of traffic. So it never fails, when you're trying to
6 be someplace on time. But I said, I will not let that
7 thwart me. And then I go to the elevators here in the
8 Holiday Inn and it nearly done me in.

9 (Laughter.)

10 So I think you can all relate to this.

11 Well, it is my very, very dear pleasure to
12 present to Dr. Kathy Edwards a wonderful plaque. And
13 I would just like to, one, for her service on the
14 VRBPAC. And I'd just like to personally thank Kathy.
15 I have thoroughly enjoyed interacting, working with
16 you, and I want you to know you're not going to get
17 away this easy. None of our members ever leave the
18 committee. They merely reinvent themselves in other
19 ways. And I think Ted is the true victim of this
20 process.

21 (Laughter.)

22 So, with great pleasure and gratitude,
23 Kathy. Thank you very, very much.

24 (Applause.)

25 CHAIRMAN GREENBERG: I'd just like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 second that. Actually, I don't think I was really
2 aware that Kathy was going to leave. Are you? I
3 don't think that's correct. She's not leaving, is
4 she?

5 (Laughter.)

6 So, yes. So you've been a spectacular
7 member of the committee and we may lose our ability to
8 say anything sensible if you really leave. Oh, okay.
9 So Dr. Adimora also. That's too bad. So, will she
10 get the plaque?

11 DR. EDWARDS: She's already received the
12 plaque, yes.

13 CHAIRMAN GREENBERG: Okay. Okay.

14 I'd like, I guess, to go around the room
15 and have people introduce themselves, starting with
16 there with Diane, since Dixie isn't here.

17 DR. GRIFFIN: Diane Griffin from Johns
18 Hopkins School of Public Health.

19 DR. ESTES: Mary Estes, Baylor College of
20 Medicine.

21 DR. KOHL: Steve Kohl, Oregon Health
22 Science University.

23 DR. KIM: Kwang Sik Kim from Children's
24 Hospital, Los Angeles.

25 DR. FAGGETT: Walt Faggett, American

1 Preferred Provider, Washington, D.C.

2 MS. FISHER: Barbara Loe Fisher, National
3 Vaccine Information Center.

4 DR. EDWARDS: Kathy Edwards, Vanderbilt
5 University and, as I reminded everyone yesterday, I
6 have the home of the Tennessee Titans.

7 CHAIRMAN GREENBERG: Right.

8 (Laughter.)

9 DR. DAUM: I'm Robert Daum from the
10 University of Chicago. No commercial.

11 (Laughter.)

12 CHAIRMAN GREENBERG: Harry Greenberg,
13 Stanford University and the Palo Alto VA Hospital.

14 DR. LEVANDOWSKI: Roland Levandowski from
15 the Center for Biologics Evaluation and Research.

16 DR. EICKHOFF: Ted Eickhoff, University of
17 Colorado.

18 DR. FERRIERI: Pat Ferrieri, University of
19 Minnesota Medical School, Minneapolis, and the team
20 that didn't make it.

21 (Laughter.)

22 DR. FLEMING: Tom Fleming, University of
23 Washington, Seattle.

24 DR. COX: Nancy Cox, Centers for Disease
25 Control and Prevention.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KILBOURNE: Ed Kilbourne, New York
2 Medical College.

3 DR. COUCH: Bob Couch, Baylor College of
4 Medicine, Houston, Texas, the former home of the
5 Tennessee Titans.

6 (Laughter.)

7 CHAIRMAN GREENBERG: Okay, now. We now
8 have an open public hearing and I'd like to ask
9 anybody in the audience whether they have anything
10 that they would like to say to the committee or to the
11 public. I'm looking and not seeing any hands. Is
12 that correct? Okay, if that's correct, the open and
13 public hearing is closed.

14 Now we're going to start our session and,
15 as you all know, it's January so it's the flu session.
16 And Roland is now going to lead us through a
17 discussion of what's happening in flu to help inform
18 us to try to make some choices. Roland. And, Roland,
19 I would simply say to try to ask your speakers to be
20 expeditious and timely in informing us what's going
21 on.

22 DR. LEVANDOWSKI: Okay. Thank you, Dr.
23 Greenberg. Can you all hear me? Am I loud enough
24 here? I can't tell from up here, so somebody will
25 have to yell at me. Okay? It sounds like I'm getting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some feedback now, so it's probably okay.

2 Thanks again. I'd like to welcome
3 everybody here and thank you all for coming on this
4 kind of cold and chilly and icy day in Bethesda. As
5 Dr. Greenberg has mentioned, we all know why we're
6 here today. We're here to begin the process of
7 selecting the influenza virus strains that will be
8 included in vaccines prepared for the 2000-2001
9 season. As you're probably aware, the match between
10 the antigen in the influenza vaccine and the
11 circulating strains is probably the most important
12 feature in the potential efficacy of inactivated
13 vaccines for influenza.

14 This overhead shows the question that we
15 would like to have answered by the committee. And the
16 question is the same one that we ask every year, that
17 is, what strains should be recommended for the
18 antigenic composition of the 2000-2001 inactivated
19 influenza virus vaccine?

20 In order to answer the question,
21 information is needed and we are prepared to supply
22 information this morning, although it may not be all
23 of the information that we would like to have. But we
24 will do this to assist in formulating the answer.

25 In the next overhead, just to remind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people who may be a little bit new to this, the data
2 that are needed includes, most importantly,
3 information on the appearance of new influenza
4 viruses. When those new viruses are identified and
5 they have shown that they have new antigenic and
6 genetic characteristics, how widespread they become
7 helps in judging the urgency in considering changing
8 a component of the vaccine, because, obviously, it's
9 something that we don't take lightly and don't want to
10 do if we don't need to. If new strains have the
11 capability for broad dissemination, it's important to
12 know whether or not current vaccines are likely to
13 provide some measure of protection.

14 And, finally, if it appears likely that
15 the current vaccines could be suboptimal, then it's
16 still necessary to have some virus strains that grow
17 well enough to permit manufacture of vaccine within
18 the current constraints of time.

19 The vaccines are actually being prepared
20 now. They are being prepared now so that they can be
21 available in the early fall to ensure that
22 administration of the vaccine is done before onset of
23 the influenza season in the following winter.

24 Now this slide is a little bit out
25 date. I somehow misplaced the most recent one. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's not too far off. What I would like you to get
2 out of this slide, this shows the production of
3 influenza vaccine for the United States over the last
4 10 or so years. And you can see that that vaccine
5 production has been rising. This is what makes all of
6 these deadlines quite important for the manufacturers.
7 Vaccine is not produced in a single day. It takes
8 many months to get it ready and it takes many months
9 for it to start to get out for public use. Currently,
10 the vaccine production is somewhere between 80 and 90
11 million doses for the United States. So that's quite
12 a lot.

13 So you can turn the overheads off, please

14 Thank you.

15 Okay, so the balance between enough
16 information to choose wisely and enough time to make
17 the vaccine and deliver it is usually very difficult.
18 We're at that point in the year when there is an
19 urgent need to ensure the vaccine production goes
20 forward.

21 During the past year, recommendations were
22 made for vaccines that are currently in use and
23 the United States, the vaccines are trivalent and
24 include an A/Sydney/5/97 (H3N2) component;
25 A/Beijing/262/95 (H1N1) component; and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 B/Yamanashi/166/98 component.

2 In September of 1999, that's last
3 September, the World Health Organization made
4 recommendations for influenza vaccines to be used in
5 the Southern Hemisphere. Those recommendations were
6 for vaccines incorporating an A/Moscow/10/99 (H3N2)-
7 like strain; an A/New Caledonia/20/99 (H1N1)-like
8 strain; and a B/Beijing/184/93-like strain which, in
9 most cases, will be the B/Yamanashi/166/98 strain.

10 I should make some mention about how those
11 recommendations have been implemented. And, actually,
12 they've not been implemented in the case of the
13 A/Moscow recommendation. Although much work went into
14 developing A/Moscow-like reassortants, it was not
15 possible to produce a virus that had both the growth
16 properties needed and, more importantly, the correct
17 antigenic characteristics. As a result, World Health
18 Organization amended its recommendation to indicate
19 that an A/Sydney/5/97-like viruses and its
20 reassortants could still be used for the current
21 manufacturing campaign for the Southern Hemisphere.

22 There may be some more information on that
23 in some presentations to come, but, in the interests
24 of time, I'd like to get things moving. I just would
25 remind all of our speakers that we are on a very, very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tight schedule, as we usually are, and we'll all need
2 to be somewhat brief and to get going.

3 So, initially, to give us information
4 about U.S. surveillance, Dr. Keiji Fukuda from the
5 Influenza Branch at CDC will begin.

6 DR. FUKUDA: Thanks, Roland, and good
7 morning. Usually I try to be very brief in presenting
8 the U.S. surveillance information. This morning, I
9 will be a little bit more involved, because some of
10 the features of this season which I think need some
11 explanation.

12 In general, the 1999-2000 season has begun
13 relatively early compared with the last two seasons,
14 which were also H3N2 seasons. They have been similar
15 to the previous two seasons in terms of the percent of
16 respiratory specimens testing positive for influenza;
17 in the percent of patient visits for influenza-like
18 illness to sentinel physicians; and in the number of
19 states reporting either widespread or regional
20 activity.

21 However, pneumonia and influenza mortality
22 levels have been unusually high this year. And
23 there's also been an unusual amount of media interest.
24 Last year, between October and March, CDC received a
25 little over 400 calls from the media about influenza.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This year, between October and January 26, about 850
2 to 900 calls have come in from the media, and,
3 largely, over a two to three week period.

4 In terms of the viruses which have been
5 isolated in the U.S. so far, approximately 46,000;
6 47,000 respiratory specimens were tested for
7 respiratory viruses. About 19 percent of these have
8 been positive for influenza. The percentage of
9 positive specimens peaked around week 51 and, as of
10 week three, which is the most current reporting week,
11 ending January 22, that percentage has fallen to 21
12 percent.

13 There have been 8,736 influenza isolates.
14 A small number, 29, have been B viruses. The vast
15 majority have been Influenza A viruses. And, of the
16 2,084 A viruses which have been subtyped, again, the
17 vast majority have been Influenza A(H3N2) viruses.
18 There have been very few H1N1 viruses.

19 In this slide here, this just graphically
20 depicts what I just went over. Basically, you can see
21 that the number of influenza isolates peaked somewhere
22 around week 52.

23 Now in terms of the sentinel physician
24 system, there are approximately 400 sentinel
25 physicians who regularly report to the states and CDC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and these sentinel physicians report on the number of
2 patients coming in for influenza-like illness. We saw
3 that the national rates of these visits peaked at
4 around week 52 at six percent and, as of week three,
5 this has fallen to three percent.

6 In terms of regions, the region which
7 reported the highest percentage was the West South
8 Central Region, consisting of Arkansas, Louisiana,
9 Oklahoma, and Texas. And that reached a peak of 14
10 percent. The other region which reached a high
11 percentage was the Pacific Region at nine percent,
12 also during week 52. And, again, this graph here
13 shows what I just went over, showing that these
14 sentinel physician visits peaked around week 52 and
15 that the percentages have been coming down rapidly.

16 Now in terms of estimated levels of either
17 widespread or regional activities from the state and
18 territorial epidemiologists, this appears to have
19 peaked at around week two with 43 states reporting
20 either widespread or regional activity. And, again,
21 this graph of the three clinical and virus activity
22 markers basically show the same picture where activity
23 appears to have peaked somewhere between the end of
24 December and the first part of January.

25 However, when we look at the pneumonia and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 influenza mortality data from 122 cities, you can see
2 that the peaking of that activity has been unusually
3 high and you can see that there is also this blip
4 early in the season. And here are the levels. During
5 week 51, we had eight percent and this has increased,
6 as of week three, up to 11 percent. This is somewhat
7 provisional, because, for the most recent week, we
8 always have some missing data. But the percentage
9 shouldn't change too much. To put this in
10 perspective, in the previous three seasons, we have
11 seen peaks of 8.8 percent, 9 percent, and 9.1 percent.

12 Now just to go over a couple
13 considerations about P&I mortality. In general, there
14 are some main factors which influence measurements
15 of P&I mortality. One of these are the infectious agents
16 out there, and, in particular, the incidence
17 and prevalence of influenza virus infections. Another
18 thing is the virulence of influenza virus strains.
19 And then the incidence of other pathogens.

20 In addition, there are a number of
21 protective and susceptibility factors out in the
22 population. And these things include things such as
23 the overall levels of protective antibody in the
24 population, resulting both from previous natural
25 infection as well as current levels of vaccination.

1 coverage. And then, as Roland mentioned, the vaccine
2 match is an important factor and the effectiveness of
3 the vaccine. We also know that changing behaviors can
4 affect P&I mortality. And then, finally, population
5 demographics and underlying characteristics are quite
6 important. And then, finally, there are a number of
7 measurement issues which are important.

8 Now in terms of influenza viruses,
9 essentially, we do not measure the incidence or
10 prevalence of these infections nationally each year.
11 And so this is really an unmeasured factor. And this
12 would be extremely difficult to come up with for a
13 figure each year.

14 In terms of strain virulence, we can make
15 a couple of general and specific observations. Since
16 their appearance in 1968, in general, the H3N2 viruses
17 have been more virulent and have led to more
18 hospitalizations and P&I deaths than H1N1 and B
19 viruses.

20 In terms of this season, specifically,
21 because of the high P&I mortality, the New York City
22 Department of Health pulled the death certificate
23 records and went over them by hand to look and see
24 whether there appeared to be any unusual diagnoses on
25 the death certificates and did not see them. And they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also were able to break down the death certificates by
2 age and compare some of the rates from last year to
3 this year. And, again, we do not see an unusual
4 distribution of deaths by age. So, as of right now,
5 we do not have any indication that there is an
6 unusually virulent strain out there.

7 Now in terms of pathogens, you know that
8 each winter there are a number of other pathogens
9 which circulate, and this is certainly no exception.
10 In particular, this year, in parts of the country, the
11 curves for RSV virus and influenza viruses have really
12 been almost superimposable.

13 Now in terms of population factors, there
14 are some things that I want to go into. In terms of
15 overall levels of protective antibody, again, this is
16 a factor that we do not normally measure year-to-year.
17 Now in terms of vaccine coverage, as Roland showed,
18 the doses which have been produced in the country have
19 been increasing and, for the past year, as Roland
20 mentioned, there has been approximately 80 to 90
21 million doses which have been distributed in the U.S.
22 In terms of vaccine match between what's in the
23 vaccine and what's been circulating, it's really been
24 very good this year.

25 And, in terms of vaccine effectiveness, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this point we do not have any specific data on vaccine
2 effectiveness. These will be available from a couple
3 of different health maintenance care organizations,
4 but not until the end of summer.

5 Now one of the things I want to point out
6 is that elderly age is a key risk factor for P&I
7 mortality. And if we look at the data for H3N2
8 seasons dating from 1968 up until the middle part of
9 the 1990s, you can see that the elderly have accounted
10 for a high proportion of P&I deaths each year. So
11 that, at this point, they account for well over 90
12 percent of the P&I deaths that we see each year. Now
13 when you look at the numbers of people who are over
14 the age of 65, they have increased rather dramatically
15 from 1950 to 1996, going from about 12 million to
16 almost 34 million people.

17 Again, this graph here simply shows what
18 I just mentioned in a more graphic form. But, again,
19 you can see that this has been a rather dramatic
20 increase.

21 Now what are some of the things which tell
22 us that age is important? Well, in this slide here,
23 this upper part represents P&I mortality as measured
24 from the National Center for Health Statistics
25 databases. This is complete national data here. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bottom graph down here represents P&I mortality as
2 measured by the 122 cities system. This is the data
3 that we're currently talking about.

4 And there are a number of similarities and
5 differences between these two curves which I won't go
6 into. But one thing that I want to point out is that,
7 in both of these curves, here you see a somewhat
8 increase in baseline and here you see a somewhat
9 increase in baseline. And we think that this is
10 probably related, in part, to the aging of the
11 population.

12 Now this is another complicated graph,
13 but, basically, there are two lines here. This upper
14 line here is P&I mortality in the Western part of the
15 United States. And this other up-and-down curve down
16 here is P&I mortality in the Eastern part of the
17 United States. And, in general, you can see that P&I
18 mortality is generally higher in the West with higher
19 peaks and a higher baseline, and somewhat lower on the
20 East Coast.

21 Now when we look at the percent increase
22 in the elderly population by region, you can see that
23 the percentage in the 85 and year-old group has been
24 particularly dramatic and the increase has been the
25 highest in the Western part of the United States,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 followed by the South and by the Northeast and by the
2 Midwest. But the relationship between age and P&I
3 mortality is not so straightforward and simple. When
4 you look at the absolute numbers of elderly people,
5 you can see that the largest number of elderly people
6 reside in the South, whereas the lowest number reside
7 in the West. So, again, there's the relationship
8 between age and P&I mortality, but it is not so
9 straightforward.

10 The other major issue I want to mention
11 for this season are some important measurement issues.
12 Now, in general, we look at two main data sources for
13 P&I mortality. The first one is the so-called 122
14 cities mortality reporting system. And this system
15 reflects about one-third of all U.S. mortality. It's
16 a rapid death monitoring system and the results are
17 always considered preliminary. Again, these are the
18 results that I showed you when I showed you that big
19 peak for the season.

20 About two or three years later, we look at
21 the data from the National Center for Health
22 Statistics. This is complete national data, but,
23 unfortunately, they usually are not available for
24 another two or three years after a calendar year.

25 Now this year for the 122 cities system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the P&I death case definition was changed. The old
2 case definition said that a P&I death consisted of
3 influenza anywhere on the death certificate or
4 pneumonia on part one. For this season, the new case
5 definition is influenza anywhere on the death
6 certificate or pneumonia anywhere on the death
7 certificate. So you can see that it's a somewhat
8 broader case definition. And so the expected effect
9 of this would be to increase the number of P&I deaths.

10 Here's the reason why that case definition
11 change was made. Now over the past decade, WHO has
12 been working on revising ICD-9 coding to ICD-10
13 coding. In January of 1999, ICD-10 coding was
14 implemented in the United States. The effect of this
15 on P&I deaths and pneumonia death recording has been
16 profound. Based on both NCHS projections and then
17 confirmed by us, by looking at some data from cities,
18 this ICD-10 coding change decreased P&I mortality and
19 pneumonia mortality by over 60 percent in the United
20 States.

21 This is a really dramatic change. And
22 because of the drastiness of it, WHO may end up
23 modifying this ICD-10 pneumonia coding. This is a
24 process in discussion which is going on right now.

25 But, in the meantime, what we did for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 122 cities case definition, was basically change the
2 old case definition to something which would be called
3 a multiple-cause-of-death case definition. And the
4 importance of this is that the numerator data that
5 we're looking at this year is based on this new case
6 definition. That sinusoidal baseline which you look
7 at is based on the old case definition. That's based
8 on the previous five-year's worth of data. So we have
9 a difficulty there.

10 So does the case definition change account
11 for this entire increase in P&I mortality? Well, the
12 answer is probably not. Here, again, you see two
13 different curves. Now it turns out that in the 122
14 cities system, there are 29 cities that always were
15 reporting a so-called multiple-cause-of-death case
16 definition. And so this change to the new case
17 definition really represents no change for them.
18 Those cities are represented by this solid curve here
19 and you can see that, in cities in which no case
20 definition change was made, we still see an increase
21 in P&I mortality.

22 Now this dotted line down here actually
23 represents the bulk of the data that we normally look
24 at. And in these I guess 93 cities down here which
25 did make a change in their case definition, we see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see that mortality has increased for this season.

2 So, in conclusion, in this somewhat
3 confusing picture, I think that we can say that three
4 out of the four surveillance systems have indicated
5 that activity levels have been similar to the previous
6 two Influenza A(H3N2) Sydney seasons. P&I mortality
7 measurements definitely have been high and the full
8 explanation for this is uncertain at this point.

9 We think that increasing aging of the
10 population is certainly an important factor and will
11 continue to be an important factor in the future. We
12 know that there was an important change in the case
13 definition, which we think increased the deaths that
14 were recorded. But we also think that there are other
15 factors and some relationships between these factors
16 which, at this point, we don't fully understand.

17 So, at this point, there are a number of
18 action steps which will be taken to understand this
19 phenomenon a little bit better. During this season,
20 there will be continued analysis of these P&I data and
21 they will continue to be broken down in several
22 different ways. As I mentioned, vaccine effective
23 estimates will be available at the end of the summer
24 and we'll try to factor that in. Normally we do not
25 analyze the NCHS data until about two or three years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 later, but I think, because of this season, what we'll
2 do is go ahead and obtain a subset of the data and try
3 to do an analysis and compare the results between NCHS
4 and 122 cities data more quickly.

5 And then, finally, as usual, there will be
6 ongoing analysis of these viruses, trying to look and
7 see whether there's anything unusual about these
8 viruses, compared to the other Sydney viruses.

9 So that was rather a hurried explanation
10 of all of this, but I'll take any questions if there
11 are any.

12 DR. LEVANDOWSKI: Okay. Thank you, Keiji.
13 Dr. Greenberg, do you or the committee have any
14 questions or comments?

15 CHAIRMAN GREENBERG: Yes. We have time
16 for a few questions. Ms. Fisher.

17 MS. FISHER: Has there been any analysis
18 of the data with regard to how many of the individuals
19 who died had been vaccinated either this year or in
20 previous years?

21 DR. FUKUDA: No. Every year we receive
22 anecdotal stories or reports of people who have been
23 vaccinated and who have developed influenza or who
24 have died. And we also, every year, get viruses from
25 those groups of people and look and see whether

1 there's anything unusual about those viruses versus
2 viruses being isolated from other people. But right
3 now we do not have specific data.

4 MS. FISHER: That's very important I would
5 think, to find out, you know, the vaccination status
6 of these people, both this year and in previous years.

7 DR. FUKUDA: Yes, that's one of the
8 things, when we do our vaccination effectiveness
9 estimates, that's one of the things that we've looked
10 out and in those calculations.

11 CHAIRMAN GREENBERG: Dr. Edwards and then
12 Dr. Daum.

13 DR. EDWARDS: Keiji, do you think that the
14 greater availability of the rapid diagnostic tests in
15 any way might increase the diagnosis and appreciation
16 that influenza is playing a role in some of the
17 deaths? Or do you think that that's not likely a
18 role?

19 DR. FUKUDA: I think that, you know, with
20 the advent of the new rapid detection tests and the
21 approval of the new anti-viral drugs, I mean certainly
22 there has been a really greatly increased awareness of
23 influenza. You know, in looking over some of the
24 data, from some places it appeared to us that perhaps
25 influenza as a diagnosis was being coded a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 more often than in other years, suggesting that people
2 may be thinking more about influenza. But, again, you
3 know, we don't have that quantified and we don't have
4 it compared with other years right now.

5 CHAIRMAN GREENBERG: Dr. Daum and then Dr.
6 Ferrieri.

7 DR. DAUM: I wonder if you could comment
8 for me, as a non-influenza person, I was struck by the
9 vaccine uptake curve that you showed at the beginning
10 of your presentation with its dramatic rise in the
11 number of doses. And, yet, looking at the data on
12 occurrence or morbidity or mortality, there doesn't
13 seem to be any kind of corresponding effect of that
14 dramatic uptake. You'd expect to see maybe some kind
15 of downturn in some parameter you showed.

16 DR. FUKUDA: Sure.

17 DR. DAUM: And I wonder, are we measuring
18 the right things? Are we looking at the right things?
19 Or is this just not working?

20 DR. FUKUDA: Sure. This is something
21 which comes up commonly. Basically when we look at
22 the data and we look at the increase in the elderly
23 population versus the mortality rates, it appears that
24 the mortality is decreasing in proportion to the
25 increasing -- or the P&I mortality is not keeping up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the increase in the elderly population. But when
2 you look at these curves there, you don't really see
3 that effect because those curves are not adjusted for
4 the increasing elderly population.

5 But this is a question which comes up a
6 lot. And the basic answer is, in fact, we do think
7 that we are seeing some effect from vaccination
8 coverage.

9 CHAIRMAN GREENBERG: Dr. Ferrieri, Dr.
10 Kohl, and then Dr. Couch.

11 DR. FERRIERI: May I add to your
12 anecdotes? I direct a large microbiology laboratory
13 and, although it doesn't include the virology lab, I
14 get all of the feedback from that lab, as well as
15 other information in our institution from infection
16 control.

17 And this year I feel there's been a lot
18 more background noise in Influenza A-proven illness in
19 a population highly immunized. And I have a colleague
20 in another institution, I don't have permission to use
21 his name at this point, but he has at least
22 patients, normal people more like us, not the elderly
23 with proven virus, Influenza A isolates who had been
24 documented to have immunization this year. And I
25 hear more and more community physicians saying that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 same.

2 And so I'm having a hard time convincing
3 everyone who works for me and other people in the
4 hospital who say, well, I got immunized and I still
5 got sick. And my rejoinder always is, well, you might
6 have died, so that's the good news, that you did not,
7 you know.

8 (Laughter.)

9 But what's your reaction? And are you
10 getting a lot of that type of information that is
11 informal, if you will?

12 DR. FUKUDA: Sure. You know, every year
13 we get a lot of informal information and a lot of
14 calls. And we get frequent calls every year about
15 people who have been immunized and who have developed
16 either confirmed influenza or influenza-like
17 illnesses.

18 But we also get calls on things like
19 outbreaks. You know, we get lots of calls on that.
20 And I think that we have gotten a lot of calls about
21 immunized people who have developed influenza-like
22 illness or confirmed influenza. By contrast, we
23 haven't gotten that many calls about outbreaks
24 occurring in the country. And so that's something
25 which has been noticeable to our group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I can't really quantify those calls for
2 you, but that was one of the reasons why we asked New
3 York City to take a look at the distribution of deaths
4 by age group, because, in talking with Marcy Leighton,
5 she said, in going out to the community and giving
6 talks, she had heard lots of comments from primary
7 care physicians saying we're seeing a lot of young
8 people coming in with this. But, again, when we look
9 at the actual data and the breakdown, we don't see an
10 unusual hump of deaths in young people. So I think,
11 you know, that's where it stands right now.

12 CHAIRMAN GREENBERG: Dr. Kohl.

13 DR. KOHL: Some of us elderly, although
14 they still consider us normal --

15 (Laughter.)

16 -- every year we ask about this year's
17 vaccine effectiveness. And, of course, we can't tell
18 that. So I'd like to ask you, in retrospect, do we
19 have any data on last year's vaccine efficacy rates,
20 since the viruses look like they are pretty much the
21 same?

22 DR. FUKUDA: Yes. We got vaccine
23 estimates last year from a couple of different
24 sources. The first estimate that we got was from
25 outbreak out in California in an institution and, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I recall correctly, the vaccine effectiveness estimate
2 from an outbreak was somewhere around 50 percent or
3 so. And this was, I think, in an elderly population.

4 However, when we looked at the data that
5 was made available from the HMOs, from the health
6 maintenance care organizations at the end of the
7 summer, as far as I can recall, I think that that was
8 lower, around 40 percent or so. I'd have to go back
9 and see what the actual figure was, but I think
10 somewhere around there.

11 CHAIRMAN GREENBERG: Dr. Couch.

12 DR. COUCH: Keiji, I don't want to claim
13 credit for this comment. It came to me from Mark
14 LeForest. But I thought it was interesting. I'd ask
15 you to consider that he was suggesting this trend of
16 increase in pneumonia that had gone over in the past
17 few years and your suggestion of pneumonia/influenza
18 mortality increasing progressively is partly
19 reflective of cost-reimbursement procedures requiring,
20 over the course of years, a considerable improvement
21 in the quality of the coding of discharged diagnosis
22 and of death diagnosis than was true earlier. So it's
23 not a change in disease, but a change in the
24 notification of disease.

25 DR. FUKUDA: Yes. I mean, I think that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you know, when we analyzed P&I hospitalization data,
2 that was clearly an issue. I think that
3 hospitalization coding has really been affected a lot
4 by reimbursement practices.

5 I'm less aware and less sure of whether
6 there has been that much of an effect on death coding
7 because of reimbursement practices. My sense is that
8 if there is an effect it's less, but I'm not sure.

9 DR. COUCH: In both cases, the physician
10 is doing the coding, with the help of administrative
11 officials in the hospital. But for the deaths, as
12 well.

13 CHAIRMAN GREENBERG: Dr. Kilbourne.

14 DR. KILBOURNE: I just wonder. When we're
15 talking about levels of projection of 40 to 50 percent
16 are those actually proven cases of influenza?

17 DR. FUKUDA: No. These are clinically
18 defined cases of influenza.

19 DR. KILBOURNE: Okay. Because that's what
20 you have to really look at, ultimately.

21 CHAIRMAN GREENBERG: Dr. Cox.

22 DR. COX: Yes. I'd just like to comment
23 that those were effectiveness estimates against
24 hospitalization in the elderly.

25 CHAIRMAN GREENBERG: Dr. Kim and then Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Faggett and Estes and then we're done.

2 DR. KIM: Since you have viruses
3 available, do you have any information on in vitro
4 activity of your standard serum against those viruses?
5 Whether, you know, they are still active or, you know,
6 activity differs.

7 DR. FUKUDA: Well, I think that would be
8 some of the data that would be gone over subsequently.
9 So I think I'll just hold on that. You're going to
10 get more than enough.

11 CHAIRMAN GREENBERG: Dr. Faggett.

12 DR. FAGGETT: A little clarification.
13 Were you saying that about one-third of the cases that
14 look like flu are really RSV?

15 DR. FUKUDA: No, no. What I was saying
16 was that deaths for pneumonia and influenza reflect a
17 number of different factors and a number of different
18 agents out there. We know that influenza viruses are
19 one of the main factors which affect it, but we also
20 know that people can die from other viral agents.

21 And this year, for example, in some parts
22 of the country, when you look at surveillance data on
23 viruses such as respiratory syncytial virus, you know
24 the rise in those viruses has really been almost
25 exactly the same as the rise in influenza viruses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so, again, we can't say what proportion of those
2 P&I deaths are due to RSV or mycoplasma or
3 adenoviruses. But we know that they're out there and
4 that they play some role.

5 DR. FAGGETT: Before we went to that, this
6 year, was your impression that the RSV was affecting
7 more adults in a more virulent fashion, this year?

8 DR. FUKUDA: No. I don't have any
9 information or any impression that the impact of RSV
10 has been different than in other years. But I think
11 that, you know, traditionally we think of RSV as
12 impacting very young kids but I think it's clear that
13 they have some impact on the elderly also.

14 CHAIRMAN GREENBERG: Dr. Estes.

15 DR. ESTES: I'm a little concerned at the
16 answers about vaccine effectiveness. So every year we
17 ask what was the vaccine effectiveness? And you say
18 that next year by the end of the summer we'll hear
19 what the data were for this year. And yet, for last
20 year, we don't seem to have good numbers for flu-
21 proven cases. Are studies ongoing now that we really
22 will get good data on vaccine effectiveness?

23 DR. FUKUDA: No. There is no ongoing
24 program of vaccine effectiveness studies, looking at
25 laboratory-confirmed cases. I mean, these kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prospective studies, again, would be very expensive,
2 especially to have a laboratory component and we
3 certainly don't have any funding for those kinds of
4 studies.

5 DR. ESTES: Well, I would strongly
6 recommend that somebody reconsider this because at
7 some point we really need to know what the real
8 vaccine effectiveness is.

9 CHAIRMAN GREENBERG: Dr. Cox.

10 DR. COX: Yes. We've had similar
11 discussions for the last 10 years at least and
12 probably over the last 20 years. And we would be
13 delighted to have the funding to do such studies. If
14 it were made available, we would be happy to organize
15 those studies. But the vaccines have been in use for
16 such a long period of time that, at the current time
17 and the current funding base that we have, the best
18 that can be done is to do a retrospective look back at
19 the decrease in hospitalizations among vaccinated
20 individuals, using large databases and that type of
21 study. Because adding a laboratory component is very
22 expensive.

23 Now in the future, there may be a couple
24 of -- and maybe Kathryn would like to talk about this
25 -- there may be a couple of studies which will be done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to look more generally at respiratory illnesses and to
2 integrate a laboratory component which could help us
3 understand the contributions of the different
4 respiratory agents during specific years. But, again,
5 you would want to have this as an ongoing study for a
6 number of years to really understand what's going on.

7 CHAIRMAN GREENBERG: Dr. Couch and then
8 Dr. --

9 DR. COUCH: Well, I just wanted to assure
10 Mary that we wouldn't want to trivialize that comment,
11 but it has been raised year after year after year.

12 DR. ESTES: But I think that's even worse
13 that's it has been raised for 10 years and --

14 DR. COUCH: But still nothing has been
15 done or has been put in force. I find Nancy's
16 comments to be very encouraging that maybe there is a
17 possibility that some studies will be set up.

18 CHAIRMAN GREENBERG: Dr. Daum and then Ms.
19 Fisher and that will be the end of the comments.

20 DR. DAUM: Yes, I just want to strongly
21 reinforce Dr. Estes' comments. I'm a relative
22 newcomer to this process and this committee and
23 already have heard several cries and outpourings for
24 more information about this. I'd be very happy to be
25 informed by Dr. Cox or anyone else as to what we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do to help. To whom can we send the message? But
2 we're doing an intervention to 70 or 80 million people
3 a year in this country and what we know about how it's
4 working is almost pitiful. I mean, we just don't know
5 enough about its performance.

6 I would like to have a lot more
7 information and would like to encourage anybody who is
8 in a position to help to signal the urgency of this
9 crucial health problem and do something about it
10 quickly.

11 CHAIRMAN GREENBERG: Ms. Fisher.

12 MS. FISHER: This has to be done
13 immediately because there is a push to use flu vaccine
14 in children going on right now and it is not right
15 that we go forward with this kind of a recommendation
16 for children when we don't understand what's happening
17 in the general population.

18 DR. FUKUDA: Actually, let me put the
19 vaccine effectiveness question in somewhat of a
20 different perspective. There are many, many, many
21 studies looking at vaccine effectiveness in small
22 populations. What we do not have -- and these are
23 laboratory-based studies looking at laboratory-
24 confirmed information. I think the issue of whether
25 a vaccine is effective or not is not really an issue.

1 I mean, there are just very large numbers of studies
2 addressing that question.

3 What is not addressed is the national
4 vaccine effectiveness. I mean, if you look at all
5 people in the United States and all of this vaccine,
6 what's the effect on this very large group of people?
7 And so that's the data which we do not have because
8 it's so expensive to collect. But that's a different
9 issue, there.

10 CHAIRMAN GREENBERG: We're going to move
11 on, Dr. Couch. I'm going to take the last word myself
12 and simply say that I think what you've heard here is
13 that -- and virtually everybody in this panel knows
14 that there is a huge history of documentation of
15 vaccine effectiveness at points in time in small
16 studies. But since flu is a moving target and things
17 change, it would seem appropriate that there is a
18 prospective, ongoing evaluation because there is the
19 potential that effectiveness may be changing as flu
20 changes. And some handle on that would probably be
21 very useful.

22 Dr. Cox, your turn.

23 DR. LEVANDOWSKI: All right. Dr. Nancy
24 Cox is the chief of the Influenza Branch at CDC and
25 she's going to tell us about surveillance and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antigenic characterizations.

2 DR. COX: Thanks very much, Roland. I'll
3 try to move through the presentation very quickly.
4 There is rather a lot of information to cover and I
5 would suggest that anyone who is sitting too far in
6 the back to see move forward so that you can see.
7 There are a lot of slides that are fairly complex and
8 may be difficult to see.

9 We're going to move from a U.S. picture of
10 influenza to the global picture of influenza. And
11 we're going to start with the viruses that we have the
12 best handle on at the moment. So we're going to move
13 through the different groups of viruses in the order
14 of complexity and the number of questions that we have
15 about them.

16 We're going to start this year with
17 Influenza A(H1N1) strains. This overhead simply
18 indicates by the number of pluses that you have
19 associated with a particular month in a particular
20 area of the world the estimated extent of activity
21 attributed to H1N1 viruses.

22 If we look at the activity that occurred
23 between April 1999 and September 1999, which is mainly
24 activity in the Southern Hemisphere, you'll see that
25 there was only sporadic H1N1 activity. We had only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sporadic isolates from various parts of the world.
2 That is also true for the period October 1999 to
3 January 2000, with the exception of Asia, where we
4 know that during December and January, particularly in
5 Japan and you'll hear more about this later, there was
6 significant activity caused by Influenza A(H1N1)
7 viruses.

8 Now if we look at the viruses themselves,
9 for those of you who have been here for the past two
10 years or past few years, you'll recall that we have
11 two antigenically and genetically distinct groups of
12 H1N1 viruses which are circulating. The first group
13 is represented here by A/Beijing/262/95 and A/New
14 Caledonia/20/99 which you'll be hearing quite a bit
15 about today.

16 These two viruses belong to one genetic
17 and antigenic group. You can see that here we have
18 the reference "antigen" and we have the post-infection
19 ferret antisera titers. The homologous titers are
20 shown diagonally here in red and they're underlined.
21 And we consider a fourfold difference in titer to be
22 significant if it's reproducible from test to test.

23 Here you can see, first of all, that when
24 we look at the relationship between the Beijing/262
25 and New Caledonia strains, we see an asymmetric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference, that is, we see a fourfold reduction in
2 titer between the New Caledonia strain against the
3 Beijing/262 antiserum, but we don't see the reciprocal
4 difference. Nevertheless, when we look at a series of
5 viruses, we can clearly see that the Beijing/262 serum
6 does not cover this set of viruses down here as well
7 as the New Caledonia serum does. So we have seen
8 antigenic movement of the what were formerly
9 Beijing/262-like viruses so that they're looking more
10 like the New Caledonia antigenic variant.

11 Now, of course, circulating in some parts
12 of the world, close circulating in some parts of the
13 world and circulating separately in some parts of the
14 world, we have this older lineage of influenza viruses
15 which are related to the Texas strain that was in the
16 U.S. vaccine for a number of years. That group of
17 viruses is represented by Johannesburg/96 and
18 Moscow/13 in this particular slide. And you can see
19 that these viruses are very clearly differentiable by
20 hemagglutination inhibition testing from the New
21 Caledonia and Beijing/262-like strains.

22 There was sporadic H1N1 activity in South
23 America during their winter months, our summer months.
24 These viruses were isolated during May and July of
25 '99. And these strains from South America were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clearly related to the older Johannesburg and Moscow
2 and the Bayern/07 reference strain, which you will
3 remember.

4 Next slide, please.

5 Here we have a slide showing some more
6 recent viruses. And, in particular, I'd like to point
7 out some strains that were received from Dr. Nerome in
8 Japan where they're having a significant H1N1
9 outbreak. We have the Kobe strain here and Sendai
10 strain here. And these viruses were isolated in
11 November and December of '99. Once again, we see that
12 the viruses down here, and we have actually three
13 viruses from North America, one from Wisconsin and two
14 from Canada, which are more poorly inhibited by
15 antiserum to the Beijing/262, but well inhibited by
16 antiserum to the New Caledonia strain.

17 On this particular slide, we have the most
18 recent Johannesburg/82-like strain that we've received
19 listed at the bottom, antigen number 18. This is a
20 virus from China and it just looks like a typical
21 Johannesburg strain.

22 We're going to concentrate mainly on the
23 bottom part of this slide here. And just to
24 demonstrate, I have actually the page number up on the
25 top, page number 11 in your packet. We had only 40

1 H1N1 strains that were isolated between April '99 and
2 September '99 to analyze in our laboratory. And only
3 a total of 11 strains that were isolated between
4 October and January. Of the most recent strains, the
5 majority are in the Beijing/New Caledonia group and
6 the majority are New Caledonia-like.

7 When we do the genetic analysis, we see
8 that here's the Beijing/262/95 strain and, of course,
9 these viruses do not remain very stable genetically;
10 even if they appear similar antigenically, they are
11 marching along and evolving with time. So here's our
12 vaccine strain and there are a number of conserved
13 amino acid changes between the Beijing strain and the
14 currently circulating strains on the same lineage.

15 Here's our New Caledonia strain. And I've
16 put red dots by a number of the viruses that you had
17 seen on the HI table shown previously and these are
18 strains that are well covered by the New Caledonia
19 antiserum. Down here shown in blue, we have the
20 Bayern/Johannesburg lineage and you can see these
21 viruses are also evolving, although, antigenically,
22 they look very homogenous.

23 We like to look at our sequence data in
24 ways that are fairly simple to present. What we've
25 determined in the past that, oftentimes, strains which

1 have a sequence that is close to the consensus
2 sequence of the viruses circulating actually produce
3 a ferret serum with antibodies that are quite cross-
4 reactive. And so we like to look at the egg isolates
5 that we have because those are the strains that are
6 actually considered to be vaccine candidates because
7 we must have strains that have been cast only in eggs
8 in order to go into the vaccine. So we restrict our
9 analysis here to looking at strains that have a pure
10 egg-passage history.

11 And when we compare the New Caledonia
12 strain to the consensus sequence for the Beijing/262
13 lineage of virus, we see that it has an identical
14 sequence to the consensus sequence. So perhaps this
15 is one of the reasons the antiserum is covering the
16 currently circulating strains on that lineage so well.

17 Next slide, please.

18 We also look at the neuraminidase --
19 antigenically, perhaps we'll be doing that in the
20 future -- but we do look at the neuraminidases
21 these viruses genetically. And, once again, we see
22 our vaccine strain is here and the neuraminidase
23 the New Caledonia and one of its corresponding high
24 growth reassortants is up here, so the neuraminidase
25 is also evolved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 When there are two lineages of viruses
3 within a given type or subtype, we like to keep track
4 of exactly what the geographic distribution is of the
5 viruses that have been identified. And here we have
6 the geographic distribution of the Beijing/262-like
7 lineage viruses that have circulated between October
8 '98 and January 2000. And you can see that they're
9 pretty well distributed in all continents where
10 surveillance occurs.

11 I'm going to just show one overhead with
12 the post-vaccination human serologies. Roland is
13 going to be presenting a lot more data later, but this
14 data did not get to Roland in time for him to
15 incorporate it into his analysis. So I would just
16 like to show you that if we concentrate just on this
17 column for the time being and look at the post-
18 vaccination geometric mean titers against the vaccine
19 strain Beijing/262 and against New Caledonia; a
20 representative Japanese strain from the current
21 outbreak in Japan; and a virus from North America, the
22 Canada virus, we see that we have a greater than 50
23 percent reduction in post-vaccination geometric mean
24 titer for these representative strains.

25 Next overhead, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, if we look at an elderly
2 population. I neglected to mention that we were
3 looking at healthy adults in the previous slide. If
4 we look at the elderly population, once again, if we
5 look simply at the post-vaccination geometric mean
6 titers, we see at least a 50 percent reduction for
7 these strains, as compared to the homologous titer
8 that we observed for the vaccine strain itself.

9 We'll move very quickly onto the Influenza
10 B viruses. The picture is a bit more complex for
11 Influenza B, but similar to the situation for
12 Influenza (H1N1) viruses. We really have not had a
13 great deal of Influenza B activity when we look
14 globally, neither for the Southern Hemisphere winter
15 nor for the Northern Hemisphere winter that's
16 occurring now.

17 Next overhead, please.

18 Once again, we have two lineages of
19 viruses circulating. We have the so-called Yamagata
20 lineage, which is represented here by Yamanashi,
21 Beijing/184, and Harbin/07. And then we have what we
22 traditionally have called the Victoria lineage, which
23 is represented here by Shangdong/07/97. And it's very
24 easy to see that these viruses are distinguishable,
25 easily distinguishable, using hemagglutination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inhibition tests with post-infection ferret sera.

2 This was a test that was performed in
3 early November and we had some isolates from Tennessee
4 that had been collected in early October. And the
5 vaccine strain that we are currently using as our B
6 component is Yamanashi/166. We see that, for these
7 viruses, we had good inhibition for the Tennessee
8 strains and a strain from Brazil that was also
9 isolated in October. This virus from Ohio had a
10 fourfold reduction in titer compared with the vaccine
11 strain, but was only twofold reduced when compared to
12 the Beijing/184 prototype.

13 This test was done in early January of
14 2000. And as we continued to do more testing with the
15 Bs, we started seeing a slightly different picture
16 emerge. If we concentrate here on the titers that
17 we're seeing against the B/Yamanashi ferret serum, we
18 see that we have the Zagreb and New Caledonia strains,
19 which are well-inhibited, and a number of strains that
20 are just two fold down.

21 But then we have antigens 17 through 21
22 here, which had been received recently. They've been
23 isolated in August and September of '99, but we've
24 received them rather recently. We had three viruses
25 from Vietnam and two from Southern China. And very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clearly, these viruses were not well-inhibited by
2 antiserum to the Yamanashi. They were still
3 reasonably well-inhibited by antiserum to the
4 Beijing/184 and they were clearly on the Yamanashi
5 lineage, as confirmed by sequence analysis and so on.

6 We were very interested in looking at
7 these viruses in more detail. We did multiple tests
8 with these to be sure that the HI values were
9 reproducible. And we chose one particular strain,
10 that is this Shenzhen/654 strain; put it into ferrets;
11 and got a ferret antiserum, which shows us quite
12 clearly that we have a new Influenza B variant. That
13 is, we have a greater than fourfold reduction in titer
14 when we're looking at the ability of the Yamanashi
15 ferret antiserum to inhibit this strain and we have a
16 reciprocal difference when we look at the ability of
17 the Shenzhen antiserum to inhibit the Yamanashi virus.

18 Now as you can see, this test was just
19 completed last Monday, right after the ferret was
20 bled. And so we haven't had a chance to do a large
21 retrospective analysis to actually see how this
22 Shenzhen antiserum would perform against, for example,
23 the Tennessee strain and some of the other strains that
24 you've seen in previous tests. So this is one of the
25 next steps that we'll be performing. But you can see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that this antiserum clearly inhibits these viruses
2 much better than the Yamanashi serum does.

3 The other thing I should point out is that
4 the B/Johannesburg strain was also well-inhibited. In
5 our hands, it's well-inhibited both by the Yamanashi
6 antiserum and by the Shenzhen/654 antiserum.

7 Next slide, please.

8 We'll concentrate mainly on the bottom
9 part of this table, the frequency table. If we look
10 at the period between April '99 and September '99, we
11 have analyzed a total of 97 strains. Only 10 of
12 those, or approximately 10 percent, have been
13 Victoria-like and those are from Asia. The majority
14 of the strains are Beijing/Yamanashi-like or low to
15 Yamanashi. And you'll see a number of strains here,
16 in fact, all from Asia, which were low to
17 Yamanashi. And that includes the Shenzhen and some of
18 the Vietnam strains.

19 If we look at the recent period, we've had
20 relatively few Influenza B viruses to analyze. Only
21 a total of 14. And we have one strain from Asia which
22 is low to Yamanashi. We have two from the U.S. which
23 are low to Yamanashi.

24 Okay. This shows the molecular analysis
25 of the hemagglutinin genes of these strains. As I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mentioned, we can clearly distinguish these viruses
2 genetically. This is the so-called Victoria lineage.
3 This is the so-called Yamagata lineage. Here's our
4 vaccine strain here, Yamanashi/16/98. And it's more
5 or less in the middle of the majority of strains that
6 we have analyzed.

7 The new variant that I mentioned is
8 located up here and it's actually shown right here.
9 There are a number of specific amino acid changes
10 between these strains and these strains that could
11 account for the differences in antigenicity. And
12 you'll note that this new group of viruses up here is
13 more closely related to the previous vaccine strain
14 Harbin/07/94 than it is to the Yamanashi/16/98.

15 Next slide, please.

16 We looked at the extent of amino acid
17 difference between the egg isolates that we have for
18 Influenza B/Beijing-like strains and the consensus
19 sequence. And you'll see that the Yamanashi vaccine
20 strain is actually very close to the consensus
21 sequence. And this has been the sublineage that has
22 predominated in most of the world in recent years.
23 But, again, it should be noted that we have a
24 relatively small number of strains.

25 Next slide, please.

1 And here we're looking at the separate
2 sublineage of Harbin-like strains. And here we see
3 that the Shenzhen/654 has five amino acid differences,
4 compared to the consensus sequence for the sublineage.
5 Now part of the problem here is that we have
6 relatively few viruses that are in this lineage. We
7 don't do know the significance of this new antigenic
8 variant. We only have a handful of viruses that are
9 like this. We have just received a package of strains
10 from the National Influenza Center in Beijing and all
11 of the strains in that package are Influenza B
12 strains, so we're very keen to look at those in detail
13 and see if we can find similar viruses from other
14 locations in China.

15 Next overhead, please.

16 If we look at the neuraminidase genes's
17 evolutionary relationships about the B Influenza,
18 neuraminidase genes, once again, we see the Yamanashi
19 strain, here sort of smack in the middle of the most
20 prevalent influenza virus strains that are
21 circulating. We'll need to sequence some additional
22 neuraminidase genes from these recent strains. We
23 haven't been able to complete those studies yet.
24 Well, Shenzhen is actually done here, but we want to
25 look at the neuraminidases of some of the viruses from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Vietnam and the other Shenzhen strain to see if they
2 cluster together.

3 Now just to remind you, we've been talking
4 about this for a number of years, but we need to keep
5 in mind that we do have these two separate lineages.
6 The vaccine for Europe and North America has contained
7 viruses from the Yamagata lineage, but the Victoria-
8 like viruses have continued to circulate in Asia. And
9 the red dots here on the map just show the locations
10 of B/Victoria-like strains isolated between October
11 '98 and January 2000. And we really haven't seen
12 these viruses spread outside of Asia, which is quite
13 surprising.

14 I have just one post-vaccine serology
15 exhibit to show you today. And, again, I'd like you
16 to concentrate simply on this column, which shows the
17 post-vaccination geometric mean titer against the
18 vaccine strain Yamanashi and some other representative
19 strains. And I'd just like you to note that the
20 titers are markedly reduced for the B/Shenzhen/654
21 variant in all of these different panels.

22 Next overhead, please.

23 And I said we were going to move through
24 the virus groups in order of increasing complexity and
25 now we're talking about H3N2 strains. When we look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the influenza activity that has occurred worldwide,
2 and this is just an estimate of the extent of
3 activity, but it certainly contrasts markedly to the
4 picture that we saw for the B viruses and the H1N1
5 strains, you can see that there was really significant
6 activity due to H3N2 viruses in the Southern
7 Hemisphere in South Africa, Australia, and New Zealand
8 and also on Central and South America during their
9 winter season.

10 You can also see that there is significant
11 influenza activity due to H3N2 viruses in the U.S.,
12 Canada, and Europe. And there's also activity
13 occurring in Asia, but it's not quite at the same
14 intensity, at least insofar as we understand it.

15 Now looking at the HI reactions for the
16 H3N2 strains has been extremely interesting. All of
17 us who have been watching the evolution of H3N2
18 viruses over the past few years would have predicted
19 that Sydney-like strains would not circulate for three
20 years in a row. That has not happened for H3N2
21 strains for a number of years.

22 We have looked in great detail and with
23 great care at whether or not the currently circulating
24 strains are indeed Sydney-like. Here I'm showing you
25 one particular batch of post-infection ferret serum.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have checked three different batches made with
2 serum from five different ferrets. And we see the
3 same picture with all three batches of ferret serum to
4 the Sydney strain.

5 This is a test that was performed in mid-
6 December and it is very representative of what we had
7 been seeing during November and the first part of
8 December, and that is to say that, regardless of
9 whether we were looking at viruses from the United
10 States, which are shown here and with test antigens 7
11 through 12, or whether we were looking at viruses from
12 Asia, shown here with test antigens 13 through 18, we
13 were seeing a very good inhibition of these viruses
14 with antiserum to the Sydney vaccine strain.

15 We were also seeing a great deal of
16 homogeneity, regardless of what serum we were looking
17 at. Here we have the Moscow/10 strain that Roland
18 mentioned earlier. We were seeing very good
19 inhibition with this particular antiserum. Here's
20 another virus that you'll hear about quite a bit.
21 This is the Panama/2007/99 strain and its antiserum
22 was inhibiting all of these strains very well.

23 We have two high-growth reassortants that
24 were made against the Panama antiserum. The reason we
25 did that is that we saw that the Panama virus itself

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had a fourfold in many tests, sometimes a twofold,
2 reduction in titer when compared to the homologous
3 Sydney titer. So that's the reason that we went ahead
4 and made a ferret antiserum to this virus and then
5 high-growth reassortants were made. And the antisera
6 to these two high-growth reassorts, NIB-41 and 42,
7 inhibited the viruses that we were seeing early on
8 quite well.

9 Here we have another virus which is
10 fourfold reduced, very reproducibly fourfold reduced
11 in titer as compared to the Sydney homologous titer.
12 And antiserum to that strain also inhibits these
13 strains quite well.

14 Here we have viruses that were isolated
15 during October and November from the U.S. and these
16 strains were isolated a bit earlier, between April and
17 September of '99.

18 Next overhead, please.

19 You notice that this test was done a month
20 later in mid-January. And this table is organized in
21 very much the same way. It's fairly complex. I'll
22 try to walk you through it.

23 What we were seeing -- by this time, we
24 had identified another strain that was fourfold
25 reduced in titer to the Sydney antiserum that's shown

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here in reference antigen number 6 Alaska/37/99. And,
2 of course, we made a ferret antiserum to that strain,
3 so that's the new antigen that's been introduced here.

4 But if we concentrate first on this column
5 here, which shows the inhibition of viruses by the
6 Sydney antiserum, we see that, if we look at viruses
7 from North America, we have a number of viruses with
8 a fourfold or greater reduction in titer against the
9 Sydney strain. And if we look across here and look at
10 how these viruses are inhibited by other antisera,
11 what we tend to see is the viruses which are more
12 poorly inhibited by the Sydney antiserum also tend to
13 be more poorly inhibited by the other antiserum.

14 Now if we look at the viruses that we've
15 received from other countries, we have a similar
16 pattern. There are a number of strains which are very
17 well-inhibited within twofold versus the Sydney
18 antiserum. But we also have a viruses from Hong Kong
19 and one from Korea which are reduced in titer.

20 I think I will go on to the next overhead,
21 rather than spend too much time there on that
22 particular one. This is the latest test that we
23 performed this week and in this test we have one
24 additional antigen and ferret antiserum, the
25 Shenzhen/510/99 strain. And this was the most recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 egg isolate that we had or one of the most recent egg
2 isolates that we had from Asia and so we thought it
3 would be a good idea, even though it wasn't
4 reproducibly reduced in titer with the Sydney
5 antiserum, we thought it would be a good idea to see
6 what kind of a profile we observed with antiserum
7 produced against this virus.

8 Once again, if we concentrate on column A,
9 we'll see that there are strains from North America,
10 which are very well-inhibited. These are strains
11 isolated in primarily in December and January of this
12 season. And we also have some strains that are
13 fourfold reduced in titer, compared to the Sydney
14 antiserum. Again, these strains were isolated in
15 October. If we look at viruses from abroad, we see a
16 similar pattern. We could find viruses which are
17 reduced in titer.

18 We had been looking quite closely at the
19 Panama antiserum and in many tests the Panama
20 antiserum performs as well if not better than the
21 Sydney strain in covering the currently circulating
22 viruses. In this test, it's not readily apparent.

23 We haven't tested the Shenzhen antiserum
24 a great number of times. I think we've only had it in
25 two large tests, but we do see that this Shenzhen

1 antiserum tends to cover almost all of the strains.
2 We only have one strain here, the Philippines/26/99
3 strain, which may be mentioned by others later, which
4 is reduced fourfold or greater in titer.

5 So what I would like to just pause here
6 and mention is that we have a picture for the H3N2
7 viruses that's a little bit complex. We're really
8 looking for a distinct new variant and we haven't seen
9 one. We're looking for a variant where we have a
10 fourfold reduction in titer that's symmetrical, that's
11 going both ways. And if you look at this table
12 carefully, you'll see that there are no strains here
13 which give symmetrical, fourfold reductions in titer.

14 The viruses are reasonably homogeneous and
15 when they are less well inhibited by the Sydney, they
16 tend to be less well inhibited by the other antisera.
17 The only antisera that we have at the moment, and we
18 have much less experience with it, but the only one
19 that we have that tends to give a significantly
20 broader pattern is this Shenzhen/510 antiserum.

21 So in the past what we've always tried to
22 do is to find the new variant and really move to the
23 new variant. But you could think about a variety of
24 strategies for updating vaccine components.

25 You could think about, of course, you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one strategy where you stay with the component that
2 you have in. You could have one where you moved to a
3 strain that is somewhat different, for example the
4 Shanghai/42 even though it isn't very representative
5 of what's circulating genetically. Or you could try
6 to just follow and move where the viruses seem to be
7 going genetically and move to the Panama strain. Or
8 you could try to surround the strains by moving to a
9 strain that really you have a limited rationale for
10 changing to, but the antiserum actually seems to cover
11 better. So these are some of the ideas that we're
12 exploring.

13 Next overhead, please.

14 Now I've shown you tables that have been
15 selected to make certain points. This shows the
16 composite data for H3N2 viruses. If we look at the
17 viruses that were isolated between April '99 and
18 September '99, we have a total of 346 strains that
19 we've looked at and of those just about 11 and one-
20 half percent were fourfold or greater reduced in titer
21 to the Sydney strain. If you look back at what was
22 happening last year, we also had about 10 percent of
23 strains which were reduced in titer to Sydney. So
24 it's really not a very different picture from what we
25 were seeing last year or what we saw during the summer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months.

2 And the same thing is true of the period
3 October '99 to January 2000. Again, it's about 11
4 percent of the strains which are reduced in titer.

5 The molecular picture is fairly complex.
6 I've put red dots by some of the viruses that you've
7 seen on your HI table. Here's the Alaska/37, the
8 Panama/2007. Sydney vaccine strain, of course, is
9 down here and the viruses have been evolving with time
10 as we always expect at a molecular level. And here's
11 the Moscow strain here up in this sublineage. And the
12 Shenzhen/510 is here.

13 Now what I've done also here is to put a
14 small green L by the strains which are low reactor in
15 HI tests. And what you'll see is that those so-called
16 low reactors, the viruses that are fourfold or greater
17 down in titer as compared to the homologous titer with
18 ferret serum, are scattered throughout the dendrogram.
19 They don't cluster together. So what we have is a
20 picture where we don't have a clearly emerging variant
21 of H3N2. We have low reactors which are in each of
22 these different clades.

23 Next overhead, please.

24 If we look at the sequences of our egg
25 isolates or our possible vaccine candidate strains, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compared to the consensus sequence that we've obtained
2 for strains circulating, we see that the Sydney
3 vaccine strain now has nine amino acid differences
4 compared to the consensus sequence. Panama/2007 has
5 three. Shenzhen/510 has five. Another strain that's
6 been mentioned quite a bit or will be mentioned more
7 in the future is Moscow/10, which has five.

8 The relationships between the
9 neuraminidases of these strains at a molecular level
10 are also complex. We have different subgroups of
11 neuraminidases that are circulating. And we see that
12 the Panama neuraminidase is here in this clade while
13 the Moscow and Shenzhen neuraminidases are up here in
14 this clade. Sydney neuraminidase is down here and
15 there are a number of amino acid changes that have
16 occurred in the neuraminidase.

17 Next overhead, please.

18 Now I'm going to finish up my talk with a
19 bit of an aside, but I know that the committee has had
20 a number of questions over the summer months about
21 what was going on in China with regard to Influenza
22 A(H9N2) infection that had been reported from Hong
23 Kong and then also from Guangdong Province in Southern
24 China.

25 The story that you're probably most interested in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 familiar with is that that emerged from Hong Kong in
2 March of 1999 -- I apologize for the typo -- when H9N2
3 viruses were isolated from two hospitalized children
4 ages 4 years and 13 months. These children had
5 underlying health conditions that may have predisposed
6 them to more serious disease, nevertheless they were
7 hospitalized. Both of them recovered uneventfully and
8 no further cases have been reported from Hong Kong.

9 The viruses were analyzed in detail and
10 contain all avian genes, that is to say there was no
11 reassortment between human strains that were close
12 circulating at the time and these H9N2 viruses. Quite
13 a lot of work occurred in Hong Kong to determine the
14 source of the infection and it was already known that
15 some of the poultry were shedding H9N2 viruses, that
16 had antibody to H9N2 viruses. And it was reported by
17 the Department of Health in Hong Kong that about 70
18 percent of the batches of poultry that they tested
19 were positive for antibody for H9 virus.

20 After an investigation in which CDC
21 participated extensively but which was led by the
22 Department of Health in Hong Kong, it was determined
23 that poultry are the likely source of infection and
24 serologic studies indicate that human to human
25 transmission is rare and inefficient, similar to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 picture that was seen for the H5N1 viruses.

2 Isolation of H9N2 viruses was also
3 reported by Dr. Guo Yuanji at an international meeting
4 in March of 1999. He reported that five H9N2 viruses
5 had been obtained from individuals who had acute
6 respiratory disease in Guangdong Province. And the
7 individuals were ill, actually, in December of 1998.

8 Subsequently, this work was published in
9 the Chinese Journal of Experimental Clinical Virology
10 and the title of the paper is "Discovery of Humans
11 Infected by Avian Influenza A(H9N2) Viruses." The age
12 of the patients ranged from 1 year to 75 years of age.
13 And the severity of infection varied somewhat and
14 there wasn't a great deal of clinical information in
15 the paper that was published.

16 The H9N2 viruses that were reported by Dr.
17 Guo are antigenically different from the ones that
18 were isolated in Hong Kong. And I'll show you the
19 relationships between these viruses on this slide. We
20 haven't actually received any of the viruses isolated
21 by Dr. Guo so we haven't been able to confirm his
22 analysis, but the viruses from Hong Kong are listed
23 here, antigens 3 through 6. And we just have MDCK and
24 egg isolate pairs shown here.

25 And you can see that these viruses that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were isolated from humans, whether in MDCK cells or
2 chick cells, are closely related to a reference avian
3 virus A/Quail/G1. And we'll just call it G1 for the
4 sake of convenience. So these viruses are closely
5 related to this virus, which was isolated from the
6 live bird market in Hong Kong during December of 1997
7 right before the slaughter of the chickens that was
8 undertaken to eradicate H5 viruses.

9 The viruses that Dr. Guo has reported
10 apparently are related to a reference avian strain
11 Chicken/G9 which is shown here. So it appears that
12 there are two antigenically and, I'll show you in a
13 minute, genetically distinct groups of H9N2 viruses
14 that are circulating in poultry in Asia and both
15 lineages have shown the ability to jump from birds to
16 humans. Here I've just pointed out with red arrows
17 the G9 reference strain and it's genetically and
18 antigenically from the G1 group that's shown here.

19 I think that's my last overhead.

20 CHAIRMAN GREENBERG: Nancy, thank you very
21 much for a very complete talk. Now that talk took a
22 little more time than the schedule Dr. Levandowski had
23 planned, but I think it was very complete and very
24 helpful. What I would please ask is for the next
25 speakers, who I am sure have wonderful data to tell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 us, that when it overlaps with what you've just heard,
2 you don't have to tell us again. And, in order to try
3 to keep this on track, I would hope that, again, the
4 next speakers really present the committee with the
5 data that is important to make the decision for next
6 year's vaccine.

7 I have time for one or two questions for
8 Nancy. Dr. Kim.

9 DR. KIM: So it appears that, based on
10 your H3N2 data, that it seems unclear why there seems
11 to be an increase in influenza activity this year
12 compared to let's say last year.

13 DR. COX: Yes, the viruses that are
14 circulating this year appear very similar to the
15 strains that have circulated during the previous two
16 winters. So it is unclear, first of all, why, based
17 on past observations, we haven't seen a new variant
18 emerge. And, secondly, why we would have an epidemic
19 caused by H3N2 rather than H1N1 or B when we would
20 expect the antibody levels to be lower to those two
21 strains.

22 CHAIRMAN GREENBERG: Dr. Edwards.

23 DR. EDWARDS: I have a quick question.
24 When you're looking at hemagglutination inhibition
25 with the A/Alaska strain, making the antisera in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON D.C. 20005-3701

1 ferrets, it appeared that the neutralization of that
2 homologous strain was quite low whereas neutralization
3 of other viruses with that strain was higher. Does
4 that happen frequently?

5 DR. COX: That happens with viruses which
6 we typically call "low avid." They just don't appear
7 to bind antibody as efficiently as some other strains.
8 So occasionally, when we put one of those strains into
9 ferrets, we find a ferret antiserum that inhibits the
10 homologous virus less well than it does the other
11 viruses, which bind antibody better.

12 DR. EDWARDS: Would they be not very good
13 ones to select as vaccine strains? Or are ferrets and
14 humans not alike?

15 DR. COX: We tend to try to stay away from
16 those strains if possible.

17 CHAIRMAN GREENBERG: Two more questions
18 That's it. Dr. Faggett and then Dr. Couch.

19 DR. FAGGETT: With the H9N2 virus, was
20 that a mutation in terms of the one reported first in
21 Dr. Guo's? And was there an implication that there
22 person-to-person capacity for Dr. Guo's virus?

23 DR. COX: I'm sorry. I'm not sure I
24 understand your question.

25 DR. FAGGETT: For H9N2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Right. The H9N2 viruses that
2 are circulating in the poultry in Asia --

3 DR. FAGGETT: Right.

4 DR. COX: There are two distinct lineages.

5 DR. FAGGETT: Okay so no mutation there,
6 just very distinct to start with.

7 DR. COX: That's right.

8 DR. FAGGETT: Okay.

9 DR. COX: Distinct to start with.

10 DR. FAGGETT: Right. But did Dr. Guo's
11 reported virus have any potential for person-to-person
12 transmission?

13 DR. COX: We really don't know very much
14 about those viruses and epidemiologic studies
15 comparable to those done in Hong Kong haven't been
16 done yet, although Dr. Guo has done some serum
17 surveys.

18 CHAIRMAN GREENBERG: Last question, Dr.
19 Couch.

20 DR. COUCH: Just a couple quickly, Nancy.
21 One is a lot of, including Dr. Kilbourne, are pleased
22 to see the emphasis coming on on neuraminidase and
23 looking forward to antigenicity data that you said
24 you're working on for the future.

25 But the puzzle is on everybody's part, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you've indicated, is why three years in a row of
2 A/Sydney? And one suggestion that you've made that
3 may have contributed is perhaps the neuraminidase are
4 different and that, in the presence of a high
5 population immunity and the absence of neuraminidase
6 in it, perhaps this antibody and this virus has a
7 little better ability to survive. So I think that
8 will be interesting.

9 You've emphasized the low reactor
10 frequencies and I can't remember from past years that
11 -- see you said it was 10 percent all the way across
12 the years period. It that about the usual? Or is
13 there a higher frequency this year?

14 And my last question relates to Influenza
15 B. Any outbreak or epidemiologic data to go with the
16 Shenzhen strains?

17 DR. COX: I'm not sure what you're -- your
18 first question was more of a comment than a question,
19 I think. Yes, why three years?

20 DR. COUCH: Well, I just wanted you to
21 speculate a little bit. You didn't mention the
22 neuraminidase as a possibility.

23 DR. COX: Right. Right. We're going to
24 -- yes. Neuraminidase is a possibility. We're going
25 to be looking at that in much greater detail, trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

1 to sequence more neuraminidase genes and eventually
2 trying to set up some antibody neuraminidase
3 inhibition tests. And we're also going to be looking
4 at internal genes as well, just in case.

5 DR. COUCH: Have previous low reactor
6 frequencies been low, 1 or 2 percent, versus 10 or 11
7 now?

8 DR. COX: It depends on the year, but 10
9 percent is not unusual because there's just a degree
10 of heterogeneity among viruses circulating that
11 causes us to see this kind of picture. What we expect
12 to see when a new variant is really emerging is an
13 increase over time. So 5 percent, 10 percent, 30
14 percent, and so on and then predominance of that new
15 antigenic subtype.

16 And the third question was?

17 CHAIRMAN GREENBERG: The last question was
18 on B.

19 DR. COX: On B we don't really have very
20 good epidemiologic information about the significance
21 of the strains that have come to us from China, but
22 we'll be following up on that and trying to get as
23 much information as possible.

24 CHAIRMAN GREENBERG: I'd like to move on
25 now and we have a series of speakers. Dr. Canas. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what'd I like to say is for each of you, you've got a
2 maximum of 10 minutes and then a hook is coming out.

3 DR. LEVANDOWSKI: I need to reinforce what
4 Dr. Greenburg said. We're severely behind schedule by
5 about 45 minutes so all the speakers need to be very
6 succinct.

7 Linda Canas is the chief of diagnostic and
8 pyrology at Brooks Air Force Base. She's with the
9 Department of Defense and she has information that
10 overlaps with the surveillance data that's been
11 presented by CDC.

12 CHAIRMAN GREENBERG: And when you have
13 overlapping data, just say you've learned this
14 already. You don't have to present it again.

15 DR. LEVANDOWSKI: Okay, I meant
16 "supplements."

17 (Laughter.)

18 DR. CANAS: Good morning. DOD is very
19 interested in maintaining the health of the men and
20 women in the armed forces and respiratory disease, of
21 course, rates very high on this. For over 20 years
22 now, the Air Force has conducted influenza
23 surveillance.

24 It's been a successful program and it's
25 now operated through the Global Emerging Infectious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Disease Office out of Washington and is tri-service.
2 Each of the services has their own portion. The Air
3 Force still continues mainly in the overseas and
4 stateside surveillance by sentinel base.

5 Now last year I went through a detailed
6 process of how this works and let's just through it
7 very briefly so you know what we do. We do have
8 sentinel sites that are set up around the world.
9 Throat cultures are collected from the physicians of
10 anyone presenting with a case definition meeting
11 respiratory illness. These are sent to us generally
12 by FedEx to our laboratory in San Antonio where we do
13 traditional laboratory methods and isolate whatever
14 virus we get.

15 We are looking for influenza, but it's a
16 clinical test in our labs so we report whatever we
17 get and get this information back to the public health
18 officers at the bases. We then go on to do any
19 molecular tests and hemagglutination inhibition
20 subtypings in our lab and also send on to Dr. Cox's
21 lab at CDC.

22 And just to give you an idea of where
23 of these different sites are, we do have them set up
24 around the world. We have two that are proposed
25 Agreements have been signed and we should, hopefully,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 start getting some specimens from Uganda pretty soon.
2 Bolivia is also supposed to come on line. The
3 stateside bases, our military bases, have been chosen
4 -- training sites where people are coming together,
5 points of entry into the country, and our overseas
6 sites. My handout didn't get here, so it lists all of
7 these and their countries and states to give you an
8 idea that we do have a pretty broad range.

9 One of the most exciting additions has
10 been in collaboration with Army and Navy research labs
11 in overseas areas where we've been able to get
12 specimens from Nepal and Thailand for several years
13 now. This summer we ran into practical problems
14 getting specimens into the country, but I think that's
15 finally all worked out. We are expecting another
16 shipment shortly from them. They say they are having
17 some significant outbreaks.

18 South America has been particularly
19 prolific in sending specimens. They've been very
20 excited about the program. I understand now that the
21 National Police is going to be involved in getting
22 supplies out and getting them back to us. And, as I
23 mentioned, Bolivia in South America and Uganda will be
24 coming on line very shortly.

25 Our graphs of what's been going. You will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 notice that we have a significant respiratory increase
2 this season and that is very true in the military
3 population. But it's unique. We're getting a lot of
4 background parainfluenza. Our program is not designed
5 for RSV, but we have a uniquely military consideration
6 with adenoviruses in our recruit population. So the
7 majority of those isolates have been adenovirus as
8 opposed to influenza.

9 There's been very little influenza
10 isolated in the recruit population. And this is true
11 for all services. And those that have been influenza
12 cases have been in the new recruits who really haven't
13 had time to develop an immunity yet. So we're not
14 seeing influenza in the recruit population.

15 In the active duty population as a whole,
16 we do see it. We're trying to do some vaccine
17 efficacy studies. We know we have a unique population
18 here. They are required, the active duty are
19 required, to be vaccinated. We can track their
20 records; we can track their travel; we can track their
21 medical history, but it takes money. So we're trying
22 to do the retrospective studies of matching up
23 vaccination and travel histories with the laboratory
24 case definitions and this is an ongoing process. It
25 is one of our priorities to try to get true vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy studies down the line.

2 In Asia, we are just now beginning to see
3 a real increase in samples coming out of Asia. We've
4 had one H1 out of Hawaii and that has been a New
5 Caledonia. We had one H1 just last week out of New
6 Jersey. And we've had two Bs in the Pacific and just
7 last week we got a B out of Oklahoma. Everything else
8 we've seen has been H3N2.

9 One of the things in the Pacific that
10 we're trying to get off the ground is surveillance on
11 board aircraft carriers in the Navy. And we can have
12 more access to places like Singapore and those places,
13 but that, again, is still in development.

14 Most of the European surveillance is done
15 through the Army at Landstuhl and there were some
16 technical difficulties and I wasn't able to get that
17 information. Everything we've seen, which has been --
18 we've had several from Turkey lately; a few from
19 England and Germany. They've all been H3.

20 And just a summary of our numbers are very
21 similar to what you've already seen. We're seeing a
22 big increase. It has been an early season, but it
23 hasn't been anything particularly unusual in our
24 experience and H3 is predominate across-the-board. We
25 are expecting shipments from South America and Nepal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shortly, but we have nothing to contribute on that at
2 this point.

3 We're just getting all our molecular work
4 on line. We've got some nice new equipment and we
5 expect to be able to start contributing more
6 information and being able to compare that to the
7 libraries that are set up with CDC and other places
8 and contribute to that knowledge. But this is our
9 program as we have it right now.

10 CHAIRMAN GREENBERG: Thank you and thank
11 you for finishing quickly. Roland.

12 DR. LEVANDOWSKI: Actually, I have a
13 question about the B strains. Are any of the strains
14 that have been isolated by the military B/Victoria-
15 like, particularly from the Pacific Rim or from
16 Thailand?

17 DR. CANAS: Well, we've had very few and
18 even those we had last year have all been very nice
19 184s, the Beijing.

20 CHAIRMAN GREENBERG: Dr. Kilbourne.

21 DR. KILBOURNE: What is the current
22 vaccine coverage in the military? It used to be very
23 complete.

24 DR. CANAS: It's considered to be 90
25 percent by all studies.

1 DR. KILBOURNE: So, in essence, when
2 you're seeing the adenoviruses emerging, you've just
3 subtracted flu, do you think?

4 DR. CANAS: Well, adeno -- of course, you
5 know, we had an adeno vaccine that's no longer
6 available, so that has just increased a lot but we are
7 looking for both. But not too much in the recruits,
8 we're not getting much flu. But in the population --
9 and the adeno is in the recruit population as opposed
10 to the population at large and it hasn't translated
11 into the civilian communities around them either.

12 CHAIRMAN GREENBERG: Okay. Any other
13 questions? Okay, well let's move on to Dr. Hampson.
14 And, Dr. Hampson, the same admonition.

15 DR. LEVANDOWSKI: Right. Alan Hampson is
16 one of the directors of the WHO Influenza Center in
17 Melbourne and he has some information about what's
18 happening recently in the Pacific and the Southern
19 Hemisphere.

20 DR. HAMPSON: Okay. Just very quickly
21 outline the collection of strains that we've had in
22 the last 12 months or 13 months and just showing you
23 our collection network, which is mainly Australia, New
24 Zealand, and into Thailand and some lesser percentage
25 of viruses from some of the Asian countries.

1 And just to show you quickly that in
2 Australia and New Zealand, we have the typical
3 temperate climate distribution of viruses. This one's
4 in weeks. One in months. And during the course of
5 this season, we've had Influenza A and Influenza B
6 scattered throughout the season. Maybe a slightly
7 increasing tendency towards Influenza B towards the
8 end there.

9 Whereas in New Caledonia and Thailand,
10 these are more typical of the tropical distribution
11 where we see virus throughout the year. In the case
12 of New Caledonia, we tend to see outbreaks occurring
13 at sporadic intervals. Interesting this year, we've
14 had two outbreaks of Influenza A. The first purely H3
15 and the second purely H1. And here's the New
16 Caledonia strain Nancy was talking about and some
17 later Influenza B.

18 And if we quickly have a look at the
19 distribution of the Influenza As, the greater
20 percentage have been H3N2 with a very small percentage
21 of H1N1, again just paralleling what Nancy has told
22 you. Then showing you that all of the H1N1 viruses
23 that we have seen for the year fall into this Beijing
24 lineage and are very well-inhibited by the antisera
25 against this new virus isolate that we achieved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 earlier in the year, the New Caledonia strain.

2 And just to show you a difference table
3 from minoesets from the Beijing virus showing this
4 characteristic distribution of a number of minoeset
5 differences. And these are persisting in very recent
6 isolates, including some which we've just received in
7 the last week from the Philippines.

8 With the Influenza Bs, the greatest
9 majority of our strains have been the B/Beijing
10 lineage. A very small number of B/Shangdong viruses.

11 Next.

12 And the important thing to note is that
13 the only place that we had this B/Shangdong or the
14 B/Victoria lineage of viruses from was Thailand. We
15 didn't see it anywhere else in Asia. We had expected
16 this year that we might. And, then again, it only
17 occurred in the first part of the year and you will
18 have noticed in that earlier graph that we've had
19 quite a reasonable amount of Influenza B activity
20 later in the year in Thailand and these were all
21 B/Beijing/184 lineage. So this change to the
22 B/Victoria strain has changed back again to 184-type
23 of strains in Thailand.

24 Now our results with the Type B, the
25 recent Type B/Beijing/184 lineage viruses has been

1 maybe just a little different from Nancy's in that the
2 viruses that we're seeing are better neutralized
3 overall -- some of the viruses are around fourfold
4 down against the B/Beijing/184 virus antiserum.
5 Certainly many of them are twofold down. A number of
6 them are fourfold down against -- two-to-fourfold down
7 against the Yamanashi antiserum. And in all cases
8 these viruses are much better neutralized by two
9 recent isolates, the South Australia/05 and
10 Johannesburg/05.

11 And I've just put in the dendrogram to
12 show all the ones marked in blue are our recent 1999
13 isolates and they are fairly closely grouped. The
14 current vaccine strain, Yamanashi here, the previous
15 vaccine strain, B/Harbin there, and the typical
16 isolates that we're seeing now neutralized by these
17 two antisera against B/South Australia and
18 B/Johannesburg. So there is drift going on with those
19 viruses.

20 And we've had a look at some post-
21 vaccination seriological responses and we are seeing
22 a significant reduction against viruses of this type
23 in vaccines containing the Yamanashi virus. So we've
24 got reduction in B/Johannesburg. These are younger
25 and older adults here. And in the youngest here, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not in the oldest here from Australia for some reason
2 at this stage, we've seen a significant reduction
3 against the new strain, the B/Shenzhen strain that
4 Nancy referred to in her talk.

5 We are seeing an intriguingly different
6 picture with the H3N2 viruses. I've divided them up
7 here into low reactors. These are strains that are --
8 I'm sorry. That should say "low reactor" on this
9 group here. These are strains that are fourfold down
10 in reaction with Sydney antiserum. These are the
11 standard Sydney-type strains which Nancy's already
12 shown you. And we've selected some out which appear
13 to be more strongly reactive with Moscow antiserum and
14 with a particular monoclonal antibody that we use
15 which differentiates the Moscow virus. So we have a
16 much wider split of these viruses than Nancy showed
17 you in her results.

18 And just to show you some selected
19 results. These are not by distribution in terms of
20 numbers, but just some selected results to show you
21 the type of range of reactivity we get from strains
22 that react strongly with all of our antisera. Just
23 occasional strains which react extremely poorly, very
24 low titers, with all of our antisera.

25 Now just to stress that most surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is done with cell-grown viruses these days rather than
2 egg-isolated viruses, we went back and took a number
3 of the clinical samples for which we had these low-
4 reacting strains and reisolated the viruses directly
5 in eggs and made the comparison. And what you can see
6 here is the cell-egg pair, or the egg-cell pair.
7 We've shown it for both the type strain, the reference
8 strain A/Sydney.

9 And you can see there is a difference
10 there in reaction with antiserum. And, in fact, all
11 the way down this column where the virus has been
12 significantly low in its reaction as a cell-grown
13 isolate with the Sydney antiserum, when we've grown it
14 in eggs, the reaction is increased quite
15 significantly. Many of these viruses are more
16 reactive, as you will see, with the A/Moscow
17 antiserum. So there seems to be some degree of
18 influence here on the cell culture system having been
19 used to isolate these viruses.

20 And I just put in one dendrogram here to
21 show you with the A/Sydney virus at this point,
22 virtually all of our recent isolates have fallen in
23 this part of the dendrogram, close to the A/Panama
24 strain which Nancy has mentioned and fairly well away
25 from the Moscow/10 strain, which has also been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 mentioned as a potential vaccine strain.

2 Now I don't expect you to be able to read
3 this in terms of the difference table for the amino
4 acids in these viruses, but what we did was to take a
5 number of viruses. The ones marked in yellow are the
6 ones that are normal reactors in our HI test. The
7 ones marked in pink are the ones that are low
8 reactors. And I've marked here three in red at the
9 top which are extremely low reactors with A/Sydney
10 antisera and our other antisera.

11 And just looking across at where we've
12 colored the amino acid differences. And these are all
13 common amino acid differences across these groups.
14 There is absolutely nothing emerges, as Nancy said
15 previously, to show a genetic difference that relates
16 to this low reactivity. There is some intriguing
17 difference here that's not explained by the
18 hemagglutinin sequence.

19 But one thing we did do was to have a look
20 at a number of these viruses and I apologize for the
21 poor scan here of this electromicrograph. But it does
22 show fairly dramatically what we did see. In a small
23 number of viruses that we looked at where we took the
24 very low-reacting strains, put them under the EEM.
25 Took normal strains. And the thing that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON DC 20005-3701

(202) 234-4433

www.nealrgross.com

1 outstanding, we saw these huge aggregates of virus in
2 the viruses that were low reactors. And I'm sure that
3 Dr. Kilbourne will probably tell us that there's
4 something defective about the neuraminidase in these
5 viruses which is giving us this reaction. We haven't
6 been able to investigate that yet.

7 A quick look at the H3N2 strains. You
8 will have seen at the bottom of one of Nancy's HI
9 tables a virus known as the Philippines/26 strain
10 which is reacting very, very poorly with the antisera
11 raised against A/Sydney virus. These are just
12 normalized to 100 percent in all cases. But some
13 lowering with this Shanghai/42 strain which you
14 mentioned. No lowering with the Moscow/10, in our
15 hands. And lowering with a number of other recent
16 isolates.

17 Now there has been mention made of the
18 A/Moscow strain that was selected for vaccine
19 production in the Southern Hemisphere. And it became
20 a real rod for our backs when we tried to work on that
21 strain because when we took the earliest reassortant
22 that we had which was one made in Australia, this 1957
23 112, we found to our surprise that it had a dilution
24 mutation and the adjacent amino acid change because of
25 the triplet that are being cut out here in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antigenic site, in antigenic site B.

2 And just to go on with a number of
3 reassortants that were made in the efforts to produce
4 a suitable vaccine strain, as I'll show you in a
5 moment, this correlated with antigenic differences.
6 Essentially everything that we have seen from that
7 virus in terms of reassortants has had genetic
8 differences and fairly significant genetic differences
9 from the wild-type virus.

10 We also did fine with the virus that we
11 were working with. In fact, had changes from the
12 original sequence that had been distributed by CDC.
13 And, clearly, when we looked at our original
14 sequences, we found evidence that these viruses were
15 mixed. So this was a mixed population of viruses
16 obviously throwing off mutants as it went.

17 And here's an example of two of these
18 reassortants showing some difference in the reaction
19 of their antisera that were produced against them,
20 against the A/Sydney virus and clearly losing the
21 advantage that had been seen here with the Moscow and
22 quite dramatically seeing the change with a number of
23 our recent low-reacting strains.

24 This Victoria/390 and Brisbane/156 are
25 strains which we've used as markers when we found that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we had this genetic change in the virus to have a look
2 and see whether it was having a significant effect.
3 And it certainly was. It was certainly causing a
4 significant reduction in the potency of the antiserum
5 losing any advantage from putting that strain in the
6 vaccine.

7 Next slide.

8 And, again, when we went on further and
9 had a look at one further reassortment listed here to
10 sera against that reassortant, seeing exactly the same
11 sort of thing. Even though this virus did not have a
12 dilution in it, it had other mutational changes. And
13 so we did not come up with a virus that produced any
14 sort of advantage seen with the original Moscow
15 antiserum and maybe in part the breadth of reactivity
16 of the Moscow antiserum may have due, and this is my
17 speculation, to that being a mixed virus infection at
18 the outset.

19 CHAIRMAN GREENBERG: Thank you very much.
20 Roland.

21 DR. LEVANDOWSKI: I've got another
22 question, if I can ask it. The experience with the
23 Moscow strain, does that have any significance for
24 what's being seen with low-reacting strains generally
25 amongst the H3N2s? Is that sort of one example of

1 what may be going on elsewhere?

2 DR. HAMPSON: We haven't seen any evidence
3 that they mixed in terms of their genetics. We have
4 found a couple of strains which clearly are, one
5 Philippino strain which had a very low reactivity
6 which clearly had quasi-species in it. But the others
7 we haven't and, now that you mention it, maybe we
8 should go back and have a look a little more closely
9 at some of those.

10 DR. LEVANDOWSKI: Well, I'm just wondering
11 if that's state-of-the-art for H2N3 viruses today.

12 DR. HAMPSON: Possible.

13 CHAIRMAN GREENBERG: Diane.

14 DR. GRIFFIN: I was intrigued with the
15 differences you saw between the cell-grown and the
16 egg-grown viruses. And the vaccine is grown in eggs
17 and those are egg-grown viruses. I assume most
18 isolates are probably made in cells. And are most of
19 the viruses used for testing in these wide variety of
20 tests we're seeing grown in human, I assume these are
21 human, cells?

22 DR. HAMPSON: No. They're MDCK cells for
23 a canine kidney cell line that we grow the viruses in.

24 DR. GRIFFIN: They're MDC -- oh, I see.

25 DR. HAMPSON: The interesting thing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we retain our reference panels and this is
2 uniform across the WHO system. Our reference panels
3 are in-grown viruses with antisera prepared against
4 those in-grown viruses because we are using these are
5 the basis for looking for vaccine strains.

6 Unfortunately, the isolates that come to
7 us are cell-grown viruses and sometimes when we go
8 back and reisolate these viruses in eggs because
9 they've looked interesting and looked like a vaccine
10 candidate, we finish up with a virus which is
11 different. The receptors on the cells, the MDCK
12 cells, and the receptors on the egg embryo cells are
13 different and there is a receptor-driven selection of
14 influenza viruses. This may, in part, explain some of
15 this low avidity reaction that we've seen, that Nancy
16 described.

17 DR. GRIFFIN: I guess what I am really
18 most interested in is what the virus is like that's
19 actually from the person before it's isolated. You
20 know, just because if there was any possibility that
21 that was somehow different than the vaccine, that that
22 might help explain some of the things we're seeing.
23 Has anybody done direct sequencing prior to isolation?

24 DR. HAMPTON: Yes, they have. And the
25 answer is that, generally, what we see in direct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sequencing is viruses that have the same sequence as
2 the cell-grown viruses rather than the egg-grown
3 viruses. And there was a proposal a number of years
4 ago that, in fact, we should be looking at cell-
5 isolated viruses as the starting strains for vaccine.
6 And, in fact, there have been a number of meetings on
7 that. It poses a number of regulatory issues which
8 haven't been solved as yet.

9 CHAIRMAN GREENBERG: Nancy, you want to
10 answer this specific issue, correct?

11 DR. COX: Yes.

12 CHAIRMAN GREENBERG: Nancy Cox.

13 DR. COX: We have been looking at
14 sequences specifically for host-mediated changes since
15 the late '80s when a lot of the data first came out
16 where isolates were sequenced directly so a clinical
17 specimen was obtained and the HA sequence was
18 sequenced -- was obtained directly from that rather
19 than after isolation.

20 And so when we look at strains that are
21 candidate strains, we're always keeping in mind what
22 we know about host-mediated selection. And when we
23 see that a virus that comes out of eggs that has a
24 particular amino acid difference from the majority of
25 strains that are circulating, we want to be sure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we don't have an antigenic difference that's conferred
2 by that change. This is always taken into account as
3 much as possible.

4 CHAIRMAN GREENBERG: Dr. Kilbourne, is
5 this directly on point?

6 DR. KILBOURNE: It's directly on the
7 point.

8 CHAIRMAN GREENBERG: Okay.

9 DR. KILBOURNE: Because I think a very
10 important consideration comes up here and that is that
11 it is very arbitrary what we define as Moscow/10 or
12 anything else. Will the real virus stand up? Because
13 we're dealing in each instance with a heterogeneous
14 quasi-species mixture and, out of our reassortment
15 procedures, we will fish different variants. And I
16 think it's hard to say whether those variants which
17 seem to be artificants of reassortment are the true
18 representative or not.

19 We go back to wild-type a lot. What is
20 wild type? It's a mixture anyway. So I think it's,
21 well not to overemphasize these differences unless we
22 really show they're antigenically significantly
23 different.

24 CHAIRMAN GREENBERG: Okay, last question
25 is Dr. Couch.

1 DR. COUCH: Alan, that was a great deal of
2 data in a big hurry. And between last night at 11:00
3 getting this and what you said, it's a little trouble
4 here. Will you tell me, remind me, if Australia has
5 had H3N2, or the Southern Hemisphere, H3N2 epidemics
6 three years in a row as we did? And what your
7 thoughts are about A/Moscow, low-reactivity strains
8 and low aggregation as being a reasonable explanation
9 for our third year in a row.

10 DR. HAMPSON: Yes, we've had A/Sydney for
11 three years in a row. The outbreak this year was not
12 quite so significant as the previous years. Last year
13 was a very serious outbreak. This year was a moderate
14 outbreak. And the preceding year we did have A/Sydney
15 in the population. It was mixed at that stage with
16 the previous strain A/Nanchang.

17 I'm perplexed by why we've had this virus
18 repeatedly in the population. I don't understand it
19 and we're still trying to come to terms with our
20 findings here with these low-reactive strains and
21 exactly what is contributing to this. It's far more
22 dramatic than we have seen in the past, but it's more
23 dramatic than what Nancy is seeing and so there's
24 clearly some difference in our surveillance or our
25 test system which is throwing this up a little more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than she is seeing at CDC.

2 CHAIRMAN GREENBERG: Okay. I'd like to
3 move on to Dr. Zambon. And, Dr. Zambon, again, as
4 expeditious as you can be.

5 DR. LEVANDOWSKI: Dr. Maria Zambon is from
6 the Public Health Laboratory Service in London. And
7 I think you probably have seen in the news that
8 London, and England in particular, have been having a
9 fairly severe influenza season. And we would be most
10 interested to know about what's happening there.

11 DR. ZAMBON: Thank you very much. Just
12 like in the United States, in the United Kingdom, we
13 have a number of different measures of influenza
14 activity. The one that we pay most attention to is
15 based on sentinel physician recording from a sentinel
16 physician network, which is based on monitoring some
17 800,000 to 1 million people in the United Kingdom and
18 new episodes of influenza-like illness are recorded.

19 This is described as the RSGP, standing
20 for the Royal College of General Practitioners, weekly
21 consultation rates for influenza and influenza-like
22 illness. Shown here is the last 10 years index and
23 you will see that, in the United Kingdom, the way in
24 which we describe our influenza activity could be
25 described as arbitrary in some ways, but I hope you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will see what I mean when I describe a little bit
2 further.

3 We know that our baseline for influenza
4 runs to round about 50. And we describe anything in
5 the consultation index below 50 per 100,000 as
6 baseline activity. Anywhere between 50 and 200 we
7 describe as normal seasonal activity in that we
8 recognize influenza circulates every year. Somewhere
9 between 200 and 400 we describe as higher-than-
10 expected seasonal activity. And anywhere that's
11 greater than 400 we describe as epidemic activity in
12 order to reflect a severe year's worth of influenza.

13 The last time that we had a very serious
14 influenza epidemic in the United Kingdom was the
15 season for 1989-1990, which is also recognized
16 worldwide as being a very severe influenza season.
17 This year, 1999-2000, we would describe what we saw as
18 higher-than-expected seasonal activity. And this peak
19 here, which is now on the downturn, is probably a
20 slight underestimate in that some of the figures
21 obtained were obtained over the Christmas period.

22 Next slide, please.

23 The GP practices involved in influenza
24 community surveillance, some of those GP practices
25 which do the influenza monitoring clinically also

1 submit swabs to us and they are a very valuable source
2 of isolates.

3 Next slide, please.

4 The distribution of those GPs reflects the
5 major urban areas and the population density. The
6 thing that we can say for this year, which is a
7 reflection of some of the press activity that you may
8 have heard about, is that the major consultation
9 index, major consultations took place or the peak of
10 consultations took place in the elderly, which is a
11 reflection, therefore, probably, of impact on the
12 health care system and hospital bed utilization.

13 Importantly, when we look at the isolates,
14 our isolates are derived either from community sources
15 or from hospital sources, about half and half, and we
16 see different distributions. The hospital isolates
17 come primarily from the elderly or young children,
18 whereas the community isolates come from the bulk of
19 the population, that is the young and middle-aged.

20 Next slide, please.

21 Our death data -- this is as up-to-date as
22 we have -- suggests that we have, so far, a very
23 similar season to last year, which was reasonably
24 severe in death terms. We estimate in the United
25 Kingdom that there are some 10,000 to 12,000 extra

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deaths associated with an H3N2 season every year. And
2 last year, as you know, was an H3N2 season. This
3 year, so far in the United Kingdom, it has been
4 exclusively H3N2 and our death data look very similar
5 to last year.

6 Next slide.

7 Now I won't spend too much time on this
8 because many of the points have already been made. We
9 see our viruses really, the majority of them, react
10 reasonably well with Sydney/5, although we do have
11 some low reactors. In general terms, where we have
12 used Moscow/10 antiserum, we see slightly better
13 reactivity with the Moscow antiserum, but maybe not
14 enough to make a substantial amount of.

15 Next slide, please.

16 The proportion of isolates with decreased
17 reactivity, those fourfold or less to Sydney, has
18 stayed relatively constant, I think, over the portion
19 of the season that we've analyzed so far, and is
20 rather similar to the proportion that we saw last
21 year.

22 Next slide, please.

23 And, in sequence terms, the only reason
24 for my showing you this is to perhaps point out, we've
25 had a bit of discussion from the panel, the question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of vaccinated individuals who subsequently go on to
2 develop influenza. I'd just like to draw your
3 attention here to this strain, England/650/99, which
4 has come from a vaccinated individual.

5 The only point that I would note here is
6 that we do have an additional creation of a potential
7 glycosulation which makes that virus slightly
8 different to the others that we've looked at this
9 season. But we have actually seen that virus strain
10 circulating from individuals who have not been
11 vaccinated. We saw that at the end of last season.
12 So it makes the point very nicely that the viruses
13 that you recover from vaccinated individuals, at least
14 as far as their HA1 is concerned, may not be any
15 different to what's seen circulating in the
16 population.

17 Next slide.

18 And, indeed, when we look at our viruses,
19 they are clustered very closely together and closely
20 with other viruses that we saw towards the back end of
21 last season.

22 Next slide.

23 We've had three Influenza B strains so
24 far, all of which have come in late December or early
25 January. And the HI data are, therefore, not what I'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 describe as robust in the sense that there's only been
2 one HI test. We see, in general, that the viruses are
3 Beijing-like, although this most recent virus in this
4 single HI test shows a slightly reduced reactivity to
5 the Beijing/184 and the B/Yamagata serum.

6 Next slide, please.

7 Although when we actually look at the
8 sequence analysis, we can see no reason why that
9 should be particularly.

10 Next slide, please.

11 So, in summary, for the United Kingdom
12 influenza surveillance, we've had widespread influenza
13 activity over this last season. We've had
14 predominately H3N2 strains circulating. Our clinical
15 activity is all related to H3N2.

16 We've had one imported case of H1N1, which
17 came from a lady who'd returned from a cruise in the
18 Caribbean. And we would actually describe this as a
19 New Caledonia-like strain, although we cannot get at
20 it genetically for various technical reasons.

21 The majority of our strains are Sydney/5-
22 like and we see a small percentage with reduced
23 reactivity to A/Sydney/5 with a fixation of some
24 mutation as compared to our '98 and '99 viruses. And
25 we clearly do not have any molecular correlate for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low-reacting strains that we see. We've had the
2 recent onset of Influenza B circulation and a
3 relatively small number of Influenza B viruses have
4 actually been assessed. And I'll leave it at that.

5 CHAIRMAN GREENBERG: Thank you very much.
6 Roland. Dr. Faggett.

7 DR. FAGGETT: Yes. In your data, your
8 1998 experience appeared to be below normal. How do
9 you account for that dip in your numbers? Was it
10 increased immunizations or --

11 DR. ZAMBON: Sorry, I'm not sure I
12 understood the question. 1998 and 1999.

13 DR. FAGGETT: Your chart shows a below
14 normal incidence of influenza. Did I read that
15 correctly?

16 DR. ZAMBON: No, that's not -- you mean
17 for the '98-'99 season?

18 DR. FAGGETT: Right.

19 DR. ZAMBON: No, that's not correct.

20 DR. FAGGETT: That dip in '98.

21 DR. ZAMBON: That's the '97-'98 season.

22 DR. FAGGETT: Right, okay.

23 DR. ZAMBON: So this is the '98
24 season.

25 DR. FAGGETT: Right. Okay. Right. That

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dip. How do you account for that? That's a very
2 significant dip.

3 DR. ZAMBON: We had very little influenza
4 circulating in that year. And, if I recall correctly,
5 that was predominately an Influenza B season.

6 DR. FAGGETT: So there was no increased
7 immunization or anything like that?

8 DR. ZAMBON: No. Rather like the United
9 States, we've seen a progressive increase in the
10 amount of vaccine put out, but what is clear in the
11 United Kingdom is that we do not necessarily have data
12 about how well vaccine is targeted to the at-risk
13 populations. And where that has been looked at, we
14 have some very disappointing figures to suggest that
15 the at-risk population only 40 to 50 percent of those
16 are actually receiving vaccines. So even though a lot
17 of vaccine is going out, it's not necessarily getting
18 to those that most need it.

19 DR. FAGGETT: Yes. We have that same
20 experience.

21 CHAIRMAN GREENBERG: Okay. If there's no
22 other questions, we'll move on to the last speaker
23 before the break and that's Dr. Nerome.

24 Dr. Nerome, Roland will introduce you in
25 a second, but, again, 10 minutes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701