

1 is. That's part of the question.

2 DR. WILCOX: We've already heard somebody
3 suggest that it would be 100 animals for a year. Is that
4 going to be long enough?

5 DR. TROJANOWSKI: 100 animals for a year, yes.
6 Show me data to the contrary. 100 animals surviving a year
7 with cells injected, a million, 2 million, 4 million, and
8 do bromodeoxyuridine labeling at various times in between
9 for further backup. But as someone who has worked on
10 tumors for 15 years of the brain, I don't see any animal
11 models of tumorigenesis beyond 365 days when the cells are
12 there, and they're alive of course. They can't be dead
13 cells.

14 DR. WILCOX: Are you referring to the nude
15 mouse models?

16 DR. TROJANOWSKI: I'm referring to nude mice,
17 yes.

18 DR. SALOMON: Dick, Tom, and Mark.

19 DR. CHAMPLIN: I think biologically there may
20 be a difference between these ES cells and other cells in a
21 steady state. The embryonal cells are programmed to make
22 an embryo. So, they're going to proliferate and make a
23 mass naturally. Whereas, cells that are collected in the
24 steady state situation from even the fetus or an adult are
25 quiescent because of natural controls on their growth. I'm

1 not aware of any precedent for those cells making tumors in
2 any system. So, I would be very suspicious of ES cells in
3 terms of their tumorigenicity, but I would think it's going
4 to be the exception rather than the rule for these other
5 cells, and I wouldn't make a giant requirement there when
6 there doesn't appear to be a rational need.

7 DR. FREEMAN: Just to support this number of
8 100 animals, if you're looking for landmarks, if you use a
9 stem cell that is partially committed such as Evan's and
10 they can migrate all over the brain, including to the
11 contralateral side, and you can deliver them with one
12 needle track, as opposed to cells that are differentiated
13 and stay where they're put -- for example, in our program
14 we're using 16 needle tracks. What's the relative risk
15 surgically? You're talking probably about a one-half to 1
16 percent risk surgically for all those needle tracks, not to
17 mention cognitive difficulties from multiple tracks through
18 the frontal projections. So, therefore, that gets weighed
19 into the formula, and I think that 1 percent number is
20 probably reasonable.

21 DR. NOBLE: I'm just a little concerned about
22 the focus on tumorigenicity. We know that the most
23 mutagenic components of the brain are myelin, and in the
24 brain you have lipidic haptene that people worked on for so
25 many years and that you always got an immune response to is

1 galactocerebroside, the major myelin in glycolipid.

2 So, I think there's a certain concern that I
3 have that if you are going to do a nonautologous transplant
4 into a patient in situations where you were going to
5 generate oligodendrocytes, that perhaps there is a need to
6 at least be looking for changes in the percentage of T-
7 cells or immunoglobulins that are reactive against the
8 myelin antigens so that we are aware of the potential of
9 these autoimmune disorders.

10 DR. SALOMON: So, that's actually raising
11 another issue. That may allow us to move on.

12 In a year or two, when they get rid of me, I
13 still want them to get up and give me a plaque or
14 something.

15 (Laughter.)

16 DR. SALOMON: So, I think we've done
17 tumorigenicity. I don't know that anyone wants to tell you
18 it's 100 animals, though we've had an argument for that.
19 But I think in general we agree that there should be
20 information available on any cell line for a clinical trial
21 that includes but doesn't exclude anything specifically,
22 but includes karyotyping, p53 expression, contact
23 inhibition in in vitro growth assays, but certainly also
24 includes data in animal models. Maybe we'll get back to
25 that a little bit about immunodeficient animal models

1 versus primates. I think that's kind of where I'm wanting
2 to have a little bit of time to talk about next. But it
3 should be both animal tumorigenicity data and in vitro
4 tumorigenicity data. I think that's probably where we
5 ought to hold there. And I'll resist the gene chip comment
6 at this point.

7 Yes?

8 DR. JOHE: I'm Karl Johe from NeuralSTEM
9 Biopharmaceuticals.

10 In terms of tumorigenicity, the nervous system
11 disease does present two unique differences which I would
12 like the committee to consider. One is the lesioned
13 environment, whether the tumorigenicity test should be done
14 in lesioned nude mice or not. Second is the site of the
15 injection. There are different neurogenic sites in the
16 adult brain and I would like the committee to make specific
17 recommendations.

18 DR. SALOMON: I think certainly with respect to
19 the latter, the committee has already repeated on multiple
20 occasions from multiple people here. So, I think I can
21 speak for the committee, unless you guys disagree, that
22 site is important and lesion is important in terms of the
23 environmental signals. I think everybody agreed with that.

24 Some of that will come back down to models. I
25 think at some point along the line, if you want to do a

1 disease X study, you're going to have to provide animal
2 information in a model of it. The intrinsic quality of
3 that model is something that is not easy to predict because
4 there are so many different possible models.

5 Well, immunogenicity. I don't want to forget
6 that one because that's certainly not irrelevant at all.
7 It's certainly relevant in a number of different things
8 that we do in terms of transplantation and sensitization.
9 In gene therapy, the idea of immune responses altering
10 therapeutic responses and certainly in cell transplantation
11 like islet transplantation and autoimmune disease
12 destroying the islet. So, that was quite an argument
13 between us last time. So, anyway, I think this
14 immunogenicity issue is very important, Mark. I'm glad you
15 brought that up.

16 Let's talk a little bit about, in the context
17 of animal models, post-implantation cellular fate. Again,
18 I'm cognizant of the time. So, here I might get a little
19 heavy-handed. Please forgive me.

20 There are two or three really important things
21 I think we should talk about. One is markers. If you do
22 these studies, it would be a big advance if the cells
23 survived and functioned after transplant even if you might
24 not meet the absolute ideal clinical profile, and a lot of
25 that could then spur further research. I think we'd all

1 | agree.

2 | So, what ways do you guys suggest we have
3 | available now to follow cells after transplantation in
4 | animal models or in the human situation that would be
5 | important to think about now?

6 | DR. RAO: It's pretty much all the standard
7 | ways, and you saw some of the evidence. You can use
8 | noninvasive radiographic methods to follow cells at least
9 | in humans, and at least in specialized cases where you have
10 | MRI scans, you do this with mice.

11 | I think a couple of things that we should be
12 | considering in creative ways that you can use paramagnetic
13 | labels, and we may want to see whether we can use those.
14 | We can use labeled antibodies with specialized uptake.
15 | Especially if you're doing human cells into mice or rats,
16 | we have species-specific antibodies which can bind on the
17 | cell surface and one can use them to actually follow cells.
18 | And all of those are possible.

19 | DR. SALOMON: So, can I get a little bit more?
20 | You guys did some neat PET scanning. Stuff was shown
21 | yesterday. Now, can those PET positive markers -- you
22 | loaded the cells pretransplant with them. You don't get
23 | special uptake if you give them IV to the patient after you
24 | do the transplant. Right?

25 | DR. RAO: No.

1 DR. SALOMON: How long will they last? Usually
2 those kind of things we're talking 48, 72, 96 hours. BrdU
3 may be a few days.

4 DR. RAO: A short time.

5 DR. SALOMON: So, in terms of a clinical trial,
6 these are not going to be very satisfactory. Right?

7 DR. GAGE: One of the clinicians explained
8 what's going on with the PET markers in the fetal tissue
9 grafts. That's what you're talking about. This is bottom
10 line rather than the details.

11 After the graft is in there, you can give
12 something to the patient and mark the functionality of
13 different aspects of the graft.

14 DR. KORDOWER: You can give like agonists, give
15 amphetamine and have the graft be activated, and you can
16 see function that way.

17 DR. GAGE: And you can do it repeatedly. So,
18 there are ways of assessing specific functional aspects of
19 the graft. It's debatable, is that the host or the graft
20 that's doing it?

21 DR. KOLIATSOS: But this is the product of the
22 graft. The question is if you can use neutral markers to
23 trace the origin of the cells. If there are neutral
24 markers and there are markers of differentiation and fate,
25 I think we need both because you need to show that fates

1 | come from your implant and they're not just an independent
2 | event.

3 | DR. GAGE: I was just responding to what his
4 | question was.

5 | But what we don't have are what we're looking
6 | at. What we'd like to have is some sort of tag that you
7 | can put onto a cell that could be read out in vivo
8 | repeatedly over time, independently of the fate of the
9 | cell, just to know where all the cells went. That would be
10 | a terrific thing.

11 | DR. SNYDER: That actually does exist. Ralph
12 | Wielander up in Boston with us has actually FDA-approved
13 | now ferromagnetic tags that can go into cells such that the
14 | transplant itself can be imaged by MRI over time in mice
15 | and presumably in primates and humans. So, that kind of
16 | technology does exist.

17 | There's some emerging technology on actually
18 | being able to image lacZ expressing cells equally as well.

19 | So, the technology exists, but hopefully we
20 | would be using in clinical trials if we did transplants to
21 | follow in vivo in a living person by MRI the cells that we
22 | put in there. Again, it wouldn't tell us what --

23 | DR. KOLIATSOS: Have they used the cells and
24 | have they MRIed these brains, Evan?

25 | DR. SNYDER: Yes.

1 DR. KOLIATSOS: Because there's one issue of
2 feeding them ferromagnetic stuff and the other is for the
3 MRI machine to have the resolution to pick up small
4 collections of neurons. There are two different things.

5 DR. SNYDER: Yes. There is a resolution, but
6 the resolution it's not bad.

7 DR. GAGE: But I think we need to be careful
8 about what we're thinking about we want to have in the
9 future and what is standard practice in the field at
10 present. And what is not standard practice in the field at
11 present is being able to track individual cells that are
12 grafted now. There may be a hope for something like that
13 in the future, but that is not standard practice in the
14 field.

15 So, what we know right now is not where all the
16 cells go in all of the different models that we are dealing
17 with, much less the fates. That information is at best
18 derived postmortem in our sections when we look at the
19 animals, and if you label every cell, you hope that the
20 label stays on long enough so that you can then double-
21 label that marked cell with enough phenotypic markers to
22 determine what they turn into.

23 But that's the state of it, and it's not bad.
24 Actually that part of it is coming along pretty well. But
25 I think it would be wonderful if we had magnetic markers or

1 something like that for clinical applications where you
2 actually could track the cells in situ, independently of
3 knowing what they are. Just knowing where they are would
4 be a relief I think to experimenters and clinicians alike.

5 DR. KOLIATSOS: The problem I see here is that
6 because of the extensive migratory properties of those
7 cells, they're going to disperse themselves quite a bit.
8 It's not like the traditional grafts where you put a big
9 chunk of cells inside of the brain and the machine, the
10 magnet can pick it up. They're going to go all over the
11 place, and they're going to dilute the signal. So, this is
12 a significant technological problem which is ahead of us.

13 DR. SALOMON: One of the things I think about
14 the migration is that if you have an injury somewhere, they
15 may migrate out, but if the injury is in the site where you
16 just injected the cells, there may not be a specific reason
17 for these cells to migrate. I think it's an important
18 question in each of these models, how much, if any,
19 migration will occur. Of course, it will have to do with
20 the cell type. I don't want to get into that. That's
21 obvious. But also just the situation around it.

22 Tom?

23 DR. FREEMAN: In terms of radiologic surrogate
24 markers, obviously with dopamine neurons it's our best
25 characterized surrogate marker using the fluorodopa PET

1 scan where the test/retest variability is available. But
2 even that even in the best of situations is limited because
3 region of interest is 2 centimeters or a centimeter and a
4 half even in the best of hands for test/retest variability,
5 and many will say it's even larger than that. So, to
6 expect a pharmacologic surrogate marker is just not
7 practical even in the best of situations.

8 Then once you get into other diseases, what's
9 the pharmacologic outcome you're measuring? Are you
10 looking for GABAergic function or whatever? The markers
11 and the methods for looking for that are just not
12 available.

13 On the other hand, if one is looking from a
14 safety point of view, just a plain old MRI scan a year and
15 a half out is good, regardless of therapy, for
16 tumorigenicity purposes. That's really what we're looking
17 for primarily, and that's available.

18 DR. SIEGEL: Tumorigenicity may be a primary
19 concern, but we are interested in, as noted in the bullets
20 in this question, what animal models might tell us about
21 migration, differentiation, phenotype, integration, and
22 survival.

23 DR. SALOMON: Great. We've got 15 minutes to
24 do all of that.

25 (Laughter.)

1 DR. GAGE: Well, I think that there are some
2 answers. Again, in the short time, trying to give some
3 advice or some recommendations, cells can be labeled either
4 with dyes or with bromodeoxyuridine prior to grafting so
5 you can track them, or with viruses in some way so that you
6 can see where their fate is. And then after grafting, in
7 the appropriate model, use that graft-identified marker to
8 determine what the cell fates of all those cells are.

9 To go back to what we were saying, not just how
10 many tyrosine hydroxylase positive cells develop reliably
11 as a function of the number of cells that you put in, but
12 also how many of the cells that you put in survive -- get
13 some quantitation on it -- and then how many of those cells
14 differentiate into the phenotype that you've determined in
15 your experimental model is causally responsible for the
16 functional recovery that you've seen. You can set that
17 barrier and say, okay, look, we need to get, based on our
18 experiments, this many cells surviving. We've put in this
19 many cells, this percentage of our cells, differentiating
20 the fate, and we get that in some sort of ratio.

21 I don't think it's unreasonable to ask for
22 those parameters in any experimental model to say what
23 percentage of your cells differentiated in the phenotype
24 that you believe is causally responsible for the function
25 that you have in vitro and in vivo. And that is a

1 percentage of the total number of cells that you put in.

2 DR. SALOMON: In the back.

3 DR. WILCOX: I agree with that, but I think one
4 of the other problems that we face as reviewers is that
5 we're also concerned with what else they may become. If
6 the intention or the desired phenotype is tyrosine
7 hydroxylase positive, what are the risks that the cells
8 might, or a portion of the implanted cells, may assume
9 other phenotypes? And does that matter? Is it going to be
10 clinically relevant? When we ask sponsors for preclinical
11 data at this point, it's often not clear what kind of
12 clinical relevance it's going to have, and it's very
13 difficult sometimes to convince sponsors that we want to
14 know what else these might do.

15 My feeling is that we should have that
16 information in case there are adverse events which we then
17 can use those data to perhaps evaluate the adverse events.
18 But is it realistic to ask sponsors to put resources into
19 these large preclinical studies where we don't really know
20 even what we're looking for at this point?

21 DR. GAGE: Well, if you have markers in the
22 cells and you've used one marker to identify what the fate
23 is that you're searching to, you use other markers to
24 determine the percentage of cells that have gone into other
25 fates. You wouldn't just use a single marker, but you have

1 | to at least get the cells down the lineage that you want or
2 | that you're targeting. Then as a corollary, you want to
3 | find out as many of the other fates as you can within the
4 | cell type. But I wouldn't be satisfied just knowing all
5 | the other ones. I'd want to make sure that you've got the
6 | fate that you want.

7 | DR. SALOMON: Tom, Jeff, and Vassilis.

8 | DR. FREEMAN: So far with, for example, fetal
9 | transplants, no one has had more than, say, 5 to 10 percent
10 | of the neurons that are going in being dopaminergic because
11 | that's standard within the mesencephalic dissection. So,
12 | by definition, our experience has been with the
13 | heterogeneous population not with the homogenous
14 | population.

15 | There is evidence suggesting that that may
16 | actually be good. Say you have your dopamine neurons
17 | surrounded by mesencephalic glia. That may not only affect
18 | survival rate in vivo, but also the type of outgrowth
19 | versus branching is directly related to the surrounding
20 | glial milieu around the dopamine neurons.

21 | So, the bottom line is I think that, from my
22 | point of view at least, all that matters is that what's
23 | going to go into patients is tested from a safety
24 | perspective in animals. I think we tacitly have to admit
25 | that it may not be possible to characterize to the last

1 cell exactly what's going on, at least at this stage. That
2 may take 20 years to achieve. But as long as the safety
3 profile for the way it's going to be used clinically is
4 evaluated, I think that should be paramount from the
5 practical point of view.

6 DR. MACKLIS: A comment to follow up on what
7 Rusty said about markers and prelabels. I don't think this
8 committee can decide, but I think there would be some
9 agreement in the field that there's some prelabeling
10 markers that are not dependable, that can be transferred to
11 endogenous cells by cell lysis, and one would want to use
12 one or multiple markers that are going to be dependable and
13 also ones that are going to show all the cells you put in
14 so you can look at these alternative fate decisions.

15 DR. KOLIATSOS: I would also vote that we do
16 these experiments with neutral markers and with fate-
17 specific markers both in normals at different ages and in a
18 variety of lesion models, try as many different scenarios
19 as possible. I could envision five or six, and I don't
20 want to lay them out. Because, again, let's remember that
21 in an acute stroke model, the behavior may be different
22 than in a familial ALS transgenic mouse than in a control
23 mouse than in a control rat. So, we may want to have
24 observations along a number of models in terms of fates and
25 differentiation.

1 DR. SIEGEL: For the most part, I haven't found
2 the issue of uniqueness too important. Every class has its
3 own issues. Every product has its own issues. And we're
4 here to discuss this class and this product whether they're
5 unique or not.

6 But one thing that has struck me as unique
7 about this that hasn't come up yet, which is that this is
8 the only class I can think of cellular products where we've
9 talked about long-term animal safety studies. We don't put
10 human blood products or pancreas in animals for a year and
11 see what happens.

12 DR. SALOMON: That was my point to Dr. Prockop.

13 DR. SIEGEL: Right, and that gets to a
14 particular question. The reason, obviously, that we can
15 talk about that is the immunological barriers presumably.
16 But that raises an important inferential question because
17 the cells exist. They live in animals. They sometimes
18 differentiate. They do things in animals, but we know that
19 all the neurokines and cytokines and different factors and
20 their receptors are not all identical across species. So,
21 then part of the question in animals then is are we likely
22 to learn more by putting human cells into animals or by
23 putting animal homologous cells prepared the same way into
24 a homologous species? Where are we likely to get our
25 important information on these questions?

1 Do we know, for example, if you do rat into rat
2 versus rat into mouse or dog into mouse with these
3 embryonic cells, that you see major cross-species
4 behavioral differences? Are our findings quite similar
5 when you use homologous species?

6 DR. SALOMON: Yes. That's actually exactly
7 what I wanted to finish with, so that was perfect.

8 What we've talked about here have been rodent
9 models, but obviously we're using human cells of various
10 sorts so that one issue here is putting human cells into
11 immunocompetent animals or human cells into
12 immunoincompetent animals like SCIDs or nudes, and then we
13 haven't talked yet about rodent models versus primate
14 models. And now Jay has put one last thing on the table
15 and that is going backwards, I think, to start to model rat
16 cells into rats and then come here and say, well, now I
17 want to put human cells into humans just after Phil got
18 done telling us that the tumorigenicity question just
19 starting from scratch is different.

20 Ed?

21 DR. SAUSVILLE: Although I think that
22 highlights that I think you can't make a one-size-fits-all
23 thing. I think you have to be driven ultimately by the
24 biology of the process that you're trying to model. The
25 cytokine experience has shown us that in some cases there's

1 a very good correspondence between cytokine to cytokine.

2 In other cases, there aren't. Really they are
3 two sort of different questions. Right? In one case, the
4 tumorigenicity is looking at sort of a gross, space-filling
5 complication that might be addressed best in an
6 immunosuppressed rodent receiving human cells.

7 As far as the efficacy issue and whether there
8 are long-term toxicities associated with these cells
9 operating in their normal milieu, I could imagine that
10 you'd want to see supporting data of the species to
11 species. But you have to look at each situation in and of
12 itself.

13 DR. REID: One technical issue. At least of
14 the data that I've heard from some of the investigators
15 here and elsewhere, I think the lowest efficiency I've
16 heard about in any kind of transplantation phenomenon has
17 been in the neural stem cell field. That is, when they're
18 injecting cells, at least from human cells, into the
19 brains, they're having to use, I think, extraordinary
20 amounts of starting material in order to get some type of
21 efficacy.

22 So, one of the things in the inoculation
23 procedure, which you have on your list to be concerned
24 with, is the implanted cell survival. So, I think you're
25 getting exceedingly low cell survival based on some of that

1 data, and at least some of the known conditions that might
2 improve that might, in fact, dramatically improve not only
3 your model systems, but even some of the effects that
4 you're worrying about in studies of transplants into one
5 species into another.

6 DR. SALOMON: The problem here, though, is that
7 cell transplantation per se is troubled by the number of
8 cells surviving. In islet transplantation, everybody was
9 jumping around with press releases yesterday about a
10 tremendous step forward in islet transplantation, but it's
11 two whole pancreata worth of islets to cure a patient,
12 realizing that we can probably take out two-thirds of a
13 pancreas from any one of us and have a normal glucose. And
14 I can give other examples. Cell survival in any cell
15 transplantation experiment is a big issue.

16 In the few minutes that we have left here,
17 where do you feel in your field are primate model
18 experiments? How far are you willing to go on rodent
19 experiments?

20 DR. KORDOWER: Are you talking in terms of
21 safety or in terms of efficacy?

22 DR. SALOMON: I think just in general. In
23 terms of efficacy perhaps is better put, but safety too.

24 DR. KORDOWER: I'm going to kind of limit my
25 remarks, because we don't have much time, to Parkinson's

1 disease. My opinion is that we need to do more nonhuman
2 primate studies.

3 Now, I think, first of all, the rodent studies
4 are too much dependent upon rotational behavior alone, so
5 people who do rodent Parkinsonian studies I want to
6 encourage to do more complex motor tasks because there are
7 lots of things that will reduce rotation.

8 Curt Freed said that fetal grafts in rodents
9 were totally predictive of the human studies, and that's
10 true. But there are a lot of other manipulations where
11 that's not true. You can reduce rotations in rats with
12 adrenal cell transplants, or the success that Marty Bohn
13 has had with the adenovirus GDNF in rats she has not been
14 able to replicate in nonhuman primates.

15 I think with regard to stem cells, there have
16 been reports from Ron McKay's group and Tim Collier and
17 Paul Carvey from our group have demonstrated you can put
18 dopaminergic progeny from stem cells into rodent models and
19 get reductions in rotation, but that's because the striatum
20 is so small. What happens, though, if you look at these
21 grafts, is they don't innervate. They don't send out
22 processes like our primary cells do, and if you had those
23 grafts in a monkey, I doubt that you'd see efficacy.

24 DR. SALOMON: How about safety? I got a dirty
25 look from the back table when I said efficacy, which just

1 reflects my bias. I apologize.

2 (Laughter.)

3 DR. KORDOWER: With regard to safety, I think
4 you have a problem in that you're going to be doing a
5 xenograft. Now, there is some evidence that dopaminergic
6 cells can be grafted into monkeys without cyclosporine. If
7 stem cells can similarly survive under those conditions, I
8 think you can learn a lot. But if you have to
9 immunosuppress just to get the cells to survive, then the
10 rules change, and I'm not sure that that's going to be
11 particularly beneficial.

12 DR. SALOMON: So then, let me just broaden it a
13 little bit. Whereas I tease Jay about the idea that I
14 thought it was going backwards to start doing rat to rat to
15 prove what you'd want to do in a human, I might put out on
16 the table for discussion the idea that I don't think you'd
17 be moving backwards if you had to do primate to primate as
18 a model for humans.

19 DR. SNYDER: Or even human to primate.

20 DR. SALOMON: No, no. The human to primate was
21 fine. I was sliding that by. That would be the best. But
22 I'm just saying that if you felt that there was an issue of
23 xeno that you could do primate to primate if you have
24 pretty good models. I'm suggesting.

25 DR. FREEMAN: A few things. As a clinician

1 that went from rat to humans, there are a few issues
2 wrapped up in this.

3 Clearly volumetric issues are better answered
4 in primates. So, therefore, degree of outgrowth, if
5 outgrowth is an issue, degree of migration, or Rusty's
6 point which I think was very important, if the dose is much
7 larger than what can be tested in a rat and if the
8 differentiation of the cell is dependent on the
9 environment, then really that needs to be looked at in the
10 species that is quite close. These volumetric issues
11 clearly need to be addressed in primates.

12 On the other hand, a lot of basic biological
13 issues like reproducibility, dosing, cell-cell interactions
14 in terms of pathways where migration occurs. Where does
15 neuritic outgrowth stop? Does it stop at appropriate
16 somatotopic targets? Those answers can be addressed very
17 safely and appropriately in a rodent.

18 On the other hand, one doesn't want to slow
19 research to the point where it's inappropriate. If we had
20 waited for the primate data for nigral transplants, we
21 still would not have started our programs after 13 years or
22 14 years of waiting for primate data.

23 DR. KORDOWER: I don't agree with that at all.

24 DR. FREEMAN: There are many issues that the
25 primate programs have not answered in the fetal arena. The

1 human data was literally 5 to 8 years ahead of any primate
2 experiment. Almost every primate experiment followed a
3 clinical experiment, and they were happy to be able to
4 reproduce what was done clinically.

5 DR. SALOMON: We are near done here, but I
6 don't want to leave it on that. To what extent is that an
7 historical truth based on the way people moved forward in
8 those days, which was let's just go do it, as opposed to
9 some fundamental barrier raised for primate research?

10 DR. KORDOWER: Well, what's the down side to
11 doing primate research? I wouldn't say that it was
12 difficult. It's not difficult. What it is is uncommon, so
13 people can't set up their own infrastructure. But if
14 someone has a real good cell that makes dopamine and they
15 get it to function in rats, I guarantee you we'll be lined
16 up to try and get the cells to try them in our primate
17 model.

18 They're expensive, but they're not as expensive
19 as a failed clinical trial.

20 DR. GAGE: Can I say one more thing about the
21 rat-to-rat stuff? Because I agree with you about the
22 importance of primates.

23 DR. SALOMON: Are you done with your comment?

24 DR. KORDOWER: I think where people are
25 hesitating going to primate trials, it kind of slowed them

1 down in getting to be first or close to being first into
2 the clinic. I think we have to realize with Parkinson's
3 patients, these patients have a lot of options. They can
4 get DBS, they can get pallidotomies, they can get
5 transplants. We don't need to rush with a technology that
6 has an excellent clinical model for use. It's an excellent
7 model and it should be used in that regard.

8 DR. SALOMON: Two more comments. Rusty and
9 then Tom wanted to follow up.

10 DR. GAGE: I wanted to disagree or bring in the
11 idea of this intraspecies evaluation as being an adequate
12 approach towards clinical applications. So, a rat-rat or
13 monkey-monkey. I think that I personally would feel much
14 safer if you used the cell that you're going to use in
15 patients. That strikes me that that has to be the -- and
16 how you grow it, how you ever plan to use that cell in the
17 patient is exactly how you ought to test it in your animal
18 model. Now, you may use other cells to get to the point
19 where you're testing yourself, but ultimately it strikes me
20 that you really have to look at that particular cell in the
21 best model that you can. And if you don't have a model
22 that can test your cell, you should find one to test your
23 model in and not make assumptions based on how rat cells
24 function or how monkey cells function in some other model.

25 DR. SALOMON: I agree with that, but you have

1 | to accept the fact then that taking human cells into any
2 | model but a human adds another layer of confusion. I know
3 | you're well aware of that. In mouse models, for example,
4 | there are endogenous and exogenous retroviruses that can
5 | enhance the whole tumorigenicity thing. That's one point
6 | that hasn't been made yet.

7 | DR. KOLIATSOS: It's not only immunological.
8 | If you stick human cells into rats, it's not only the
9 | immunological compatibility, it's the cell cycle, the
10 | differentiation, the time encoded factors, which are
11 | totally different.

12 | DR. SALOMON: Again, I don't think that we are
13 | going to answer anything, but I think that your point is
14 | well taken, that if you're going to do a clinical trial, as
15 | much as possible you want to do your studies with the final
16 | product. So, we totally agree.

17 | Of course, there are methodologic questions, so
18 | I'm suggesting that in some cases if you have this whole
19 | methodology, I'm going to do this, this, this, and this,
20 | and after that set of steps, put it into a human patient, I
21 | could say to you, you should probably be able to go to a
22 | nonhuman primate and do this exact set of steps and get it
23 | to work. And if you can't, it's possible I could still get
24 | my head around that, but it would worry me at least. If
25 | you had a process that was biologically relevant and well-

1 | described, it would bother me that you could tell me, oh,
2 | it works great in humans, let me do it, but I can't
3 | reproduce it in a primate. But that would have to be
4 | discussed.

5 | Tom?

6 | DR. FREEMAN: I don't want to give the
7 | impression that I'm saying primate research should not be
8 | done. It absolutely does have to be done, particularly
9 | right before you have your final formulation, your final
10 | protocol, and go into patients. Clearly it's relevant.

11 | The issue is that many of the scientific
12 | questions can be adequately answered in rodents and to
13 | require that every question be addressed in a primate would
14 | be an unnecessary burden that would slow the progress of
15 | research.

16 | DR. SALOMON: I don't think any of us who have
17 | rodent models and primate models, including myself, would
18 | bother that one. That's a good point.

19 | I don't think we answered every question, but
20 | I'd like to think that we answered quite a few questions.
21 | At this point before absolutely closing, I'd like to ask
22 | the FDA if there are any comments, last minute, we just
23 | can't leave without answering this question kind of thing.

24 | MS. SERABIAN: The only other question I have
25 | -- and again, it opens another a whole can of worms -- is

1 behavioral data. Again, we are talking functional,
2 meaningful, measurable observations. Well, you can get
3 cell migration, you can have an aberrant phenotype
4 expression, but behaviorally what do you see? Do you see
5 changes? Again, like I said, it's whole other can of worms
6 because there are numerous different behavioral testing and
7 controls, and some of that was mentioned yesterday.

8 DR. SALOMON: Particularly the dirty looks I
9 got when I went "efficacy" and drifted back to safety, so
10 now you want efficacy.

11 MS. SERABIAN: Not efficacy. For safety. If
12 there's aberrant behavior --

13 DR. SALOMON: Oh, you're talking about
14 behavioral. I'm sorry. Excuse me.

15 MS. SERABIAN: -- some motor dysfunction or
16 something.

17 DR. SALOMON: Well, I think that point is well
18 taken, and I think also, as Ed just whispered, some of that
19 is going to also be more relevant when we get down to
20 specific diseases. Someone wants to do a trial in this and
21 that.

22 MS. SERABIAN: Right, and again the question
23 from that is talking with sponsors, they're extensive,
24 expensive, time consuming tests. So, you don't want to
25 just say FDA says you have to do this and there's really

1 | not an appropriate reason for doing it or it's not the
2 | appropriate test.

3 | DR. SAUSVILLE: Well, that I would underscore.
4 | Just watching how well they withdraw a paw or do something
5 | doesn't have a clear tie to the pathophysiology that you're
6 | trying to fix, I think I would agree. I don't see any
7 | particular reason to do that and would discourage sponsors
8 | from doing that.

9 | DR. SALOMON: I think one of the things that
10 | came out in today's discussion that I think has a lot of
11 | merit is that there are, as we admitted early on --
12 | Mehandra got a lot of grief about his Harrison list, but
13 | then Rusty saved him by having a worst list.

14 | (Laughter.)

15 | DR. SALOMON: I think that there are a lot of
16 | interest groups here that are coming together that are
17 | interested in this area, and I think that working groups
18 | probably should go out from here and begin to address some
19 | of these issues and deal with the FDA early. I think
20 | that's one of the points Phil and Jay were making. This
21 | idea that all of a sudden, after everything is all done and
22 | everything is settled and it's perfectly done and there's
23 | nothing else left to do and we all get to go home, now
24 | we're going to come to the FDA and we're going to have a
25 | clinical trial, and then the sponsor gets frustrated. I

1 | don't think that's the model that we're trying to
2 | communicate.

3 | I think what we're trying to say is that the
4 | sponsor shouldn't be frustrated, Mercedes. The sponsor
5 | should be talking to you very early in the developmental
6 | process. I just don't have a whole lot of sympathy anymore
7 | for sponsors on that score.

8 | Anyway, again I'd turn to Jay and Phil, Malcom.
9 | Are there any last comments you want to make? And then
10 | I'll close.

11 | DR. SIEGEL: Just not more questions, but as a
12 | comment, I for one have learned a tremendous amount over
13 | the last couple of days. I've learned, based on Malcom's
14 | model, that there's a great deal that I don't know, but I
15 | feel a lot better knowing I don't know it than not knowing
16 | what I didn't know.

17 | (Laughter.)

18 | DR. SIEGEL: Those of you who have worked with
19 | us, hopefully when we're at our best, we appreciate how
20 | much we don't know and what is and isn't possible and try
21 | to work and try to work and try to expect you to work
22 | within the realm of the possible. This field is moving
23 | quickly, and I'm sure that we'll have many future
24 | discussions. But I think this has been a great foundation
25 | for moving ahead.

1 I would like to second your remark, Dan, and
2 other remarks we've heard about the scientific community
3 getting together and developing consensus when that's
4 helpful and when we've reached those stages, whether it's
5 about use of reagents, use of animal models, whatever. The
6 agency is very interested in those areas and will find it
7 very helpful.

8 I just ask everyone to remember that even
9 though we're dealing with a science that isn't -- as many
10 have pointed out, we don't have all the answers yet. We
11 don't know all the things we need to know. We don't know
12 the best ways to test. We're also dealing with an area
13 which is, as suggested by some, rapidly approaching a time
14 for human experimentation. So, we do need to make the best
15 decisions based on what we know now of the right ways to
16 proceed. In that regard, I think this has been a very
17 useful meeting for us.

18 DR. SALOMON: Then I'd like to close the
19 meeting.

20 I'd like to thank Gail Dapolito and Rosanna
21 Harvey, Bill Freas, and the rest of the FDA staff for all
22 the kindness and organization. I don't know how I ever get
23 here and back without these guys, but I do. I appreciate
24 it. Thank you.

25 (Applause.)

1 DR. SALOMON: Thanks to all the speakers and to
2 the audience that actively participated and see you at the
3 next meeting.

4 (Whereupon, at 3:10 p.m., the committee was
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25