

0200

1 by two years later, on in vivo evaluation.

2 And the science has progressed, and we have a look at
3 the literature; we have various workshops to continue to
4 look at emerging issues, and we also have meetings with this
5 Committee. For example, in 2003, we have discussed
6 standardization procedures, including classification of
7 CYP3A inhibitors, and, at that time, we also discussed the
8 need to evaluate a new molecular entity of inhibition of
9 potential on P-gp.

10 That same year, we also discussed additional CYPs that
11 may be needed to be evaluated in addition to the major CYPs
12 that at that time we have recommended to evaluate, including
13 CYPs 1A2, 2C9, 2C19, 2D6, and 3A.

14 And in October 2004, we published a concept paper
15 incorporating all the discussions and the literature up to
16 that point, and, again, we discussed at this Committee in
17 that the relevant principal drug interactions we touched
18 upon P-gp and transporter-based interactions.

19 But based on the recommendation of the Committee, and
20 also we have received about a dozen comments from the public
21 because our comment paper was posted on the net, so based on
22 those comments, we have published this draft guidance last

0201

1 month, and this will be for public comment for about two
2 months, and we do plan to finalize it early next year.

3 So the key message is that this new draft guidance, as
4 we discussed, for the first time that we think transport and
5 transporter-based interaction is key in addition to
6 metabolism and metabolism-based interactions to risk-benefit
7 assessment.

8 We discussed earlier in '99 guidance that we should
9 use an integrated approach, starting with in vitro, followed
10 by in vivo for CYP-based interactions, and we're now trying
11 to use this approach for transporter-based interactions as
12 well.

13 We have a lot more detailed discussion on study design
14 data analysis, because they're key to proper labeling, and
15 this is one of the reasons that our guidance has grown from
16 20 pages to about 51 pages is because we give detailed
17 recommendations.

18 Since our guidance, and we discussed the exposure
19 changes due to interaction with multi pharmacokinetic based,
20 so we need to emphasize again the clinical significance of
21 these PK changes, but they 50 percent increase in AUV, a
22 hundred percent increase, what are their clinical

0202

1 significance will need to be based on exposure-response
2 relationship.

3 So for two different drugs, the same extent of
4 interaction may be different.

5 But we also have a classification of CYP inhibitors of
6 the major CYPs, and pharma's white paper in 2003 proposed to
7 classify CYP3A, and we have expanded to classify all CYP --
8 all major CYP enzymes that was recommended to the evaluate
9 the gene, and in addition, we also extended the
10 classification of the substrate. So we have designated

11 sensitive substrates or substrates and their therapeutic
12 range for each of the CYPs.

13 Again, we mentioned that labeling language needs to be
14 useful and consistent and needs to be conforming with the
15 new labeling rule that was published January of this year,
16 and which went into effect in June. So we discuss when a
17 drug interaction will need to be put on the highlights
18 section, which is the new section for our professional
19 labeling.

20 So what's new in this guidance? We discussed detailed
21 study designs, including specific inhibitors, substrates,
22 inducers for each CYP, and we have tables in this draft

0203

1 guidance. And these tables are also listed on the Web site,
2 which is online in May. And we will be updating regularly,
3 and we already updated once after this was online.

4 For transporters, we only did this for PTP phase and
5 what we have recommended substrates, inhibitors, and
6 inducers for both in vitro and in vivo evaluation.

7 However, for other transporters, such as organic
8 anionic transporter peptides in breast cancer with the
9 protein, associated protein, organic -- transporters, we
10 only have very general recommendations on substrate
11 inhibitors, inducers, and we did them separate like the
12 others and specific recommendations for in vitro versus in
13 vivo.

14 Based on this Committee's recommendation that we
15 should have a model or decision tree to see when we need to
16 conduct an in vivo study, based on in vitro data, so we have
17 detailed appendices to each delineating when we need to do
18 an in vivo study of the substrate of a CYP enzyme or an
19 inhibitor or an inducer.

20 And we also proposed criteria, too, for further in
21 vivo study; for example, phospho concentration with the
22 inhibition constant, and we set up a threshold; we proposed

0204

1 a threshold for public comment. So if the threshold is more
2 than .1, then you can do an in vivo study.

3 Similarly, for inducer we said if the intrigue and
4 in-lab activities is more than 40 percent of your positive
5 results, then you can do a study.

6 So we try to do it similar decision treatment. This
7 is again also based on the Committee's recommendation for
8 evaluation of Pg-P based interactions.

9 So we have two decision trees, one each to determine
10 if an in vivo study is necessary, if the in vitro data show
11 that it was substrate.

12 But how do we determine that it is a substrate? So
13 we're coming up with some recommendations, and this will be
14 discussed in more detail for substrate or an inhibitor.

15 We only mentioned very briefly on inducer for Pg-P. I
16 mentioned earlier we have proposed classifications for CYP
17 inhibitors and substrates. This will be helpful for study
18 design and cross labeling at level and inducing, which
19 you'll see in later slides. However, we have not had a
20 similar classification system for either Pg-P or other
21 transporters.

22 There are other new issues that discuss this draft
0205

1 guidance. We have discussed the importance of protocol
2 restrictions, such as the subject intake of juice or dietary
3 supplements when evaluating clinical interaction. This will
4 be applicable to both a CYP enzyme and transporter
5 interaction.

6 At the last Committee meeting, we have discussed
7 whether there may be a need to do a multiple inhibitor
8 study, so you can block the major metabolic pathways. And
9 in this guidance, we have to delineate more on what -- under
10 what conditions a multi-inhibitor study to block metabolic
11 pathways may be needed.

12 It's -- some of example that we'll illustrate here and
13 by the other speakers. We also may need to consider
14 blocking off metabolic pathways and transporter pathways.

15 We had a lot of questions from sponsors or reviewers
16 on when would it be appropriate to use a cocktail approach.
17 So you can have a mixture of substrates that you take -- the
18 subject will take with the new drug to evaluate the new
19 drug's induction or inhibition potential.

20 So we have discussed when would this study be
21 appropriate, and also the results for labeling.

22 And we have recently more requests on whether a
0206

1 cocktail study, including transporter substrates, along with
2 CYP substrates, is appropriate, and we'll hear -- discuss
3 more within the separate settings, not today.

4 So why do we want to study transporters? Why
5 transporter-based interaction is important for risk-benefit
6 assessment?

7 Later on, you will hear more clinical examples or
8 expert opinion from other speakers, but we know from this
9 rapidly growing literature -- we know transporters being
10 involved in distribution transport of drugs, and they're
11 important for intake, efflux uptake cells, which use energy
12 and here's the pusher that represents your energy. But we
13 also need to consider the concurrent event that you may have
14 metabolic pathways and transporter pathways; either they are
15 concurrent in the same direction or they're in opposite
16 direction, and what is the net effect.

17 As you can see from this diagram, there are many
18 transporters that we identified as present in major organs
19 and tissues, such as the small intestine, liver, kidneys,
20 brain. We can see a Pc-P is in all major organs.

21 So what is the role of Pc-P transporters-based
22 interaction? And I think based on what we see in the
0207

1 publication now, it's only the tip of the iceberg. We have
2 a lot more to learn.

3 If you look at this -- a recent survey. This we look
4 at the bio system, we're in track for citation of these --
5 either the proteins or the genes that you can code in these
6 transporters cited in papers or patents. You can see that
7 the MDR1, the publication -- the citation that you see has
8 almost doubled in the last 10 years. The other
9 transporters, BCRP, OCT, MRP2, or OAT, OATP1B1, those

10 citations are smaller, but they're growing much more rapidly
11 than MDR1.

12 So based on the growing data, publications,
13 discussions on P-gp or other transporter-based interactions,
14 how do we evaluate are the important. As Dr. Lesko
15 mentioned earlier this morning, do we just wait for it to
16 happen, some interactions that we could not explain as
17 CYP-based, and would we say perhaps are transporter-based.
18 Do we wait for them to happen or do we predict and try to
19 anticipate a possible interaction.

20 So we have proposed decision trees to evaluate
21 transporter-based interactions. And since we know the most,
22 the most data evaluation, so we proposed -- the decision

0208

1 tree is based on P-gp, and perhaps this can be a model for
2 evaluation of all the transporters.

3 So I will show you two decision trees, and the first
4 one is to determine when we need to evaluate in vivo, if the
5 in vitro indicated that it was an inhibitor of P-gp.

6 So our recommendation is to use bi-directional
7 transporter in vitro assays and look at the net flux of a
8 probe substrate when it's given with this investigational
9 drug. So if the concentration of drug that we're evaluating
10 increased, but the net flux of probe substrate does not
11 change, we think it's probably important for non-inhibitors.
12

13 However, if the net flux decreased with increased
14 concentration of this drug, then we think it's possible of
15 an inhibitor, but we'd like to determine the IC50 or KI.

16 Our initial decision tree was based on absolute IC
17 values to see the next step, and we have feedback, because
18 we posted on the Net that we should compare this IC50 or KI
19 to plasma concentration for these extended exposures.

20 So here we put out something for comment, and this is
21 following the proposal that was used for a CYP inhibitor.
22 So if the concentration compared to the IC50 is less than

0209

1 .1, then we don't think that it is an inhibitor, and an in
2 vivo study would not be needed.

3 However, if it's more than .1, then we think an in
4 vivo interaction with the P-gp substrate, such as Digoxin,
5 is recommended.

6 And I mentioned earlier, after we put out this draft
7 guidance, we already had received early comments from
8 individual sponsors who thought that .1 perhaps was too
9 arbitrary. Is it better than our initial recommendations
10 that just look at IC50 or KI up to 10 micromoles, and the
11 way we get input.

12 This is very similar to a CYP enzyme to us. We have
13 discussed many times I over IC50, whether it should be one
14 or two. That was our original recommendation, or should it
15 be .1. And in addition, there is some comments whether we
16 needed to do bi-directional transporter perhaps on efflux
17 change.

18 In our guidance, we said if the in vitro data are
19 showing that the entity is an inhibitor of P-gp, then we
20 recommend an in vivo study with Digoxin and may be an

21 appropriate substrate. And this has been discussed with the
22 Committee three years ago, and we have Committee endorsement
0210

1 to use Digoxin, part of the reason because the Digoxin
2 plasma concentration has a very nice spectrum of change that
3 increases with an inhibitor of P-gp, and decreases with
4 inducers of P-gp.

5 And in addition, the result of this interaction study
6 we have is relevant from both meaning.

7 So what I just discussed, the evaluation of a new drug
8 and an inhibitor. So here's the decision tree to determine
9 whether an investigative drug is a substrate and whether an
10 in vivo study will be needed based on the results.

11 So again, we recommend to use a bi-directional
12 transport, and we look at net flux ratio of this drug
13 itself. If it's less than two, we think it's a poor or
14 non-substrate. If it's more than two, then we look at it
15 again, because there's a possibility of other transporters
16 that's affecting this transporter.

17 So we say if efflux is significantly inhibited by one
18 or more P-gp inhibitors -- it's not, then we think the other
19 efflux transporters -- it's not P-gp that are responsible.
20 And further in vivo study to determine which efflux
21 transporters are involved may be warranted.

22 And this is one of the questions I would ask the
0211

1 Committee: what other transporters that we need to look at
2 to realize that there's a difference in transport is not
3 P-gp constrained.

4 So the -- if the answer is the efflux is significantly
5 inhibited by a P-gp inhibitor so we say it's likely a P-gp
6 substrate, then we think an interaction study with a P-gp
7 inhibitor may be warranted.

8 And again, we have receive early comments that why we
9 use the ratio of two. We already have feedback. Why don't
10 you use 1.5 because that's what one laboratory is using.
11 And we got another feedback that said why don't you use
12 three, because we use that.

13 So again, this is a number -- something we need to
14 discuss as perhaps there is some suggestion that maybe we
15 should use the criteria that we use for CYP induction; we
16 say you have a positive control, and use the percentage of
17 that number as a threshold to determine whether you need to
18 follow up for study, although the group that suggested a
19 percent value did not actually tell us what percentage it
20 should be -- 40 percent, 50 percent, 60 percent.

21 And in addition, there was also a discussion on there
22 is an exception. There may be a drug that follows all this
0212

1 -- it's a substrate, but it's highly metabolized and highly
2 Verapamil, so P-gp would be transported. It's not a rate
3 limiting factor, so we don't need to do the study. We're
4 noting that exception in our decision tree.

5 So now comes the most difficult part: if we decided
6 that a new drug is a substrate, what should we do next? In
7 our current draft guidance, we have put in -- we said if
8 it's a substrate perhaps was evaluation with a P-gp

9 inhibitor, ritonavir, cyclosporine, verapamil, may be
10 appropriate.

11 And we know that cyclosporine affects multiple
12 transporters, not just P-gp. It could be OATP1B1, and
13 here's just -- I just listed some of the substrates that are
14 not 3A but not P-gp substrates, but they're OATP1B1
15 substrates. But cyclosporine has a large increase when it's
16 given together.

17 So cyclosporine, although it's not specific, but it's
18 a general inhibitor of many transporters and in recent
19 submissions we have seen cyclosporine being used in the
20 evaluation of the threshold potential that some of the
21 statins. Some other studies were conducted in patients, and
22 we've recently seen studies conducted in subjects, and the

0213

1 results are included in the labeling.

2 So this I'm sure will -- the previous question we'll
3 have more discussion later.

4 So what if a new molecular entity is a substrate for
5 both P-gp and CYP3A, and our recommendation and guidance is
6 perhaps we should use a strong inhibitor for both, such as
7 ritonavir. Ritonavir is like cyclosporine. It inhibits
8 multiple metabolic pathways, multiple transporters. Here
9 just to give an example that was for substrate 3A, you can
10 see the strong 3A inhibitors -- Indinavir, ketoconazole --
11 and the tremendous increases -- even Erythromycin -- is
12 four- to six-fold increases. However, ritonavir shows a
13 49-fold increase with possibly an additional increase for
14 other transporters.

15 So noted that our recommendations these are not
16 specific inhibitors for P-gp; however, it inhibits multiple
17 transporters so a negative result perhaps to tell us not to
18 worry about the unexpected interaction of the substrates.

19 So how do we label transporter-based interactions?
20 Before I talk about -- give you some examples, I want to
21 mention the proposal that we have is guidance on CYP-based
22 interactions.

0214

1 We have proposed that we classify a substrate and
2 inhibitors, and this will have implications on how we label.

3 And I'll give you an example with Eletriptan, which is
4 listed in our table as a sensitive substrate because
5 Ketoconazole increases the AUC more. Anything more than
6 five-fold is classified as a sensitive substrate.

7 So in the labeling we say it should not be used within
8 -- at least 72 hours because it's a strong inhibitors. And
9 here only Ketoconazole studies were conducted, and the
10 others were not conducted but were not studied but because
11 we classified Eletriptan as a sensitive substrate, so we can
12 label with the other strong inhibitors.

13 What about how we -- the implication of classifying
14 inhibitors and how that impacts the labeling?

15 So another example is Telithromycin. It increased the
16 AUC more than six-fold, so anything more than five-fold is
17 classified as a strong 3A inhibitor.

18 So in the labeling we said it's a strong inhibitor and
19 the use of Atrovastatin or these sensitive substrates or

20 substrate within the therapeutic range should not be used
21 together. And notice that the ones I circled they are not
22 studied, but because we have classified Telithromycin as a
0215

1 strong inhibitor, so we can classify -- so we can label with
2 the drugs that we have not studied.

3 So do we have sufficient data and understanding in
4 order to class label drugs that are inhibitors for
5 substrates of transporters? And I'll use some recent
6 examples to -- whether we have enough information.

7 Here's one drug where the in vitro has shown that it's
8 not a substrate or an inhibitor for P-gp in normal dose, so
9 we put that in the labeling. However, we did not
10 extrapolate to other substrates. And here we have also this
11 under clinical pharmacology. There's no clinically
12 significant drug interactions were observed when this drug
13 was given with Digoxin. If you -- based on the in vitro
14 action, this study is not necessary. However, as we have
15 seen in many of our recent submissions, even the in vitro
16 study is showing no potential for inhibition, we still see
17 those studies conducted, partly because they been the focus,
18 and Digoxin is the important drug; although we wouldn't have
19 recommended a study.

20 But you can see that we have put in transporter
21 information here, but we have not discussed other
22 substrates.

0216

1 Pramipexole here is organic-based, and here we have
2 under the precaution labeling, we've talked about
3 Cimetidine. It's a known inhibitor of renal transport
4 tubular secretions. The drug is mostly screened at the
5 change in the GFR and the renal clearance function -- of the
6 GFR. And here it says, when it's given with organic bases,
7 if you're cationic transport system it constantly increases
8 in the AUC.

9 So this is the other drug's effect on Pramipexole.
10 Again, Probenecid also other drug's effect on Pramipexole
11 through a different transporter. So here notice that we
12 talk about transporters, but we did not have a specific
13 transporter identified. And in the same labeling we
14 actually extrapolate to other drugs, but that's because in
15 the population, the PK analysis, the other drug that we
16 classified was that being clear was the cationic transport
17 system or anionic transporter and it was stated in the
18 labeling.

19 So, in other words, there was no extrapolation except
20 the patients actually were taking these drugs in the
21 clinical trials.

22 So the next one is the most recently approved drug.

0217

1 This was approved for smoking cessation and it was approved
2 in May of this year. There was a lot of information under
3 clinical pharmacology, and many of them showing the in vitro
4 data shows that it does not inhibit other drugs, but it was
5 followed with a clinical study anyway.

6 And in vitro studies show that it is a substrate and
7 that it was followed in the clinical study.

8 What I want to show here is it actually identified
9 what specific transporter instead of the other labeling that
10 it only says cationic transport system, but here it actually
11 identified OCT2. So we talk about this drug's effect on
12 OCT2 substrate, such as Metformin, or other drugs, such as
13 the inhibitors on this drug, such as Cimetidine. And in the
14 implications there are many other transporters being
15 evaluated. Other OATs -- they're all in the review, which
16 is on the Internet.

17 But we are seeing more and more studies on
18 transporters included in these submissions, and our question
19 is whether we need to have more general discussion so that
20 we have a standardized approach to help us in the labeling.

21 Earlier I had mentioned that multiple inhibitor
22 interactions may be relevant in recommending us to study.

0218

1 But here, I just want to cite a literature study, and this I
2 think will be also illustrated by other speakers, where
3 Rapaglinide increased by Gemfibrizol and Itraconazole to a
4 differing standard. Rapaglinide is a substrate for 2CA and
5 3A. Gemfibrizol is a 2CA inhibitor and 3A inhibitor,
6 although the other 2CA inhibitor, Trimethazine [ph.], is
7 only to about 1.8. So there's a possibility of an
8 additional effect and additional studies showing that it
9 metabolizes gluconyride [ph.] and also inhibits 2CA.

10

11 What's not understandable is the synergistic effects.
12 This is April, and this is 1.4 to 1.6. However, you're
13 seeing an 18- to 19-fold increase when the three drugs are
14 given together.

15 So our current labeling did warn about the use in
16 patients, and here specifically we said Gemfibrizol and
17 Intraconazole had a synergistic metabolic inhibitor effect;
18 and, therefore, if you are already on Rapaglinide or
19 Gemfibrozol, you should not take Intraconazole, and as well
20 -- also we discussed later Gemfibrizol as a metabolite of
21 transporters of OATP1B1. So even our labeling has not been
22 updated on specific information, but yet the recommendation

0219

1 will be very similar.

2 So in summary, on the transporter part of our draft
3 guidance, we felt that P-gp is the most well developed
4 system that we could evaluate in vitro and in vivo, and
5 we've seen that information to be increasingly included in
6 the labeling, and many studies with Digoxin have been
7 conducted as an inhibitor and also there's studies where
8 it's listed as a substrate.

9 At the last advisory committee meeting with you, we
10 have recommended that we need to have agreed upon criteria
11 to evaluate in vitro and have proposed a system, and I'll
12 describe it again later. We see Digoxin as a clinically
13 relevant substrate, but right now we only have general,
14 non-specific transport inhibitors recommended for
15 evaluation. There are other issues that we considered,
16 including whether the change in systemic exposure, if those
17 transporters are relevant to the change of the tissue, which
18 is brain, and I think other speakers may address that

19 question.

20 As far as other transporter-based interactions which
21 are not P-gp based, we see the in vitro methodologies being
22 developed, and I think we'll hear more from some of the

0220

1 other presentations today. And I have shown you the
2 information has been included in the labeling, for example,
3 the LCT and OAT information is already included, and we have
4 additional information that for -- extrapolated to similar
5 inhibitors or substrate for those transporters. However, we
6 think we -- more standardized procedures we have proposed a
7 system to evaluate P-gp based interaction, and we'd like to
8 ask the subcommittee whether we use a similar system for
9 other transporters. The short-term recommendation may be a
10 drug or therapeutic class specific or some other drug we
11 know the class of drugs that -- for example, statins. You
12 know, maybe of them are OAT and 1B1 substrates. We may warn
13 about interactions with OATP1A1 inhibitors, and I haven't
14 mentioned about BCRP [ph.] but I know we will discuss it
15 later. Many of the drugs that we know through substrates or
16 BCRP, and I know the sponsor had already been studied and
17 whether we will also recommend it because of similar drugs
18 in the same class.

19 So the question for the Committee number one is, are
20 the criteria that for determining whether an investigational
21 drug is an inhibitor for P-gp and whether an in vivo
22 interaction study is needed as described in the following

0221

1 figure are appropriate. This is the figure we discussed
2 earlier.

3 Our second question is are the criteria for
4 determining whether an investigational drug is a substrate
5 for P-gp and whether an in vivo drug interaction study is
6 needed. Again, in this decision tree whether this is
7 appropriate.

8 And third, this is regarding the recommendation of
9 substrate inhibitor to use in vivo or whether our
10 recommendation is appropriate. It's based on whether it's a
11 P-gp substrate or it's a substrate for both P-gp and CYP3A.

12

13 And finally, we know many studies on other
14 transporters are ongoing, so does the current knowledge base
15 support recommendation of drug interaction studies for other
16 transporters such as I only listed a few. I'm sure there
17 will be additional transporters that may be applicable.

18 And I'd like to mention this is the initial
19 application where we have the first generation of decision
20 trees on in vitro evaluation and based on the feedback, we
21 have a revised decision tree, and this is the one that was
22 published last month, and this is an important Web site

0222

1 where we are able to update our recommendation of substrate
2 inhibitor, inducers, and also decision trees on a more
3 regular basis.

4 Finally, I'd like to acknowledge the drug interaction
5 working group. It's a very large group, and we have subs to
6 work on different issues. For example, right now, we have a

7 group to look at multiple drug interactions, and these are
8 underlined are those who worked on the final draft on the
9 P-gp based interactions.

10 CHAIRMAN VENITZ: Thank you, Shiew-Mei. Before I open
11 the floor for questions, I was reminded to make sure that
12 everybody introduce themselves. Just introduce yourselves
13 for the record.

14 MS. ZHANG: I'm Lei Zhang. I'm on the FDA.
15 MR. STRONG: John Strong from the FDA.

16 CHAIRMAN VENITZ: Okay. Thank you. Now, I open the
17 floor up for questions. Any clarification questions for
18 Shiew-Mei.

19 Shiew-Mei, I have a question on your slide number 15.
20 This is where you talk about the renal interaction, and you
21 mentioned it was only an extrapolation, but were other drugs
22 studied in the population?

0223

1 DR. HUANG: Which one?
2 CHAIRMAN VENITZ: Slide number -- I'm sorry it's on
3 page number 15, slide 30.
4 DR. HUANG: Okay. Thirty.
5 CHAIRMAN VENITZ: And I wanted to make sure that I
6 understood what you were referring to. Could you explain?
7 DR. HUANG: I'm sorry. You said the extrapolation?
8 CHAIRMAN VENITZ: Yeah, tell me about the
9 extrapolation; what you did there?
10 DR. HUANG: Okay. There are additional information in
11 the labeling where it says a population pharmacokinetic
12 analysis indicated other cationic transport inhibitors, such
13 as -- and there's a list of drugs that may cause about 20
14 percent increase. That's based on population analysis and
15 can include a drug based on their cationic.

16 CHAIRMAN VENITZ: So that was in addition to the base
17 that you had?
18 DR. HUANG: Right. But those are extrapolated because
19 they're a population PK analysis.
20 CHAIRMAN VENITZ: Which you wouldn't have done if you
21 had known this prospective information?
22 DR. HUANG: I mean we would --

0224

1 CHAIRMAN VENITZ: Could you have generalized the
2 information on PK?
3 DR. HUANG: I think we need to consider that now that
4 we know there are different isoforms, and that's why we're
5 showing here other examples. The most recent example where
6 we actually identified specific transporter, OCT2. So there
7 are various transporters, and I think later on will be
8 discussed more in detail.
9 Right now, we only said all the transporter systems,
10 but just like a CYP enzyme not all CYPs acted alike. Not
11 all organic cationic transporter systems or inhibitors will
12 work alike. And I think once we have the specific
13 information, I think we should include it as we did.
14 CHAIRMAN VENITZ: Then my second question is on slide
15 34. This is when you are talking about the Rapaglinide
16 inhibitor interactions.
17 DR. HUANG: Which one -- please go to the slide.

18 CHAIRMAN VENITZ: Slide number 34, and I'm wondering
19 how did you use this information to search the inhibitors?
20 DR. HUANG: Well, they're affecting different pathways
21 -- one is on 2CA and one is 3A.

22 CHAIRMAN VENITZ: Okay. So you have a 43?

0225

1 DR. HUANG: A 1.4 and 1.6.

2 CHAIRMAN VENITZ: Okay. So what would you have
3 expected if there was no such thing?

4 DR. HUANG: I think it will be very difficult because
5 they're a different pathway, and that's why we have a
6 separate working group working on multiple inhibitors. When
7 you're working on different pathways, you don't just
8 multiply them together, and that's -- we're actually
9 discussing at the Science Day on how do we project what will
10 be the increase in concentration.

11 CHAIRMAN VENITZ: Who are independent processes, and
12 we have no synergy, what?

13 DR. HUANG: It would be --

14 CHAIRMAN VENITZ: Okay. So whatever the product --

15 DR. HUANG: Yeah. It's more than --

16 CHAIRMAN VENITZ: --of the two numbers is that would be
17 what you would expect if both of them, in fact, are
18 independent processes, which is similar to what --

19 DR. HUANG: Okay. But when we multiply them together,
20 they're about 10-fold.

21 CHAIRMAN VENITZ: Okay. By that --

22 DR. HUANG: So you think 10 and 20 are about similar?

0226

1 CHAIRMAN VENITZ: Well, I mean you said one and a
2 half, I mean we can quibble with the numbers, but the range
3 --

4 DR. HUANG: Okay.

5 CHAIRMAN VENITZ: -- but my point is this to me is not
6 necessarily synergistic, because I would have expected the
7 product of the two which is just what happens when you get
8 the effect, and maybe they were special here. I can get you
9 it.

10 DR. HUANG: Okay.

11 CHAIRMAN VENITZ: Any other questions. Again, I thank
12 you again, Shiew-Mei.

13 BOEHRINGER INGELHEIM EXPERIENCE/OPINION:

14 TRANSPORTER-BASED DRUG INTERACTIONS

15 CHAIRMAN VENITZ: Our next speaker is Dr. Taub, and he
16 is with the Boehringer Ingelheim Pharmaceuticals in the Drug
17 Metabolism AK.

18 DR. TAUB: Thank you for inviting me to give this talk
19 today. I will talk about Boehringer Ingelheim's experience
20 with transporter-based drug interactions, and at the end,
21 I'll list some of my own opinions that address that shall be
22 proposed during the talk.

0227

1 So the outline is fairly simple. I'm going to talk a
2 little about some background information concerning drug
3 transporters, drug transporter interactions, the
4 similarities between P-glycoprotein and Cytochrome P3A4,
5 some in vitro techniques that we use at BI and some data

6 using those techniques, and I'm going to intersperse the
7 talk with some examples of clinical transporter-based drug
8 interactions.

9 So this is a fairly comprehensive slide. I think
10 you've seen the similar one in Shiew-Mei's presentation.
11 Transporters are expressed in a variety of different areas.
12 As Shiew-Mei pointed out P-gp is expressed in all sites on
13 this slide, the intestine, brain, liver, kidney.
14 Transporters can work to efflux certain compounds, as you
15 see, for example, the intestine, P-gp, BCRP, and other
16 transporters' uptake of compounds, such as the intestine,
17 P-gp, OATPA, and the hepatocytes, the OATP family, NTCP and
18 so on.

19 It's safe to say that transporters contribute to the
20 absorption, distribution, and elimination of drugs,
21 metabolites, various endogenous molecules by nutrients, and
22 the tissue entry of drugs can be either facilitated or

0228 1 inhibited by the activity of the transporters.

2 So why are transporters important? Well, in addition
3 to absorption, distribution, and elimination, they can also
4 facilitate the access of certain drugs to metabolites,
5 again, for example, in the liver, it's a very important
6 process.

7 Understanding the pharmacokinetics, the
8 pharmacodynamics of certain drugs certainly requires the
9 knowledge of drug transporter interactions. This is
10 something that's becoming more and more apparent. Every
11 month that goes by, every review, there are papers that are
12 published.

13 As with CYP450, these interactions of transporters
14 differ between species and the consideration here is how are
15 we going to be able to predict clinical outcome from in
16 vitro studies and through clinical studies using animals.

17 From a drug-drug interaction perspective, we know that
18 that DDIs can cause variability to exposure, essentially can
19 cause toxicity, and in certain cases therapeutic failures.
20 And certainly they can also originate from drug transporter
21 interactions as well as classic drug interactions.

22 So the question-the principal question that we ask

0229 1 ourselves is what do we need in order to be able to predict
2 whether and to what extent the biological fate of drugs is
3 influenced by drug transporters and the challenge that, on
4 face, is well, it's likely a compound is going to interact
5 with multiple transporters, and its likelihood is going to
6 increase for newer drugs that are structurally related to
7 those that are already known to interact with transporters.

8 So you saw on the first slide, there are quite a few
9 transporters. Well, how many actually exist? The last time
10 I looked into it, there were 48 BTB finding set genes
11 identified and approximately 300 solute carriers, so this is
12 quite a few transporters. Obviously, we don't have the
13 resources to evaluate all of those and probably not the need
14 either. So out of these which ones would we consider the
15 most important to evaluate.

16 Well, the general consensus, as I understand, is

17 similar to the new 450s not all these transporters are
18 relevant. So on hand -- this side of the slide, you can see
19 the transporter and at the top of P-gp we know most about
20 the protein. There are some alternative names listed there
21 -- that's listed there. We know the major transport is a
22 possibility. Hopefully, the comment there remains with the

0230

1 transporters.

2 And the analogies I'll present in the next slide to
3 P-gp would be CYP3A and 455 and CYP3 and 4 enzymes has been
4 responsible for most of -- approximately 50 percent of the
5 drugs that link available oxidated metabolism.

6 So coming up close behind P-gp would be OATPC, PCRP,
7 MRP2, OATPB, and also we could argue with OATPA and OATPA.
8 Again, this is necessarily I mean more or less everybody's
9 understanding of the transporters field, but it's something
10 that's been discussed internally with VI taking into
11 consideration before with various resources involved.

12 So the similarity would be to the second tier of
13 transporters the other CYP enzymes that are considered most
14 important -- 2C9, 2D6, 1A2 to 2C19 and also more and more
15 important 2C8 genes.

16 So it's been published and it's generally understood
17 that P-gp and 3A4 have quite a few similarities. Just
18 briefly they've been expressed as epithelium, both frontline
19 and a defense against antibiotics. They both show a broad
20 substrate's selectivity, and it has been promiscuous, and a
21 cooperative action for drug degradation [ph.] for
22 overlapping substrates. Certifications have been detected

0231

1 in the P-gp 3A4, but the impact on pharmacokinetics is
2 doubtful. Generally, what we consider to be minor. Both
3 are reducible via PXR, and they're both occasionally
4 demonstrated in typical or sigmoidal kinetics, for example,
5 cooperativity and activation.

6 So quite, clearly, the effects of consequences on
7 pharmacogenetics by 3A4 P-gp can only be applied to
8 understanding of the activities of both the enzyme and the
9 transporter.

10 So what Shiew-Mei proposed in her talk was evaluating
11 whether or not a compound was a P-gp substrate by doing
12 bi-directional transport experiments across a model, so this
13 is the -- you may agree with the type of these experiments
14 similar to the one in which most people will use such a
15 thing so the cells are seated on a semi-transparent,
16 permeable filter membrane. They form tight junctions and
17 then you monitor the transport of the drug in the apical to
18 basal direction and the basal to apical direction, and you
19 calculate the permeability and then compare the permeability
20 to the efflux direction for the secretory transport and the
21 permeability in the apical direction and the secretory
22 transport.

0232

1 And, you know, I understand this is somewhat debatable
2 what number you use to evaluate this ratio. It signifies
3 that you have an efflux transporter substrate. Some people
4 use 1.5, two, or three, but any rate what we generally use

5 is the cutoff near two.

6 So what other considerations are there concerning
7 P-glycoprotein? It's definitely a very complex protein.
8 There are multiple binding sites on P-gp. It was four that
9 have been quoted, although selective probes for each of
10 these binding sites has not yet been identified. In a paper
11 that we published in my lab last year, we looked at the
12 effects of Ketoconazole on the last in transport that caused
13 that BT failure. One set was using kidney cells, which
14 expressed the P-gp in the protein. And we showed that at
15 low concentrations the Ketoconazole actually activated the
16 P-glycoprotein efflux transporter, and at higher
17 concentrations it inhibited the transporter. This is
18 somewhat -- I give the analogy to the CYP450 field is shown
19 for 2C1.

20 So with a compound that we're working on with similar
21 properties as Ketoconazole also has a differential effect on
22 P-gp. It's been tested. We have it for a high

0233

1 concentration compared to the effects of P-gp at the blood
2 brain barrier, we have a relatively lower concentration.

3 But what's clear is that substrate cooperativity and
4 allosteric binding can complicate the determination of
5 secretory transport of P-gp substrates and express in cell
6 lines such as MDCK-MDR1 and Caco-2.

7 Inhibition to P-gp can also potentially alter the
8 pharmacokinetics and possibly the pharmacokinetic profile of
9 the drug. It has yet to be shown. I've been asked about
10 this in the past, but it hasn't been shown in the literature
11 with respect to a clinical correlate for these data observed
12 the possibility of the activation.

13 Nevertheless, possibly due to expression of other
14 transporters, we certainly need to be cautious comparing
15 data between cell lines and expressed P-gp.

16 So what I'm going to present now -- just trying to go
17 through this briefly is a clinical study for the office have
18 shown the effects of the P-gp inhibitor on P-gp activity
19 clinically.

20 So Loperamide, we know that Loperamide is a potent
21 opiate used as a anti-diarrheal. It's available over the
22 counter, and we also know that there are no central nervous

0234

1 system effects at low doses.

2 But Loperamide is -- in this study was given
3 concomitantly with Quinidine at 600 milligrams, the AUC of
4 Loperamide increased about two and a half fold. As you can
5 see in the draft that's presented on the left side of the
6 slide, when the authors of this paper -- the study directors
7 of this paper administered Quinidine and then an hour later
8 administered Loperamide, they then measured the change in
9 baseline carbon dioxide response as a surrogate marker for
10 CNS depression, it shows significant CNS depression. Again,
11 Loperamide was co-administered. So they proved that
12 Quinidine inhibited P-gp mediated effects of Loperamide.

13 So this is a good example of transporter-mediated drug
14 interaction with potential toxic effects.

15 So switching now to the investigation of the activity

16 of other types of transporters, this is a system that's used
17 by my lab. This is something about Richard Kim at Stanford
18 University, and so this is expression of OATP, as I used as
19 an example. You can also express other transporters in HeLa
20 cells, using Vaccinia-based transfection system.

21 So this utilizes the efficient bacteriophage T7 RNA
22 polymerase. I like to refer to it as a modular system

0235

1 whereby these are modular transporters because they don't
2 have to have a lot of different cell lines. But they're
3 able to transfect and they're constantly kept in a state of
4 heating and seating, and I just used HeLa cells, and then
5 you can evaluate transporters. So there's only one cell
6 line to passage, and the way it works is we have a cDNA that
7 expresses the transporter, which won't, by itself, enter the
8 cell. This can be used with a lipofectin technique, or
9 inhibit the cell. And at the same time, or just shortly
10 thereafter, we administer this Vaccinia virus which
11 recognizes the T7 promoter and causes cytoplasmic expression
12 of the transporter which is then shuttled to the surface and
13 you can conduct studies by new cells. So it's a transient
14 transfection system, and it works actually quite nicely.

15 This is an example in this slide here of OATP-A
16 expression in HeLa cells and it's known the effects of
17 Fenadine is a substrate for OATP-A, with the first slide the
18 effects of the Fenadine that's expressed -- I'm sorry.
19 OATP-A is expressed in the gut, and you can see here that
20 compared to the effect of control, the OATP-A expressed in
21 the cells demonstrates actual uptake affects Fenadine.

22 So you can see here a clinical correlate to this data

0236

1 in OATP-A is expressed via the intestinal epithelium, and
2 the authors of this paper wanted to investigate if the
3 administration of grapefruit juice had any effects on
4 Fexofenadine uptake. And you can see here compared to
5 control, which is the co-administration of water, the
6 co-administration of grapefruit juice has significantly
7 increased the AUC about three-fold change in the AUC for
8 effects of Fenadine in the presence of 300 ml or actually
9 in that case 1,200 ml of grapefruit juice.

10 So those are some of the uptake transporters and the
11 ways in which we study that in the lab. In addition to
12 MRP1, which is the same as P-gp, as you see here, expressed
13 in canalicular upgrade of hepatocytes, there are other
14 transporters that we know of and there are three -- BCRP,
15 MRP2. And some of the ways in which we can study this
16 involve having or creating cells that express these
17 transporters and created inside-out vesicles and looking for
18 the uptake of a probe substrate into the vesicle. And I got
19 this slide from a company called SOLVO Biotechnologies, who
20 makes this particular product.

21 So you can see here they took Sf9 cells, which is an
22 insect cell line, expressed human BCRP, which stands for

0237

1 breast cancer resistance protein, and then created an
2 inside-out vesicle. We purchased them and then used them in
3 the lab to evaluate the influence of Sulfasalazine, which is

4 a BCRP inhibitor, on the uptake of -- on the probe BCRP
5 substrate, which is Methotrexate.

6 And you can see here that Sulfasalazine inhibits the
7 uptake of Methotrexate to BCRP-expressing Sf9 cells, with an
8 IC fifty of about .4 micro moles.

9 So going into the literature, what's the clinical
10 results of BCRP inhibition? I did find this one paper from
11 2002, where the author is looking at the effect of GF120918,
12 which is a known BCRP inhibitor on the Topotecan, which is
13 the BCRP substrate.

14 And you can see here where they administered one gram
15 of GF120918, the AUC of oral Topotecan increased at least
16 two fold. So this is showing that BCRP inhibition can
17 actually result in a change in pharmacokinetics of BCRP
18 substrates. You can see on the right-hand side that this
19 wasn't just passing noise. This has affected actually of
20 the -- all patients.

21 So one thing that we wanted to do internally was to
22 look into the literature and try to find out well, how many

0238

1 papers have -- how many clinical reports have been published
2 looking at the different transporters, and there are quite a
3 few. And this actually was done last year, so we know these
4 numbers have changed. But what we found was that
5 overwhelmingly the number of reports looking at potential
6 drug interactions involving transporters cover P-gp. So you
7 can see about 180 reports, and then everything falls off
8 quite dramatically after that.

9 So just taking P-gp as an example, we categorized them
10 into the number of studies showing no effect and the number
11 of studies actually showing -- this is using the University
12 of Washington database where the outlook again is greater
13 than 20 percent change in pharmacokinetics, and
14 pharmacokinetic practice.

15 So quite a few of these studies looking at the input
16 of P-gp on the transporter interactions, demonstrating
17 greater than 20 percent change in pharmacokinetics. That's
18 summarized at the bottom. About 120 of those studies fall
19 into P-gp inhibition and a maximum effect is about an
20 18-fold increase in AUC. And about 40 of these studies show
21 a maximum effect for, with respect to induction, 80 percent
22 reduction in AUC.

0239

1 Now, admittedly, some of these results could be due to
2 the decline effects on P-gp and CYP3A4 induction or
3 inhibition. But what we saw is that P-gp effects often
4 exceeded a two-fold increase or decrease in exposure.
5 That's usually considered acceptable variability in a
6 pharmacokinetic study.

7 So at the bottom the key question is well, what's the
8 current regulatory perspective on the design and
9 implementation of clinical studies to investigate potential
10 drug transporter interactions, and that's what we're here to
11 discuss.

12 So, in conclusion, 3A4 and P-gp demonstrate many
13 similarities. They're both equally important to consider in
14 most R&D programs. There are some indications of which they

15 are very important to consider would be CNS diseases,
16 cancer, liver-targeted indications.

17 So to what extent are frequency to clinical drug
18 interactions or toxic effects involving transporters occur?
19 This is something that we definitely need to consider
20 carefully in R&D programs.

21 Clearly, the selection of appropriate transporter
22 probe substrates and inhibitors is a critical issue.

0240

1 Unfortunately, this area is still really not that well
2 defined for many transporters.

3 And I presented the in vitro methods that are used in
4 my lab. And I know from talking with scientists from other
5 companies that people have different ways of studying the
6 same problem, so the question would be how are we going to
7 get different data for the same type of study for the lab,
8 and we need to try to standardize this.

9 And the last bullet that I put in red is something
10 that perhaps you're not so familiar with, but we in industry
11 have to deal with this that legal barriers in the form of
12 patents exist that restrict our freedom to operate to
13 mechanistically evaluate certain transporters.

14 So I put together a couple of slides that are my
15 response to the questions that should be proposed. So the
16 first question is the criteria for determining whether an
17 investigational drug is an inhibitor of P-gp or whether an
18 in vivo drug interaction study is needed, as you
19 demonstrated in one flow chart, is that appropriate?

20 So the question that comes to mind when I look at that
21 is well, how relevant is the I to KI relationship. This was
22 originally established and included the cell and this was

0241

1 important for CYP450 inhibition. How relevant would this be
2 for transporter interactions? Certainly this area is not as
3 well defined for transporters, even for P-gp as it for the
4 CYP450s. You also have to consider well, what are we going
5 to use for the I values for Plasma C Max as we use for the
6 450 analysis or an estimated GI concentration of drug and
7 show the P-gp that's expressed in tests.

8 And also there's a difference when we're evaluating
9 for microsomes. This I value typically comes from -- I'm
10 sorry. The KI value comes from microsome studies and P-gp
11 comes from cells, so is this something that we actually can
12 compare.

13 The second bullet point there is something that you
14 may already went over during her presentation, so I don't
15 need to go into this, but just, as I said, is this an
16 arbitrary value, so it's defined to KI greater than .1,
17 admittedly. It was previously 10 micro molar. Is .1 any
18 less arbitrary, and this is considered and introduced.

19 The other thing I think is kind of important to this
20 is some of the most potent inhibitors of P-gp are compounds
21 that are not commercially available. They may not be
22 suitable for evaluation in clinical studies.

0242

1 And concerning Ritonavir and Cyclosporine and other
2 proposed inhibitors, these compounds have been know to

3 inhibit many transporters, so, at this point, it's not very
4 exactly clear how the lack of specificity would affect
5 results of the clinical drug interactions.

6 This is my last slide here. With respect to the
7 question for determining whether an investigational drug is
8 a substrate of P-gp, and whether an in vivo drug interaction
9 study is needed. It's certainly a reasonable concern that
10 flux ratios greater than two represent a value that is too
11 liberal here, but that's not really the word I'm looking
12 for. Maybe conservative is a better word, but too strict
13 perhaps and could lead to too many positive results.

14 This is something that pharma members are in the
15 process of getting together and should have a consensus
16 opinion fairly soon.

17 Again, the question would be would it be expected that
18 any developing compound with a flux ratio of greater than
19 two be evaluated clinically, using P-gp inhibitors to
20 determine potential drug interaction and also it should be
21 mentioned -- it's also important to consider not only the
22 efflux ratio but the transcellular passive permeability of

0243

1 the compound. It may be that if that's high, it would be
2 reasonable or logical to conduct a clinical DDI study.

3 So a sort of closing statement and the general concern
4 I think that many open questions still exist regarding the
5 complexity of the transporter field and how we can
6 appropriately link in vitro data to the potential clinical
7 outcome, even for the CYP450 area for which the in vitro
8 correlation with drug interactions is better characterized
9 but not always able to correctly predict clinical drug
10 interactions.

11 And the current knowledge base doesn't really yet
12 support the recommendation of drug interaction studies
13 involving other transporters, such as OATPC, MRB2, BCRP,
14 OCTs.

15 That's it.

16 CHAIRMAN VENITZ: Thank you, Dr. Taub. Any questions
17 by the Committee?

18 DR. WATKINS: Just a clarification. On the slide that
19 showed the drug-drug interactions from the University of
20 Washington's database, those were all human studies? Were
21 there actually PK endpoints?

22 DR. TAUB: Yes.

0244

1 DR. WATKINS: That's some big numbers.

2 DR. LESKO: Mitch, I actually had a question on that
3 same slide. It's in that last column. I was wondering what
4 the number of drugs were with the effect of eliciting a
5 greater change than 20 percent. In other words, what would
6 happen if I put not a hundred percent there? How many
7 actual numbers would pop up, would you guess?

8 DR. TAUB: I mean that's really a hypothetical
9 question because if you can't put a hundred percent, and
10 it's all to keep the data, but they're only categorized
11 according to change of 20 percent.

12 DR. LESKO: Oh, I was kind of looking for an area
13 under curve change. That's a PK. Does that -- does that

14 mean PK is measured by blood levels?

15 DR. TAUB: Yes.

16 DR. LESKO: Okay. Well, they don't give that
17 information. I was trying to get sense of how significant
18 these interactions are by putting an arbitrary hundred
19 percent increase on the pharmacokinetics as based on an area
20 of --

21 DR. TAUB: I think it would be an interesting feature
22 of the database.

0245

1 DR. LESKO: That's selected.

2 CHAIRMAN VENITZ: Any other questions?

3 DR. TAUB: Because that's actually the --

4 DR. LESKO: Yeah. Okay. The other thing about your
5 pharma, I think on the last slide you said something about
6 pharma is developing a consensus. Is there any active
7 partnership or consortium that is sharing data on
8 transporter methodologies, such as cell line systems, such
9 that collectively pharma, partners, whoever they are, could
10 make some recommendations on some standardized approaches to
11 these things?

12 DR. TAUB: Not to all pharma as such. It's important
13 that it is an open pharma problem.

14 DR. LESKO: Yeah.

15 DR. TAUB: I mean I think there's certainly is some
16 companies that an FDA consortium and act as sort of a --

17 DR. LESKO: Okay. Nothing more. Thanks.

18 DR. BARRETT: I know we're going to get into this when
19 we go to the questions, but just in the previous slide, when
20 you were making comments, and you mentioned some of these
21 other potent inhibitors or compounds not being commercially
22 available, but I know it's just a statement, but is it your

0246

1 opinion that or is it practice at your company that you
2 would use the most potent agent? I know the kind of de
3 facto guidance has been to approximate the worst case
4 scenario by using a potent inhibitor.

5 But I don't know that that's necessarily relevant for
6 all therapeutic areas. I don't, you know -- and I know
7 we're going to discuss it as a group, but just your opinion
8 as far as the choice of an agent there. Do you de facto
9 choose the most potent?

10 DR. TAUB: I think you're going to have to balance it
11 between potency and selectivity, which is really the problem
12 with a lot of transporter field in the selectivity of the
13 substrate, and the transporter. It's almost impossible to
14 find one and the selectivity of an inhibitor that's just
15 going to inhibit transporters and it's almost impossible to
16 find that sort of example. I think I was able to directly
17 -- quinidine is used, but it's also a fairly potent 2D6
18 inhibitor.

19 So I mean arguably you could design your studies that
20 will be able to influence the probe substrate that you're
21 using. I mean the answer to your question directly is you'd
22 want to use the most potent compound that also is the most

0247

1 definite --

2 DR. BARRETT: I guess that's --
3 DR. TAUB: I guess that's where the problem lies.
4 DR. BARRETT: These are more kind of methodologic, but
5 I see this tied into the class effect or the desire to have
6 a class effect labels so that you can kind of add the other
7 agents that might be relevant therapeutically with a drug of
8 choice, but I don't see kind of an a priori alignment where
9 you would choose that to be your probe agent, an agent which
10 you would have some certainty it would be potentially
11 co-administered with your developmental program.

12 DR. TAUB: Yeah, I don't think. I think we could
13 still design the study appropriately, but probably using the
14 two in answer to that.

15 DR. BARRETT: Okay.

16 DR. GIACOMINI: I'm going to be covering organic
17 cations and organic anion kind of interactions clinical
18 interactions and there are many more than are in that
19 database, so I'll mention the data. I just thought I should
20 tell you this.

21 DR. TAUB: Okay.

22 DR. GIACOMINI: Okay?

0248

1 DR. TAUB: Thank you.

2 CHAIRMAN VENITZ: No further questions? Okay. Thank
3 you again, Dr. Taub.

4 And according to our schedule, you're ready for a
5 break. A short in the first part of the afternoon, so let's
6 take a 15 minute break, and let's reconvene at 3:15 p.m.

7 [Break]

8 CLINICAL SIGNIFICANT TRANSPORTER-BASED INTERACTIONS

9 CHAIRMAN VENITZ: Can we reconvene, please? Let's get
10 started, please?

11 Okay. Let's go ahead and start with our next
12 presentation. Our next presenter is Dr. David Greenblatt.
13 David is Chair and Professor at the Department of
14 Pharmacology and Experimental Therapeutics at Tufts
15 University, and he is going to talk about the clinical
16 significance of drug transporter-based drug interactions.
17 David.

18 DR. GREENBLATT: Thank you very much. I appreciate
19 the chance to meet with you, and somebody is going to hook
20 up the slides. Thanks.

21 I wish I could help, but I'm on this thing, and I have
22 no idea how to work this thing. Good. Thank you.

0249

1 Okay. What I wanted to do is my contention here is
2 that with regard to assessing the importance of
3 transporter-based interactions and the development of
4 guidelines and approaches to interpreting in vitro data, we
5 are about 10 years behind where we were with CYPs.

6 So what I wanted to do is hypothetically roll the
7 clock back 10 years and look at -- pretend that we're giving
8 this talk on the clinical significance of CYP-based
9 interactions and think about where we were then compared to
10 where we are now with transporters and then look at the
11 evolution of what we learned over the next decade to try to
12 forecast where we're going with transporters and maybe avoid

13 some of the pitfalls and errors and mistakes that we've made
14 along the way.

15 So roll the clock back to 1996 and try to remember
16 what the state of the art was back then, and I think that we
17 were coming to the conclusion that we were getting many new
18 clinical entities in the '80s and '90s that were improved
19 and were major therapeutic advances -- effective treatments
20 for diseases that were previously poorly treated or
21 inadequately treated. So we have really a many therapeutic
22 breakthroughs in the '80s and '90s and along the bottom are

0250

1 examples of drug classes and there are many others.

2 But along with that, we bought the obligatory
3 secondary pharmacologic properties of these new clinical
4 entities. They have the capacity to induce or inhibit the
5 metabolism of other drugs.

6 So we discovered to our dismay many new kinds and new
7 categories of drug interactions, and there were a lot of
8 them and some of them were very large and clinically
9 important and, for example, all the drug interactions
10 involving the SSRIs, the Azole antifungal agents, the intra
11 Antiretroviral drugs, et cetera.

12 So that was an emerging revelation of the 1990s.

13 The second thing is that polypharmacy in general was
14 good. We were able to keep alive and also maintain a good,
15 a positive quality of life for many patients with serious
16 medical disease, because we were able to combine these
17 highly potent drugs for their combined therapeutic benefit,
18 but also the combined potential effects with regard to drug
19 interactions.

20 So the number of drug interactions that we recognized
21 increased and increased, and finally we came to the
22 realization that we just had too many drug interactions to

0251

1 memorize. Clinicians were complaining I simply can't learn
2 all this. Where can I look it up? Where is there a Web
3 site? What do I do? And so we had to kind of come up with
4 a structure to understand the drug interactions. So we
5 developed the framework of the understanding of the CYPs and
6 what the substrates were and what the inhibitors are, and
7 used that as a framework upon which we could hang the
8 results of individual drug interaction studies and help
9 clinicians come to grips with it.

10 Okay. So that was very valuable.

11 And finally, we were well on the way to developing a
12 rather sophisticated in vitro -- set of in vitro models
13 involving obviously microsomes, recombinant enzymes, liver
14 slices, hepatocytes. And we were very encouraged and
15 excited by the outcome of these in vitro studies and what we
16 could learn from various in vitro models, and we had the
17 hope and maybe the fantasy that these models might actually
18 provide predictive estimates as to what kinds of drug
19 interactions could happen, not happen, uncertain as to
20 whether they could happen, and we might be able to use these
21 as a guideline to planning clinical studies or maybe even
22 predicting what would happen without even doing a clinical

0252

1 study.

2 Okay. So that's about where we were in 1996 with
3 respect to CYPs, and I would suggest that that's
4 approximately where we are now with regard to
5 transporter-based drug interactions. Okay.

6 Now, let's come back to the present and look at what
7 the last decade has taught us about CYPs so we can see where
8 we might be going with transporters.

9 First of all, it's pretty clear that when there's a
10 bad drug interaction that leads to a serious adverse event
11 or in particular a death, that obviously is very bad for the
12 patients affected, but it's bad for everybody. Okay. It
13 gets in the newspapers, and it leads to a cycle of blame.
14 Regulators get blamed for approving a dangerous combination.

15 The sponsor, the industrial sponsor gets blamed for
16 propagating a dangerous lethal, drug on the public, and
17 practicing physicians get blamed for stupid prescribing and
18 giving drugs to patients without adequate understanding of
19 the science behind it. So this is very bad for everybody,
20 and makes us all look bad. Okay.

21 On the other hand, when you look at drug interactions
22 in general, in particular bad drug interactions, they're

0253

1 actually quite unusual and bad drug interactions that are
2 lethal or potentially very harmful, they are very rare given
3 the denominator of potential drug interactions and you've
4 probably seen tables like this, and this made me go back and
5 exhume my college math and come back to binomial
6 coefficients. But if we take in the left column the number
7 of drugs co-prescribed to a given patient, the corresponding
8 entry in the right column is the number of possible
9 drug-drug interaction pairs that you get by taking each of
10 the drugs that they're on two at a time.

11 So by the time you get to let's say an elderly patient
12 with multiple medical disorders, taking seven, eight, nine,
13 10 drugs at a time, the number of possible drug interactions
14 that you get by taking a possible two by two pair is huge.

15 Yet, the number of drug interactions, let alone
16 important drug interactions, is small. So drug interactions
17 are unusual, and, in fact, most of the time when drugs are
18 co-prescribed, there are no drug interactions. That's the
19 most common outcome. Two drugs are prescribed; there's no
20 interaction.

21 Occasionally, you can get an interaction which can be
22 demonstrated if you do a careful pharmacokinetic study, to

0254

1 study the clearance of the substrate with and without the
2 inhibitor, and you can show a change in clearance.

3 These kinds of studies most of the time demonstrate
4 interactions that are clinically unimportant and we talked
5 about the 20 percent threshold here and I think most of the
6 time 20 percent will not be clinically important.

7 So we have occasional drug interactions that can be
8 demonstrated but are of no practical importance. Either the
9 change in exposure to the substrate is not big enough to
10 make a difference or that change is buried in much larger
11 intrinsic individual variability and genetics in response.

12 Sometimes, unusual, we come up with interactions that
13 are clinically important in that either you have to monitor
14 more closely or you have to make an adjustment in the dosage
15 of either the substrate or the inhibitor or the substrate or
16 the inducer. It's pretty unusual.

17 And really rare is the Ketoconazole Terfanidine type
18 of interaction, where it's a hazardous interaction,
19 potentially life threatening and the combination is
20 absolutely contraindicated. That is rare.

21 Now this is a hypothetical construct, but there's
22 actually some data to this effect, and it came out of

0255

1 Germany from this study in which those questions were
2 actually asked in a population of patients whose drug
3 therapy was carefully monitored, and they started out with
4 some 9,400 patients receiving 223,446 drugs and they started
5 going down the line as to what the possible pairs of --
6 possible drug interactions were. Then B are the actual drug
7 combinations that might have caused an interaction. C was
8 the subset of those where there was actually data available
9 on what the drug combination did. D was the -- were the
10 number of pairs where a drug interaction was actually
11 possible, and then E came down to a real interaction that
12 was manageable, and F, finally, 74 cases, less than one
13 percent, a half a percent of those exposures, actually had a
14 drug combination where there was potentially hazardous
15 interaction so this I think is consistent with this table
16 that most of the time there's no interaction and the rare
17 ones are very rare. The hazardous ones are very rare.
18 Okay.

19 Thirdly, to our dismay, we have learned that the in
20 vitro systems have major drawbacks, limitations, and biases.

21 And I think we're all pretty much aware of what those
22 problems are. You hope that your in vitro system will

0256

1 provide data in the form of the left half of the equation,
2 which will allow you to predict what will happen in vivo;
3 that is, the right half of the equation. And the right half
4 of the equation represents a clinical study in which the
5 area under the curve with a substrate with co-administration
6 of the inhibitor is expressed as a ratio to the area in the
7 control state. It's the change in AUC, the full increase in
8 AUC.

9 So what you hope will be true is that you don't have
10 to do that study, because you can use the in vitro data in
11 which you get a KI and compare that to I in brackets which
12 is the extent of exposure of the enzyme to the inhibitor and
13 you hope that one plus I over KI will be predictive of that
14 AUC ratio in vivo.

15 Well, it didn't work very well, okay, mainly because
16 we continue to be left with the core questions of what does
17 either KI or IC50 when measured in vitro actually mean in
18 vivo, and secondly what does I in brackets -- what is that
19 entity? What is the extent of exposure of the enzyme to the
20 inhibitor? And those questions still are not completely
21 answered so that we are left with an in vitro model that
22 works pretty well at the extremes.

0257

1 So, for example, if you take -- let's Ritonavir, which
2 we talked about as the -- probably the worst case scenario
3 and an inhibitor of CYP3A. If you do a typical in vitro
4 study on the left, and get an IC50 above Ritonavir versus
5 CYP3A, and, you know, it's probably in part a
6 mechanism-based inhibitor, but either way you look at it,
7 the usual systemic exposure to Ritonavir on the lower right
8 of that left-hand panel greatly exceeds the IC50 or KI.

9 So, therefore, this is an extreme case where you
10 predict the drug interaction, and sure enough if you do the
11 study, on the right-hand side of the graph, with the same
12 substrate given in the controlled state and with Ritonavir,
13 you get a gigantic interaction. Okay. That's easy.

14 We're also reasonably confident at the other end when
15 I over KI is small. Now, I'm using here the ratio 0.5.
16 Maybe that's a little somewhat more liberal than it should
17 be. I know the current guidance is 0.1. My own feeling is
18 that 0.1 is maybe a little aggressive, but whatever you
19 choose, we feel pretty good about I over KI values bigger
20 than five predicting the high probability of an interaction
21 and then low values making it unlikely. But we still don't
22 know what to do in the middle. And, also, by the way, I

0258

1 encountered or was hit with the first really first negative
2 that I could remember seeing and that has to do with
3 Bupropion and CYP2D6, and this data isn't published. It's
4 on the GSK Web site.

5 If you look at the in vitro data for Bupropion and
6 Hydroxy-Bupropion versus CYP2D6, the I over KI values are
7 very small and you would not predict an interaction. Yet,
8 Bupropion significantly inhibits CYP2D6 in vivo. So that's
9 a very troublesome false negative and apparently an
10 exception to this scheme whether you use .1 or .5 and that
11 -- you know that worries me a bit.

12 But, in any case, that aside, we still don't know what
13 to do in the middle, even 10 years later in 2006. Okay.

14 And finally, we again we have to -- in interpreting
15 actual clinical interactions, we need to get our focus on
16 the things that matter, and that means either or both of
17 these highly potent inducers or inhibitors. We're really
18 worried about the Ketoconazoles and Ritonavirs. We're
19 really worried about the Rifampin that produce two-, five-,
20 10-, 20-, 50-fold changes in AUC.

21 We don't want to pollute the clinician's attention by
22 focusing on the plus or minus 10 percent, 20 percent, 30

0259

1 percent change, and, yes, there may be interactions, but
2 they're just -- it is not likely that they'll be important.

3 And, of course, we need to focus on the substrate
4 victims, the things that are being interacted with, with the
5 narrow therapeutic ranges -- Warfarin, phenetoin, whatever.
6 Narrow therapeutic ranges. Okay.

7 Now, let's try to apply what we've learned about CYPs
8 to the transporting system.

9 Okay. First of all, the same thing: the in vitro
10 models have significant drawbacks and limitations and

11 biases. And I think you can categorize those as specificity
12 problems and confounding due to passive diffusion. So we're
13 really worried about the specificity of cell-based models
14 with respect to expression of individual transporters.
15 Okay. Probably most of the older data using KCO2 [ph.] cells
16 basically were illustrating what happens with multiple
17 co-expressed transporters or multiple co-existing
18 transporters.

19 And the more sophisticated we get in specificity of
20 cellular models, the more we're able to be reasonably
21 certain that we're studying individual transporters as
22 opposed to a mix.

0260

1 Secondly, of course, we're very worried about the
2 specificity of the index substrates as well as for one for a
3 specific transporter and many of the substrates are not at
4 all specific, and likewise the inducers and the inhibitors
5 are not necessarily specific for a given transporter.

6 And finally, we've heard talk about passive diffusion.

7 I mean there are many cases in which a drug may be
8 transported, the ratio may be two or three, but the passive
9 diffusion in either direction completely overwhelms the
10 importance of the transporter. A lot of energy has been
11 expended on looking at, for example, CNS efflux transport of
12 anti-depressants, and you can demonstrate that some of these
13 drugs are substrates for efflux transport, but it doesn't
14 make any difference because even in the absence of -- even
15 with normal transport, the brain-plasma ratios are far in
16 excess of one. Okay?

17 So transport has to be considered in the context of
18 passive diffusion and lipid solubility, et cetera. Now,
19 here's a study on Ketoconazole just to illustrate this.

20 It's in a KECO2 transwell [ph.] model and the
21 transported substrate is Rodamine123 [ph.] and we're looking
22 at Ketoconazole as a inhibitor of transport of that

0261

1 particular substrate.

2 So the question is we thought at this point or it was
3 thought at this point that this probably, the KECO2,
4 probably expresses mainly P-glycoprotein and that Rodamine
5 probably is mainly a substrate for P-glycoprotein and that
6 Rodamine probably is mainly a substrate for P-glycoprotein
7 transport. So we get an IC50 of 2.7.

8 Okay. What on earth does that mean? Well, first of
9 all, as has been mentioned, when trying to interpret the
10 IC50 of transport modulators, we have to continuously
11 recognize that there is a huge difference between the
12 exposure of enteric mucosal cells as opposed to blood-brain
13 barrier mucosal cells and probably also the same for
14 hepatobiliary cells.

15 So the interpretation of IC50 with regard to
16 inhibition and ultimately the predictability of induction
17 will depend on the numbers that you get in the context of
18 the level of exposure.

19 So if you take Ketoconazole; dissolve 200 milligrams
20 of Ketoconazole and a hundred mL of water and swallow it,
21 the enteric exposure to the Ketoconazole is huge. It far

22 exceeds any IC50.

0262

1 But on the other hand, if you let Ketoconazole get to
2 the systemic circulation, where the total plasma
3 concentration is one, two, or three micrograms per mL or
4 three, four, five micro molar and the unbound concentration
5 is probably one fiftieth to one one hundredth of that, then
6 that complicates what this IC50 means.

7 Now, here -- and I'm not suggesting here -- and this
8 is just an illustration of this, and I'm not for the moment
9 or any -- in any way suggesting that a rat model is of value
10 in understanding of what happens to humans, but nonetheless
11 this illustrates in the context of P-gp conduction the
12 difference between blood-brain barrier exposure and enteric
13 exposure to Ritonavir and Dexamethasone given enterically to
14 rats. And in this study, we looked at the relative increase
15 in P-gp expression relative to vehicle control for enteric
16 P-gp where the expression increase was a factor of nearly
17 three as opposed to the blood-brain barrier increase where
18 the whole expression increase was a factor of about 1.2 to
19 1.3 and that probably is due to the difference in exposure
20 to the inducer.

21 Now, getting back to the issue of passive diffusion as
22 opposed to efflux transport, this is of particular

0263

1 importance for the blood-brain barrier. Now this is a study
2 of -- really a very good model of efflux transporter P-gp,
3 efflux transport activity and that is the brain plasma ratio
4 in ABCB1 minus, minus, and that is normally called P-gp
5 knockout mice relative to wild type animals; okay?

6 And in this animal model, you can demonstrate -- the
7 authors could demonstrate a significant increase in the
8 brain-plasma ratio for morphine in the knockout animals
9 compared to controls.

10 But still in the controls the brain-plasma ratio was
11 greater than one first of all.

12 Secondly, we know that morphine is an effective CNS
13 drug in humans, who express P-gp in the blood-brain barrier,
14 and in wild type animals, who express P-gp in the
15 blood-brain barrier. So this is a case in which yes, there
16 is transport and that can be demonstrated in isolated cell
17 models, but passive diffusion probably overwhelms transport.
18

19 The two human studies that I could find in which
20 morphine pharmacodynamics were studied in the presence and
21 absence of P-gp inhibition in humans one showed no
22 difference in pharmacodynamics and another showed a small

0264

1 difference of the type that I would suggest is not
2 clinically important.

3 Furthermore, we don't have any epidemiologic data to
4 suggest that morphine toxicity is enhanced with
5 co-administration of P-gp inhibitors.

6 So, again, you can get an IC50 and you can -- in a
7 carefully controlled study maybe demonstrate enhanced
8 pharmacodynamic effects, maybe not. But there's really no
9 evidence that that is of clinical importance.

10 Here's another interesting study on the brain -- the
11 CSF to free plasma, unbound plasma ratio of Ritonavir on the
12 left and Sequinovir [ph.] on the right. A very difficult
13 study to perform in humans, with and without Ketoconazole.

14 And you can see for both of these anti-retrovirals, it
15 looks as if co-administration of Ketoconazole increases the
16 CSF to free-plasma ratio, consistent with inhibition of
17 efflux transport.

18 First of all, this is not consistent with the in vitro
19 data for Ketoconazole showing the IC50 of about, you know,
20 two to three micro molar; and, second, I know of no data
21 that the -- either the CNS efficacy or CNS toxicity of
22 either Ritonavir or Sequinovir are modified in one way or

0265

1 another by co-administration of Ketoconazole or any P-gp
2 modulator.

3 The next problem is and Mitchell talked about this and
4 Dr. Huang as well: the need to or the isolation of the
5 relative importance of CYP3A modulation versus transport
6 modulation for the large number of compounds that are dual
7 substrates or inhibitors or inducers, and we know that there
8 is a large degree of crossover there. It's not obligatory,
9 but there is a large degree of crossover, so when we look at
10 what's happening with let's say Loponavir, which is probably
11 a dual substrate, and Ritonavir, which is probably a dual
12 inhibitor, the clinical study shows that even small -- a low
13 level of exposure to Ritonavir increases the systemic
14 exposure of Loponavir by factor of about hundred-fold. And,
15 in fact, that's so desirable that we don't call it an
16 interaction. You know, we call it augmentation, you know,
17 whether it's good or bad, we change the nomenclature. Okay.

18

19 But is this due to P-gp inhibition or CYP inhibition
20 or some combination of the two? How do we isolate the
21 relative contribution and does it really matter?

22 And finally, the most important thing: getting back

0266

1 to the issue of clinical importance is whether there's an
2 established exposure response relationship with the victim.
3 This is absolutely critical in assessing clinical
4 importance, so if we go to let's say the Fexofenadine citrus
5 interactions -- this is similar to what Mitchell showed
6 previously.

7 Obviously, co-administration of regular strength
8 grapefruit juice, orange juice, or apple juice in the three
9 lower curves presumably through inhibition of uptake
10 transport of Fexofenadine depresses plasma levels and
11 systemic exposure to the Fexofenadine. That obviously is
12 statistically significant in a pharmacokinetic sense, but is
13 it clinically important, and I would say that at this point,
14 it may or not be depending on what we know about the
15 exposure response relationship of Fexofenadine, and my
16 understanding is we don't know that much about the exposure
17 response relationship for that particular drug. So I would
18 suggest that labeling -- I saw a proposed label of
19 Fexofenadine and citrus -- that may be too aggressive at
20 this point.

21 So my contention here in evaluating what has been
22 proposed, and in particular the bottom half of the proposed
0267

1 guidance trees in which in vitro I over KI values for
2 partition or relative partition ratios then trigger a
3 clinical decision. I think that it's too soon for that, and
4 in looking at the questions that the Committee will be
5 considering, it reminded me of this little story which I put
6 down here, and that was in the context of I think one of the
7 Clinton campaigns, the first Clinton campaign. And, as you
8 know, in the winter, early on in the campaign, the State of
9 New Hampshire is inundated by reporters and the media and
10 press -- this is taciturn conservative laid-back, sparse New
11 Hampshire.

12 So all of the media inundates New Hampshire, and
13 they're trying to get stories about prior to the first
14 primary, you know, how do you feel about this and who is --
15 or so. The story I heard, and maybe you've heard it before
16 is that some reporter, a female reporter from New York, was
17 trying to get some background color on the locals, the New
18 Hampshire locals, and she was trying to interview them just
19 to get something that would be entertaining.

20 So she came upon this crusty old geezer of about 70
21 who was sitting in a barber shop, reading a newspaper,
22 waiting to have his steel gray flat top cut. Okay? I can
0268

1 empathize with these guys because I'm a crusty old
2 Massachusetts geezer myself.

3 So she goes up to this guy, and, "excuse me, sir."
4 You know, trying to engage him in discussion, and, you know,
5 "have you lived your whole life in New Hampshire?" And he
6 looks up at her, very surprised, and says, "not yet." So
7 that's my feeling about where we are with respect to the
8 questions posed and the guidance.

9 I think we're not there yet, and I would urge you to
10 be not too aggressive in your labeling, in your guidance
11 until we have the information to support it. I think we're
12 not there yet, and I will stop there. Thank you.

13 CHAIRMAN VENITZ: Thank you, Dr. Greenblatt. Any
14 clarification questions by the Committee?

15 Dr. Greenblatt will be assisting us on our
16 discussions. Any questions right now? Okay. Thank you,
17 again.

18 CLINICAL SIGNIFICANT INTERACTIONS OF OATP1B1 AND THEIR
19 TRANSPORTER-BASED INTERACTIONS.

20 CHAIRMAN VENITZ: And our last speaker for today is
21 our very own Committee member, Dr. Kathleen Giacomini.
22 She's going to tell us about Clinically Significant
0269

1 Interactions of OATP1B1 and Their Transporter-Based
2 Interactions.

3 DR. GIACOMINI: Thank you. We've got a point to
4 write. Thanks.

5 Okay. Good. So today I'm going to not be talking
6 about P-gp, which is really the focus of a lot of the
7 discussion today. I'm going to talk specifically about
8 OATP1B1, and I'm going to talk about selected kidney

9 transporters, and I'll be addressing two questions. The
10 first one is, are these transporters important for drug-drug
11 interactions? And the second one is, what is the evidence
12 for that?

13 And then I'm going to give some suggested, not really
14 recommendations, but suggestions for further discussion
15 maybe at a future time, and we might want to consider how
16 important you think this evidence is that I'm presenting as
17 discussion for not so far in the future.

18 So let's see. I think Mitch said earlier there are
19 around 300 site carrier families, solute carrier super
20 family members in the human genome. Not all of them
21 interact with drugs. Many of them are very specific --
22 mitochondrial transporters which are involved in the uptake

0270

1 of amino acids, glucose, nutrients, et cetera.

2 So the ones that have been receiving a lot of
3 attention for drug-drug interactions are the OATPs -- and
4 sorry not only for drug-drug interactions but for drug
5 disposition and response and OATPs, organic anion
6 transporting polypeptides.

7 So I'll be focusing on one of these OATPs, OATP1B1.

8 The other group of transporters that receives a lot of
9 attention in the literature and has for over the years are
10 the OCTs, OATs, and OCTNs. These are organic cation
11 transporters and organic anionic transporters in the
12 kidneys. And I'll spend a little bit of time at the end
13 talking about those and addressing the same group with the
14 questions, whether I'll feel they're important for drug-drug
15 interactions and what the evidence is.

16 So let me start with OATP1B1. And this is just a
17 diagram of the hepatocyte, and you can see there are a
18 number of transporters on the basal lateral membrane here,
19 including some OATPs, and there's OATP1B1, OATP1B3 and
20 OATP2B1 are ones that I think again Mitch discussed and
21 those are the ones that have received a lot of attention in
22 terms of how they interact with various drugs.

0271

1 I'll focus mostly on OATP1B1, but I'll say a few words
2 about OATP1B3 and 2B1.

3 These transporters -- well, yeah, so let me get to the
4 question. So are they -- is OATP1B1 important for drug-drug
5 interactions? What's the evidence, and I'll go with in
6 vitro evidence first and then move from in vitro to in vivo
7 evidence.

8 So in in vitro, there are a whole lot of studies that
9 have been performed showing a variety of different
10 molecules, structurally diverse molecules interact with
11 transporters. And here are some of the substrates for
12 OATP1B1. You can see there's the penicillins, a whole
13 bunch of statins, Rapaglinide, Rifampin -- a number of those
14 compounds. OATP1B3 interacts with Digoxin. It also has a
15 number of statins interacting with it, and OATP2B1 also
16 expressed on the same membrane interacts with a number of
17 these compounds.

18 So the take-home message here is that, yes, a lot of
19 structurally diverse molecules interact with these

20 transporters so they are potentially important drug-drug
21 interactions.

22 The second take-home message here is that because of
0272

1 their location on the sinusoidal membrane as the hepatocyte,
2 they are particularly important, and that's because they are
3 gatekeeping the enzymes that reside in the liver. So the
4 interactions there may be particularly important. If a drug
5 can't get into the liver, you're going to get a double kind
6 of an interaction. A, it didn't get into the liver, so you
7 have a disposition interaction, and, B, it's not going to be
8 metabolized because it's not getting in. So they're taking
9 drugs; they're still hepatocytes.

10 The other take-home message here is -- and I think
11 this has been pointed out by the other speakers is that
12 there is a lot of overlapping substrate specificity.

13 We don't have very distinct transporters for
14 particular molecules, so that creates an in vitro situation.
15

16 So I think the evidence here in vitro is that
17 potentially these transporters may be important for
18 drug-drug interactions.

19 Now, let's look at the in vivo evidence here.

20 So when you think about in vivo evidence, and you want
21 to know whether a transporter or a protein is particularly
22 important for a drug-drug interaction or even important for
0273

1 the disposition of drugs, there are two levels of evidence;
2 one at the genetic level -- what kind of information can you
3 get, for example, from knockout mice or polymorphism in
4 humans. And the second are chemical inhibition studies.
5 Those will also tell you whether a particular transporter is
6 at work, if you can get a drug-drug interaction in which in
7 particular you have a specific inhibitor. That will give
8 you in vivo evidence that a transporter is playing a role.

9 So what do we have for OATP1B1? Well, knockout mice
10 for the OATPs are not applicable. So the wonderful data
11 that we had on P-glycoprotein or MPR1, which I think paved
12 the way to all of us being to understand that this transport
13 protein was very important in drug to all of us beginning to
14 understand that this transport protein was very important in
15 drug to sufficient death and usually this is for the OATPs.
16 And it can't exist because we -- there aren't species
17 orthologues of each one of the OATPs. So there is mouse
18 OATP1B1. And there's human OATP1B1, and then in the mouse
19 or in the rodent there are different OATPs and even mouse
20 and rats differ from one another.

21 So knockout mice information is not applicable for us
22 to get some hint as to whether these transporters may play
0274

1 an in vivo role or drug-drug interaction study.

2 Polymorphism in humans, however, have -- there have
3 been recent studies in the last four or five years, and I'm
4 going to show you some of those in which there are
5 increasing examples, increasing numbers of examples where
6 polymorphisms in OATP1B1 appeared to play a role in drug
7 disposition, which suggests that that particular transporter

8 may be important for drug-drug interactions.
9 Chemical inhibition studies suffer from, and I think
10 David pointed it out nicely in his study -- we also saw it
11 from Mitch -- and that is that their specific inhibitors are
12 there, so you have to interpret those data.
13 But I'm going to show you what's there as well.
14 So here is -- let's go to polymorphism. So there have
15 been a number of polymorphisms of OATP1B1 that have been
16 identified and then studied in cellular-based assays. And
17 this is a clinical study with Rapaglinide is shown.
18 So Rapaglinide, which is an anti-diabetic drug, and
19 you can see these are individuals who are homozygous for
20 521C variant, and these are individuals who are homozygous
21 at position 521, but they have the T allele. The T allele
22 is the common allele.

0275

1 This variance, it changes the T to the C, changes in
2 amino acid from Valine at a third position to Alanine. It's
3 been shown in a number of cellular studies to not take up
4 drugs very well when you have the "C" [ph.], and so you can
5 see that the individuals who have 521CC have higher area
6 under the curve, and I think here it's about 200 percent
7 increase in their area under the curve when they have this
8 particular variant.
9 That suggests that OATP1B1 is probably playing a role
10 in Rapaglinide plasma disposition. They also looked at
11 ABCB1 for Rapaglinide and there was no effect of certain
12 common ABCB1 or P-glycoprotein variance there. They also
13 looked at CYP3A and 5. There's really no effect there.
14 So the effect appears to be specifically for OATP1B1
15 and particularly for that 521C to T change.
16 There's another study, this is less compelling to me,
17 where they looked at Fexofenadine. Again, the same variant
18 at the 521C and again in the same direction. The people
19 with the 521C who have the alanine at stat position are
20 having -- are clearing the drug in a poorer fashion and
21 having a higher systemic exposure than the individuals with
22 521T.

0276

1 I'm going to skip to this slide and then go back. For
2 Pravastatin that same variance, 521C again, but this is
3 another drug, 521C and the individual who are heterozygous
4 for 521TC again have higher plasma levels than individuals
5 who are homozygous for 521TT.
6 So those are three different drugs with the
7 particularly -- the same data and there's in vitro data that
8 support -- that correspond to this; that is, if you have the
9 alanine at that position or if you have the 521C, you don't
10 take up these drugs as well.
11 They're also promoter region variance in OTP1B1, and
12 on this one particular promoter region variance, which shows
13 again some type of a phenotypic difference. And this
14 variance is in the untrans -- the five times UTR, and you
15 can see that individuals who were heterozygous for the GA
16 have higher plasma levels in this case of Pravastatin, the
17 individuals who are homozygous for the G allele.
18 Skipping back. One more study with Pravastatin and

19 this is a haplotype. This haplotype contains the 521C. It
20 also contains that promoter region variance, and here you
21 see heterozygous again have higher plasma levels of
22 Pravastatin than the people who are not carriers of this

0277

1 particular star seven.

2 So let me summarize. So there's compelling evidence,
3 and there's some nice reviews in this area, but there's
4 compelling evidence with a variety of different drugs that
5 genetic variance in OATP1B1 is playing a role in drug
6 disposition. So Pravastatin, Rapaglinide, Fexofenadine,
7 Atrosensin [ph.], and Resuvastatin [ph.] have all gotten
8 studies in the literature showing the same trend as with the
9 star five or the 521C allele.

10 So what about chemical inhibition studies? Well, the
11 chemical inhibition studies again are compelling in that the
12 magnitude of the effects are large, but not specific for the
13 transporter, and here's the example that Shiew-Mei presented
14 at the very beginning. Again, Rapaglinide plasma levels,
15 together with these dirty inhibitors; so Itraconazole,
16 Gemfibrozol, and then Itraconazole plus Gemfibrozol. So
17 you can see the plasma levels are increasing. Itraconazole
18 is a CYP3A4 inhibitor and also a P-gp inhibitor.

19 Gemfibrozole is a CYP2C8 inhibitor, and an OATP1B1
20 inhibitor, and this combination of the two together you get
21 an even more increases in the plasma concentration.

22 People have tried to dissect away and this is again

0278

1 gets to be very complicated and I don't know that it's worth
2 their while to do it, but tried to dissect away what is the
3 mechanism for Gemfibrozole. Is it a OATP1B1 inhibition
4 that's occurring or is it the CYP2C8 inhibition, and shows
5 they've done some studies with Trimethoprim, which does not
6 inhibit OATP1B1. It does inhibit specifically CYP2C8, and
7 they don't see as big an effect, so then they, therefore,
8 extrapolate or have extrapolated and said well, then,
9 therefore, this interaction that we're seeing with
10 Gemfibrozole is largely OATP1B1. That may be pushing it a
11 little bit too far, but that's the kind of thing you have to
12 do when you have these dirty inhibitors.

13 So, chemical inhibition is not compelling. It's
14 suggestive. It's not definitive. Genetic studies with
15 these polymorphisms, increasing numbers of genetic studies
16 showing the same trend in replication of class drugs with
17 the in vitro data suggests to me that OATP1B1 was playing an
18 important role in the disposition of some of these drugs.

19 And, therefore, interactions at OATP1B1 could be
20 potentially drug-drug interactions; could be potentially
21 very important.

22 What would you might want -- what might you want to

0279

1 consider?

2 Well, it's too early at this point to really have a
3 long discussion on this, especially since we're really
4 focused on P-gp today, but these are the kinds of studies.

5 Again, you might want to consider thinking about in
6 vitro studies in cell lines, and they're already doing them,

7 as we learned, in a number of different pharmaceutical
8 companies, assessing if your NME is a substrate or an
9 inhibitor.

10 If the in vitro data show evidence of interaction,
11 again there are possibly some drug-drug interaction studies
12 that you could perform. If it's a substrate, Gemfibrozole
13 may be a good compound to inhibit. Rifampin is also another
14 one of these inhibitors that could be used in a clinical
15 drug-drug interaction study.

16 If your compound is an inhibitor, it could possibly --
17 use that inhibit Fexofenadine or one of the statins, for
18 example, Prevastatin.

19 So these are the kinds of things that I think the
20 Committee should take up at some point, and probably not for
21 today. But OATP1B1 being where it is in the hepatocyte,
22 right there on the sinusoidal membrane, controlling access

0280

1 to those enzymes is very important.

2 I should say one other thing, and that is that they
3 did also in a number of studies find pharmacodynamic changes
4 as well. So when Prevastatin doesn't get into the liver
5 because you have a polymorphism in OATP1B1 that translates
6 to a reduced pharmacologic effect on cholesterol lowering,
7 and that was shown, the pharmacodynamics that went along it,
8 as well as some of the quinolones [ph.], so the same kind of
9 thing was shown.

10 So the polymorphism was PK polymorphism, but also had
11 corresponding pharmacodynamic changes which make them, you
12 know, drug-drug interactions potentially even more
13 important.

14 Okay. Let me say a word about the OX and the OATS.
15 These are transporters, and I'm going to talk specifically
16 about the OX and the OATS in the kidney. This is a review
17 article by Lee et al., and it just appeared in 2006, and
18 it's a run on renal drug-drug interactions. So that's where
19 you might find an update on some of the different clinical
20 drug-drug interactions, and I would suggest people look at
21 that and see what goes on there, but on the blood side are a
22 number of different transporters.

0281

1 I'm going to talk specifically about OCT2, and you'll
2 see that that's quite abundant on the blood side of the
3 proximal tubule cell. And then OAT1 and 3 are also very
4 important over here.

5 On the apical side, there are some transporters. I'm
6 not going to talk too much about it. I'll say a little bit
7 about OCTN1. I keep my eye on MATE-1 and OCTN-1 and OCTN-2,
8 because there's increasing data that those transporters may
9 play a role in moving drugs from the kidney cell into the
10 tubule lumen for secretions.

11 So let's see. Let's talk first about OCT-2 and then
12 OAT-1 and 3.

13 So this is a micro-array data just showing relative
14 abundance of mRNA transcripts, which, as you know, may or
15 may not correspond to protein levels that sometimes reflects
16 it. And you can see in the kidney -- this is from the
17 kidneys -- OCT-2 is the most abundant of the OCTs. This is

18 OCT-1 and OCT-3, so it's the most abundant OCT.

19 OCTN-2 is also quite abundant, but it's a quarantine
20 [ph.] transporter and generally doesn't interact with a lot
21 of different drugs.

22 The organic anion transporters you can see OAT-3 is in
0282

1 huge abundance, and this is a very different scale, so even
2 OAT-1, which looks like it's not so impressive is expressed
3 at a much higher MRNA level than all the OCTs.

4 So OAT-3 and OAT-1 are the two anion transporters on
5 the basal lateral membrane that appear to mediate uptake of
6 a variety of different organic anions.

7 One of the take-home messages that I have about these
8 kidney transporters is there are charge-specific inhibitors
9 and charge-specific interactions. So cation transporters
10 interacting with cations. Anion transporters interacting
11 with anions, and there's some overlap, but not too much.

12 So the inhibitors that we'll be discussing tend to be
13 charge-specific inhibitors.

14 Okay. So what's the evidence that OCT-2, OAT-1, and
15 OAT-3 play a role in drug-drug interactions?

16 Well, in vitro again there are numerous studies. I
17 think Shiew-Mei showed us even more studies in which you see
18 an isolated cell, and usually these are in heterologous
19 expression systems where they've over expressed these
20 transporters so they know it is OAT-1 or OAT-2 -- I mean
21 OAT-3 or OCT-2, and you can see there are a number of
22 different substrates.

0283

1 There is overlap between OAT-1 and OAT-3 in terms of
2 some of the substrates that they take up. However, there's
3 also specific OAT-1 substrates. So, for example, Cidovovir
4 and Adefovir and Tenoclovir [ph.] interact with OAT-1, and
5 you cannot find them interacting with OAT-3. So there could
6 be better probes for OAT-1 and OAT-3 in terms of substrates.

7
8 OCT-2 is really right now we think is one of the lone
9 transporters for kidney uptake of a lot of different organic
10 cations, so there are a number of that I'm listing there as
11 substrates for organic cations.

12 So, again, what we have is we've got in vitro data
13 suggesting that structurally diverse molecules are
14 interacting with these anion and cation transporters. They
15 don't mean anything in vivo until we do the in vivo studies,
16 so let's look at what we've got there.

17 Here, transgenic mice -- and excuse me, knockout mice
18 in particular have been very helpful for in vivo studies, to
19 know whether a transporter is important. There are species
20 orthologues of OAT-1, OAT-3, and OCT-2 in between rodents
21 and humans, and those species orthologues do have some
22 specificity differences, but they generally recapitulate the

0284

1 transport activity in humans.

2 There are some recent polymorphism studies which I'll
3 present, and then there are drug-drug interaction studies,
4 and I'll show you those, clinical drug-drug interaction
5 studies there.

6 So knockout mice, this just shows that there is an
7 OAT-1 knockout mouse, an OAT-3 knockout mouse, and an
8 OCT-1,2 knockout mouse. For OCT-2 -- in the human, OCT-2 is
9 the transporter in the kidneys. In the rodents, OCT-1 and 2
10 are both in the kidneys, so you have to knock them both out.

11 So for OCT-2, there's a complete loss. OCT-1, 2 knockout
12 mice there's a complete loss of active tubular excretion of
13 a model compound, tetraethylammonium. For OAT-1 knockout
14 mice and OAT-3 mice using model compounds, they see very
15 clear evidence that these transporters are involved in the
16 disposition of anion substrates for the particular
17 transporters, and those references are there.

18 In terms of polymorphisms, we've got some recent data
19 in my laboratory, and this is not published data, but we've
20 recruited people who have polymorphisms of OAT-3. This is
21 one particular polymorphism, and we've measured the renal
22 clearance of Cefotaxime. We're seeing a small, but

0285

1 significant, difference in the renal clearance of Cefotaxime
2 with genetic variance of OAT-3. I think we'll see more and
3 more studies on the genetic variance of renal transporters
4 and what they're doing to drug disposition.

5 Remember that renal clearance will go down when you
6 inhibit tubular secretion, but only to GFR. You're not
7 going to inhibit the GFR component.

8 So in this particular, we feel that people who are
9 carrying this particular variant of OAT-3 have all probably
10 most of their secretory renal clearance has been inhibited
11 in the people with the variance of OAT-3.

12 This is an interesting study with OCT-N1. We allele
13 -- star one allele of OCT-N1, which takes up Gabapentin very
14 nicely, and then we have a star two allele, which doesn't
15 take up Gabapentin very well. This is empty vector
16 transfected cells.

17 So clinically, when you look at the renal clearance of
18 Gabapentin, which isn't so highly renal we cleared, but
19 those individuals who are homozygous for star one have a
20 certain renal clearance and that's significantly higher than
21 the ones that are homozygous for star two.

22 If we subtract GFR from each one of these individuals,

0286

1 what we find is the net secretory clearance for the
2 individuals with star one is, you know, something positive,
3 maybe 40 mils per minute. And all of the secretory
4 clearance appears, net secretory clearance, is abolished in
5 the individuals who are homozygous for the star two allele.

6 So we're going to start to see genetic studies on
7 these organic cation and anion transporters in humans, and
8 polymorphism studies in humans to me are the most
9 compelling, because knockout mice somebody can always argue
10 that you have species differences and what you're seeing in
11 the mouse will not correspond to what's going on in the
12 human.

13 When you have a polymorphism and you show something,
14 and you have in vitro data, you have fairly compelling
15 evidence that that particular protein is involved in the
16 disposition of the drug.

17 So, again, the knockout mice are available.
18 Polymorphisms are just beginning to come. Chemical
19 inhibition studies, selective but not specific inhibitors
20 are available.

21 So I'm just showing you some examples here of -- so
22 this classical inhibitor for anion transport in the kidney
0287

1 is Probenecid. And there are numbers and numbers of
2 Probenecid interaction studies, and if you look at that
3 review paper that I showed you, they've given you some
4 examples of clinical interactions where Probenecid inhibits
5 the renal clearance of a whole number of anionic compounds,
6 Cephalosporin, Fisplatin [ph.], Gancyclovir, et cetera.

7 So there's a lot of interaction studies there. Again,
8 it will depend upon the magnitude of the renal clearance of
9 the drugs, because all you can get for inhibition is down to
10 glomerular filtration rate in the kidneys.

11 For organic cation transporters, again, looking at
12 that review article, there are least 14 different drug-drug
13 interactions usually using Cimetidine as an inhibitor of
14 different cations and you can clearly see that Cimetidine
15 is inhibiting the renal clearance of in this case maybe some
16 14 different organic cation substrates. And again, that
17 magnitude is dependent upon the magnitude of the renal
18 clearance.

19 This just tells you that the inhibitors tend to be
20 charge-specific Cimetidine and Trimethoprim tend to be used
21 for inhibitors of cation transport in the kidney.
22 Probenecid in general is the inhibitor for anion transport
0288

1 in the kidney.

2 This is something again that this Committee may want
3 to consider: perform in vitro studies in cellular assays,
4 assess if your compound is a substrate of OAT-1, OAT-3, or
5 OCT-2. If your data show it's a substrate for OCT-2, a
6 Cimetidine inhibition clinical study will tell you whether
7 it inhibits -- whether Cimetidine is going to inhibit the
8 renal clearance of the compound. If your compound is an
9 inhibitor of OCT-2, you may want to try it inhibiting the
10 renal clearance of Metformin, and for the OATS -- Probenecid
11 and in the case of this -- you can look at it with
12 Cefazolin.

13 So my feeling right now overall is there's a good
14 compelling evidence that OATP is involved in drug
15 disposition. Drug-drug interaction studies we can do them
16 forever. They will tend to be dirty, and we will not know
17 whether, in fact, it's really related to OATP; we'll just
18 have good data that suggests that it may be related to OATP.
19

20 In the kidney, I feel these interactions are pretty
21 clear. Cimetidine is inhibiting probably OCT-2, since we
22 don't know of another transporter there on the basal lateral
0289

1 membrane, which is being inhibited, and the OATs have --
2 Probenecid has been shown for many years as an inhibitor of
3 the OATs, so those are also clinical drug-drug interaction
4 studies that the Committee may want to consider. Okay.

5 CHAIRMAN VENITZ: Thank you, Kathleen. Any questions
6 for Dr. Giacomini?

7 DR. JUSKO: Hi, Kathy. A very nice presentation.
8 When I hear all about the transporters and drug
9 interactions, I feel like that Dennis the Menace cartoon,
10 where I think my brain is going to explode with all this
11 information.

12 I wonder is there any generalization possible for the
13 renal transporters in terms of structure-function, log P,
14 PKA, and some way of anticipating whether a transporter will
15 handle a particular substrate or interact with a particular
16 inhibitor?

17 DR. GIACOMINI: So, of course, as you know, you'd
18 probably have to try it out, but, of course, they tend to be
19 small molecular weight hydrophilic organic cations that are
20 interacting with kidney transporters OCTs and OATs. They're
21 not the hydrophobic cations and anions, but for me to tell
22 you what the log P or the PKA. You know Cimetidine has a PK

0290

1 -- it's renally secreted -- and it has a PKA of right around
2 seven. You know, so 50/50 at physiologic pHs, so it's
3 somewhere there. You know, somewhere there or greater for
4 the PKAs for bases and acids tend to have PKAs of 3.5,
5 something like that.

6 No real thing. Hydrophilic molecules tend to be
7 substrates. Hydrophobic molecules tend to be more -- can be
8 inhibitors. Okay.

9 DR. LESKO: I think a similar question related to the
10 generalization, but maybe -- now that Bill asked it
11 formally, I'll ask it another way.

12 With the CYP enzymes, we ended up in place where we
13 are today, namely if we do a certain cytochrome, say, 3A4,
14 inhibitory interaction, we then extrapolate the results of
15 that study and say we do some class labeling, and we do that
16 on the basis of things being substrates for 3A4 and being
17 strongly, moderately, or weakly inhibited.

18 In the case of the transporters, and you talked about
19 it, it seems like we're struggling to find substrates that
20 are both specific in terms of inhibiting new molecular
21 entities and in terms of being inhibited by new molecular
22 entities so that each experiment seems to be a one-off

0291

1 experiment; that is to say if somebody were to do these
2 kinds of studies in drug development, how could we
3 extrapolate that result beyond the study that was done to
4 become more generalized like we currently are with the CYP
5 enzymes and if we're not there, sort of how do we -- will we
6 get there or is this not a big enough problem to think about
7 it in the way of extrapolating?

8 DR. GIACOMINI: That's the second question. So to
9 answer your first question first.

10 DR. LESKO: Sorry.

11 DR. GIACOMINI: So in terms of kidney transporter,
12 pretty much, if your compound is a substrate and it has a
13 substantial renal clearance so that you're concerned with
14 the drug interaction in the kidney, if it's an anion, and
15 it's interacting with an anion transporter, Probenecid is a

16 lovely compound to use in drug-drug interaction probes. If
17 you don't see an inhibition in drug-drug interaction renal
18 clearance.

19 So I think that's pretty standard. Almost every study
20 I see looks at Probenecid as an anion transporter inhibitor.

21
22 For cations, Cimetidine is used pretty standardly

0292

1 across the board. So I think can you extrapolate it? You
2 can certainly, if your compound is a substrate, you know the
3 clinical studies to do.

4 Can you extrapolate it to it being an inhibitor?
5 That's a little harder. You know usually substrates like
6 Metformin is a perfect example. It's a great substrate for
7 OCTs. It rarely will inhibit OCT transport. You know, the
8 substrates go through very quickly and are not so
9 hydrophobic, so they're not clinging onto anything and
10 inhibiting it.

11 So there is extrapolation to inhibition studies that
12 need to be done. But not -- I don't know what you mean by
13 class? You certainly can't refute -- you could certainly
14 say that if it's interacting with this transporter, if the
15 renal clearance is important, and it's a cation, do it with
16 Cimetidine. You could say that.

17 So it's pretty -- I think it's pretty clear.

18 For OATPs, that's less clear, because there you've got
19 those three OATPs in the liver on the sinusoidal membrane,
20 so you even have OATP1A2, which I didn't speak about. And
21 there's all this overlapping specificity there, so I don't
22 know about extrapolating that.

0293

1 DR. HUANG: So the question is if your Cimetidine
2 study is negative, then can you put in a labeling on the
3 other OCT inhibitors?

4 DR. GIACOMINI: I don't know about that. Cimetidine
5 is the most potent inhibitor that we've seen, you know, but
6 there are some others that people don't tend to test which
7 makes me wonder about them.

8 But Cimetidine, its plasma concentrations in a
9 therapeutic window are good inhibitory concentrations for
10 organic cation transport; whereas, some of the more potent
11 inhibitors of OCT, their plasma concentrations don't get up
12 that high, especially if you consider the unbound
13 concentration, which is really what's inhibiting the drug.

14 I doubt -- so I guess I say yes if you don't see
15 something with Cimetidine, I wouldn't worry too much about
16 the others, but --

17 DR. HUANG: So to state the question of Metformin is
18 used in a lot of interaction studies that we have seen the
19 interactions done with Metformin.

20 DR. GIACOMINI: As an inhibitor or as a substrate?

21 DR. HUANG: As a substrate.

22 DR. GIACOMINI: Okay. And there -- as far as

0294

1 inhibitors --

2 DR. HUANG: So if you don't see any direction then you
3 might say while this may not affect --

4 DR. GIACOMINI: Right.
5 DR. HUANG: Other --
6 DR. GIACOMINI: You might.
7 DR. HUANG: Okay. This pair is like Ketoconazole and
8 Madazoline [ph.]?
9 DR. GIACOMINI: In a way. Yes. And there could be
10 some exceptions, but it's pretty good to try. That's what I
11 would think.

12 DR. HUANG: Okay. And I have a question about OATPs
13 -- one more.

14 What do you think about the use of whether the
15 clinical effect of using Gemfibrozole and Cyclosporine? You
16 listed that there are no specific chemical inhibitors?

17 DR. GIACOMINI: Yeah. And so because Gemfibrozole
18 will inhibit enzymes as well, but I do like Gemfibrozole
19 myself and I like Rifampin as inhibitors as opposed -- will
20 pick up OATP1B1 for sure, but you may pick up some CYP as
21 well, but you'll at least get that. So it would be a good
22 inhibitor clinically.

0295

1 DR. HUANG: Yeah, I mean, so most of this chemical --
2 I mean we see the submission, this cytochrome is substrate
3 or inhibitor and certainly have been captured, so --

4 DR. GIACOMINI: Right.

5 DR. HUANG: So if we know their and entity then I
6 guess we could use that.

7 DR. GIACOMINI: Right.

8 DR. HUANG: Separate out for that?

9 DR. GIACOMINI: Right, exactly.

10 CHAIRMAN VENITZ: Any other questions? Thank you
11 again, Kathy.

12 Now, let me ask Shiew-Mei to present the questions to
13 the Committee so we can start to deliberate.

14 COMMITTEE DISCUSSION AND QUESTIONS

15 DR. HUANG: So our first question was -- well, the
16 first two questions are the decision trees that we have
17 presented earlier about the how to evaluate a new drug's
18 effect as an inhibitor of P-gp is the first question -- as
19 substrate.

20 So I have presented a chart in which I showed it like
21 this, and so the question for the Committee is are the
22 criteria for determining whether an investigational drug is

0296

1 an inhibitor of P-gp and whether an in vivo drug interaction
2 study is needed, as described in this decision tree
3 appropriately.

4 CHAIRMAN VENITZ: Any comments? Discussion?

5 DR. MCLEOD: Has there been any attempt to put through
6 a database of pas examples to see what this -- how this
7 would fare? You're going to be flagging eight out of 10
8 compounds or one out of 10 compounds? Is this -- are there
9 any positive controls?

10 DR. HUANG: Yeah. One of the inhibitors--a lot of
11 recent submissions, I can talk about the recent submissions
12 because we have more detailed in vitro studies that we
13 reviewed and so, therefore, they're in our system.

14 Quite a few we have that may compare I over IC50, and

15 they're lower than .1, but these sponsors did the study
16 anyway, and the results are negative. And I don't think we
17 have too many cases where I over IC50 is more than .1. And
18 we don't have the data for that.

19 DR. GIACOMINI: So I mean a good example that's -- the
20 .1, as you point out, is arbitrary. I mean you have to pick
21 something, and not be colored by -- and what might be out
22 there, and what people have shown good.

0297

1 But I would -- I mean we have a lot of compounds that
2 inhibit our transporters in cellular assays, but -- and then
3 in clinical their concentrations are right in that same
4 range, but they're unbound; they're highly protein bound,
5 these inhibitors, and their unbound concentrations are way
6 off. We don't get the inhibition in vivo.

7 So do you ever think about using the unbound
8 concentration ratio being -- you know, the I being the
9 unbound concentration or would that -- or would you miss?

10 DR. HUANG: Well, I guess the argument goes back to
11 when we were discussing what criteria to use, when we were
12 discussing whether it's an inhibitor of CYP enzymes, and
13 then at that time, I mean we could use the equation that Dr.
14 Greenblatt showed: One plus I over KI, which is a very
15 simplistic view of a ratio of AUC with inhibitor or without
16 inhibitor.

17 So you have up to .1. You have about 10 percent
18 increase. That is that -- that's a very simple equation.
19 But we have to consider the gut concentrations, the liver
20 concentrations, and a lot of times we're thinking about the
21 systemic plasma concentration may not be representative of
22 the liver concentration. And one of the reasons in our

0298

1 guidance we say we use the total, which is bound, plus and
2 bound, is just try to ensure that we use a more conservative
3 approach so that that's how we set this point.

4 One comment that we got is actually that I may be too
5 low, because considering the concentration at GI, especially
6 P-gp does not affect the intestine, and the concentration
7 was much higher.

8 So our numbers will tend to be more conservative.

9 So we did see quite a few where you wouldn't recommend
10 a study, and it was shown that the allele study in -- there
11 is no interaction. But we do not have a lot of labels to
12 these compounds.

13 DR. THANG: Well, in the literature, all the
14 information we have is we suggest in the probe substrate.
15 They did who that Cyclosporine and Quinidine, and if you did
16 calculate I over KI, there were much more. So they do meet
17 that criteria.

18 DR. GIACOMINI: But do they fall above .2? I mean are
19 they all heavy -- and so you've picked a very conservative,
20 and that's fine.

21 DR. THANG: Yeah, we don't have enough data to say
22 where that cut off should be, but that's -- I think as to

0299

1 say that generating we can P modify this, and, as Dr.
2 Greenblatt just proposed, we should focus on the highly

3 potent region of OATP -- you know, because I'm not sure what
4 his definition of highly potent is.
5 Do you have a proposal?
6 CHAIRMAN VENITZ: I'm asking Dr. Greenblatt to join
7 us.
8 DR. GREENBLATT: Well, I'm not sure I do, but I think
9 it depends on again going back to the exposure response
10 relationship for the substrate. But just to get back to
11 this, what -- we have to remember the limitations of Digoxin
12 as a probe for enteric P-gp. Net wise, it's the efflux --
13 the enteric efflux transport is not that great. The F, the
14 next F, the absolute bioavailability of Digoxin in the
15 uninhibited state is around 60 to 70 percent, so there's not
16 that much efflux transport.
17 If you go up to a hundred percent with complete
18 inhibition of efflux transport that's not much of a change.
19 So Digoxin is not going to be that sensitive for inhibition.
20 You can detect it, but it's not that sensitive.
21 It will be very sensitive for induction, because it
22 can go down a lot; if you greatly up regulate enteric P-gp,