

1 I think we have answered this at least in  
2 part. Let's go through it and make sure we don't have  
3 any other comments. Can CAD affect the diagnosis of  
4 other conditions?

5 I think we said yes in our discussion this  
6 morning. Is there anyone who wants to change that?

7 Can it alter the risk-benefit profile of the  
8 CAD device? We talked this morning about the co-  
9 morbidities and the data suggesting that satisfaction of  
10 search is a problem, and that finding something may make  
11 finding a second abnormality that may be more clinically  
12 relevant difficult.

13 Do we still believe that, or are there any  
14 discussion we need of that?

15 So the answer is yes. Are there specific  
16 conditions that should be represented? If we go back to  
17 our discussion of standalone, let me read you some of the  
18 things that we talked about.

19 Scarring, pneumonia, air space  
20 consolidation, interstitial disease and emphysema were  
21 three confounding variables that we suggested be included  
22 in the standalone test to see their effect on the CAD

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devices.

2 Are there any other conditions that we want  
3 to add to that list at this time? Yes?

4 DR. SPINDELL: Just looking at the question,  
5 I agree, yes, yes, to both. The question going to the  
6 risk-benefit profile really depends on what the  
7 indication for use of the CAD device is, and I don't know  
8 deep a discussion you can get into on the risk-benefit if  
9 we are not sure what the benefit is if we don't know what  
10 the indication for that particular device is.

11 CHAIRMAN GLASSMAN: Well, you are right  
12 except that I think there is data that suggests that  
13 whatever -- if there is a use, that use may hinder the  
14 finding of a coexistent other condition due to  
15 satisfaction of search. So it may not really matter so  
16 much.

17 DR. SPINDELL: I totally agree that it can  
18 influence. The question is the benefit depends on what  
19 you are looking for, and I think that is the question.  
20 So it is hard to -- you can define the risk which I  
21 definitely agree we have to define, but to define the  
22 benefit without knowing what the device is intended use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a little more difficult.

2 CHAIRMAN GLASSMAN: Any comments?

3 DR. STEIER: I agree. The group of findings  
4 we described before of the test database with nodules,  
5 small nodules, sarcoid, et cetera, might lend itself  
6 toward this category as well.

7 DR. ZISKIN: Just one point, Dr. Glassman.

8 CHAIRMAN GLASSMAN: Dr. Ziskin? There you  
9 are.

10 DR. ZISKIN: Just one point. If the  
11 question had been relative to lung cancer rather than  
12 just nodules, I would say we should have non-cancerous  
13 nodules in the test base.

14 CHAIRMAN GLASSMAN: I think we are ready for  
15 a summary, but I don't know what it is.

16 DR. BERRY: Can I react?

17 CHAIRMAN GLASSMAN: Yes please, Dr. Berry.

18 DR. BERRY: So I distinguish between  
19 standalone and reader studies in this regard, and  
20 especially with respect to the last question here. I've  
21 been viewing standalone studies as sort of proof of  
22 concept, the pivotal study being the reader study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The reader study should include clinical  
2 practice representation. It should not have specific  
3 conditions that are hard or easy or eliminated. It  
4 should imitate clinical practice so we know what is going  
5 to happen when this gets out into the world.

6           DR. STEIER: Well, I guess what I was trying  
7 to list is the things that I see in clinical practice  
8 that would be the real things people would see when they  
9 go out into the real world.

10          DR. BERRY: Yes, they would be there, but if  
11 they are very rare, then we shouldn't care about them as  
12 much.

13          DR. STEIER: No, no. I mentioned sarcoid,  
14 septic emboli, pneumonia, things like that which are  
15 relative common, at least in my practice.

16          DR. BERRY: So certainly they should be  
17 included if they represent clinical practice.

18          CHAIRMAN GLASSMAN: And we did include them  
19 in our list, yes. Okay?

20          DR. BERRY: But don't enrich for them. That  
21 is, in the enriched population you are enriching -- or in  
22 the enriched cases, you are enriching the total of cancer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cases, let's say, if we are talking about cancer. But we  
2 are not enriching particular subsets. We are including a  
3 representation of the case population.

4 CHAIRMAN GLASSMAN: I think that is what we  
5 agreed to, yes. Dr. Rosenberg, you had a comment?

6 DR. ROSENBERG: You would include, for  
7 instance, trauma?

8 CHAIRMAN GLASSMAN: That was not something  
9 that we included.

10 DR. ROSENBERG: Would that make sense?

11 DR. STEIER: In the evaluation of nodules or  
12 in what context?

13 DR. ROSENBERG: Well, in the context of  
14 routine CTs are done in patients with trauma and people  
15 get diverted away from looking at the trauma, and they  
16 will miss the nodules. So if you are looking for where  
17 the device might be more useful, it can be where you are  
18 not looking for nodules rather than where you are looking  
19 for them.

20 DR. LEITCH: And that would be a great  
21 example of where you -- you know, the really fortuitous  
22 thing is, basically, a healthy 20-year-old that gets shot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the chest and that you could see something where the  
2 person does not have other things that are going on other  
3 than the trauma.

4 DR. STEIER: Okay, sounds good to me.

5 CHAIRMAN GLASSMAN: Okay, so Ms. Brogdon, we  
6 want to add trauma to our list of confounding things to  
7 be tested.

8 Summarizing L7: We believe that the  
9 effectiveness of CAD would be affected by other disease  
10 presence such as the ones we have mentioned, and they  
11 should be represented in the test database. And we have  
12 listed a number of them.

13 Is that sufficient?

14 MS. BROGDON: Yes, thank you.

15 CHAIRMAN GLASSMAN: Thank you.

16 This ends our discussion on lung CADs. We  
17 are now going to hear an FDA presentation on general  
18 issues related to CAD devices and their future  
19 developments. This will be followed by the second Open  
20 Public Hearing session to give the public an opportunity  
21 to once again direct questions to either the Panel or the  
22 FDA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We will now proceed with Dr. Bilek's  
2 presentation. Stacie, are you here? Oh, there you go. I  
3 got the wrong glasses again. I'm sorry. I really  
4 couldn't see you in that white outfit.

5           DR. BILEK: I am going to go ahead and get  
6 started though while Sunder loads this because the first  
7 couple of slides were just the outline.

8           We began our presentations yesterday with an  
9 overview of the science behind CAD. We described what a  
10 CAD is, the basic components of a CAD, and the clinical  
11 use of a CAD, the tools and methods to evaluate CADs.

12           We then asked the Panel to discuss questions  
13 related to the data necessary to evaluate CADs for three  
14 types of radiological imaging: detection of regions of  
15 interest on mammographic images, detection of polyps on  
16 colon CT, and detection of lung nodules and cancer on CT  
17 or chest X-ray.

18           The information provided on these topics  
19 will be invaluable as the agency works with industry to  
20 continue to bring these technologies to market in a least  
21 burdensome manner and in the development of a future  
22 guidance document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   We are going to ask the Panel to spend the  
2 remainder of your discussion focusing on three areas.

3                   (1) We have several remaining questions  
4 related to the evaluation of CAD in general. These were  
5 the 'G' questions in the questions that we posed.

6                   (2) The prior discussion was intended to  
7 allow you to provide recommendations and advice on  
8 specific CAD devices. However, we believe that your  
9 recommendations can be applied to other types of CAD; and

10                   (3) As users and researchers, you have  
11 insight into types of CAD or CAD-like devices that the  
12 agency may see in coming years.

13                   First, the remaining general questions in  
14 CAD. Next slide, please.

15                   To briefly revisit some of the concepts  
16 reviewed during our background presentation on CAD in  
17 general, the basic building blocks of a CAD detection  
18 algorithm are outlined here. The digital data is  
19 acquired, processed, and segmented. Then features are  
20 identified, classified, and finally annotated for the  
21 user.

22                   This sequence and the details differ between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 algorithms making each relatively unique. We would like  
2 you to discuss the extent of information manufacturers  
3 should provide regarding their algorithm, its training,  
4 and its stability.

5 Evaluation of a CAD may include standalone  
6 testing which was outlined in this diagram from Dr.  
7 Petrick's presentation yesterday. Evaluation of a CAD  
8 can include reader performance testing, which is outlined  
9 in this diagram, also from Dr. Petrick's presentation.

10 Some reader study designs include multiple  
11 readings of the same cases by the same radiologist.  
12 Radiologists tend to have long term recall of cases they  
13 have previously seen. We would like you to discuss  
14 methods for reducing the bias created by this recall,  
15 such as delays between the reads which is often term a  
16 washout period.

17 In reader studies, the control or comparison  
18 group for CAD-aided computer aided reading has typically  
19 been the unaided reading by a single reader. There are  
20 alternative controls, however.

21 They include an unaided double reading by  
22 the same reader. The reader is asked to read once again

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and subsequently to look again to mimic CAD assistance;  
2 unaided double reading by two readers, which is when the  
3 unaided reads are made independently by two readers;  
4 reading aided with a sham control -- excuse me, with a  
5 sham CAD. A sham CAD randomly places marks on the image.

6 We will be asking you a question about these controls.

7           Once a dataset has been collected, an  
8 important consideration is whether or not that test  
9 dataset can be reused in the evaluation of subsequent  
10 algorithm revisions. The ideal approach is to develop  
11 the CAD algorithm, collect test cases, and apply the CAD,  
12 then report the standalone and/or the reader performance.

13  
14           This keeps the testing completely isolated  
15 from the training process. However, on subsequent  
16 algorithm revisions companies may want to compare  
17 performance using the same test cases or an expanded  
18 version of this dataset.

19           It is possible that the CAD developer learns  
20 something by simply knowing how the original CAD  
21 performed on the test data. This could then be used to  
22 produce a revised algorithm using this knowledge.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Therefore after testing a CAD once, the test  
2 cases are no longer completely isolated from the  
3 training. We would like your feedback on the constraints  
4 that should be applied to the reuse of these datasets.

5                   The use of small enriched datasets  
6 frequently leads to study populations that do not match  
7 the target population in key clinical characteristics;  
8 for example, mass size or breast density in mammography  
9 CAD.

10                   The distribution of clinical variables  
11 varies from study to study, limiting the comparability  
12 across studies of the observed CAD performance. A  
13 possible but as of yet, unutilized approach for  
14 mitigating this lack of comparability is to standardize  
15 the statistical analysis by weighing observations  
16 according to a designated standard distribution of the  
17 clinical variables. We are looking to hear feedback on  
18 the feasibility of using such techniques.

19                   The types of analysis methods discussed in  
20 this meeting do have their limitations. We recognize  
21 that research into methods for assessing and analyzing  
22 reader performance continues, and we would like to take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this opportunity to encourage continued development in  
2 these areas.

3 Moving on to the application to other CADs.  
4 We have discussed issues related to the demonstration of  
5 safety and effectiveness for three families of CADs:  
6 mammography, lung, and colon CADs. We have had the Panel  
7 discuss the types of testing that are needed for each  
8 device type.

9 In other words, do they need standalone or  
10 reader studies, the testing dataset, the study endpoints,  
11 ground truth, reader paradigms? We would like the Panel  
12 to discuss the application of these same concepts to  
13 other image analysis devices.

14 Computer based technologies have become  
15 essential in the practice of radiology. These  
16 technologies can incorporate a wide variety of possible  
17 functions from the relatively routine, such as image  
18 archiving or annotation tools, to complex functions with  
19 important clinical ramification, such as a level of  
20 suspicion score.

21 Some of the types of functions you may be  
22 used to seeing in your practice include simple display

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 functions or more complex, semi-automated and automated  
2 evaluation tools. An example of this would be organ or  
3 vascular segmentation, computer prompting tools such as  
4 the CAD detection devices we have been discussing here.

5 I described on the first day the intent of  
6 this meeting was more or less to focus on CAD detection  
7 devices. However, CAD diagnosis devices are on the  
8 horizon and these could be used on physician identified  
9 candidates, examples being ultrasound evaluation of the  
10 breast or evaluation of lung nodules or computer  
11 identified candidates. Again, these would include lesion  
12 rankings on mammography CAde or the probability of  
13 malignancy score for a lung CAde, or it could be brain  
14 perfusion for the diagnosis of Alzheimer's or stroke.

15 A spectrum of testing is possible for  
16 assessing the safety and effectiveness of these computer  
17 based technologies. The spectrum of testing can range  
18 from relatively straightforward validation and  
19 verification testing. It could also include bench  
20 testing with phantoms or limited clinical images.

21 We have spent a great deal of time talking  
22 about standalone and performance testing, and reader

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performance studies, and it is also possible that  
2 specialized clinical trials may be necessary.

3 We would like you to discuss the  
4 applicability of the evaluation methods discussed in this  
5 meeting to various the computer based technologies just  
6 described including CAD detection devices other than  
7 mammography, colon and lung, and (2) CAD diagnosis  
8 devices.

9 CAD detection and diagnosis has the  
10 potential to reach into many areas within medicine. Some  
11 examples related to radiological images include CADs that  
12 would be used to search for cancer in other parts of the  
13 body or to be used with other imaging modalities, CADs  
14 which might be used to guide biopsy and CADs that might  
15 be used to identify non-cancerous abnormalities.

16 Finally, CAD might also be used for  
17 monitoring the response to therapy or disease progression  
18 or to provide some sort of diagnostic assessment.

19 We ask the Panel to spend the remainder of  
20 its time to provide the agency with potential areas of  
21 CAD development that we should be prepared to see in the  
22 future. Anticipation of future developments allows the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agency to respond proactively.

2 As you consider these questions, we would  
3 like to remind you of the agency's obligation to be least  
4 burdensome in our requirements. Thank you.

5 CHAIRMAN GLASSMAN: Thank you. Are there  
6 any questions? Thank you very much.

7 If there are no further questions, we will  
8 now hold the second Open Public Hearing session for this  
9 meeting.

10 You are reminded that the same  
11 identification processes, disclosure suggestions and five  
12 minute time limit announced for the first Open Public  
13 Hearing session this morning still apply to this session  
14 as well.

15 We can now begin the second Open Public  
16 Hearing session, and our first speaker is Dr. Akira  
17 Hasegawa from Fujifilm Medical Systems.

18 DR. HASEGAWA: It is five minutes?

19 CHAIRMAN GLASSMAN: That is correct, five  
20 minutes.

21 DR. HASEGAWA: Thank you very much. I am  
22 Akira Hasegawa from Fujifilm. The title of my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presentation is "CAD Evaluation by ROC?"

2 In this presentation the specific CAD type  
3 we would like to talk about is computer aided detection  
4 for second read. Currently, one of the FDA requirements  
5 for clinical endpoint of CAD approval is to demonstrate a  
6 statistically significant improvement of ROC or FROC  
7 curve of readers by using CAD.

8 We question the logic of using the ROC or  
9 FROC to evaluate the effectiveness of CAD for second  
10 read.

11 Yesterday I explained how CAD for optional  
12 second read in my talk, but I would like to summarize it  
13 again. CAD for optional second read helps readers to  
14 reduce oversight. Here, oversight includes only  
15 perceptual oversight and does not include any cognitive  
16 error which is misinterpretation.

17 It is effective only when readers overlook  
18 some ROIs. Here ROIs include cancers, biopsy proven  
19 benign, and any suspicious areas. When the radiologist  
20 uses CAD as labeled by the manufacturer, more  
21 specifically, CAD is used as second read. CAD will not  
22 have any effect if there is no oversight.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Let's consider what conditions are necessary  
2 to obtain a statistically significant improvement of ROC  
3 or FROC in a reader study.

4           First, we need room to improve. So a  
5 statistically significant number of ROIs need to be  
6 overlooked by readers. Again, this oversight must be  
7 perceptual oversight. Secondly, CAD must help readers  
8 reduce this oversight. This is what we want to prove in  
9 the reader study and to make this happen, the first  
10 condition has to be satisfied.

11           Only when these two conditions are  
12 satisfied, can we logically obtain a statistically  
13 significant improvement of ROC or FROC.

14           Now let's consider what we can conclude from  
15 no statistically significant improvement of ROC or FROC  
16 observed. This is the converse of the logic in the  
17 previous slide. The derivable conclusions are either the  
18 CAD did not work as expected and did not help readers, or  
19 the readers did not miss a significant number of ROIs  
20 although CAD worked as expected.

21           It is important to realize that an ROC or  
22 FROC study for second read CAD cannot identify which of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these two events occurred. It is impossible to  
2 estimate the contradiction of perceptual oversight in a  
3 reader study because oversight occurs like an accident.

4 It occurs unpredictably. It is very  
5 difficult to reproduce in controlled environment. It is  
6 often not case dependent. If it were, oversight would be  
7 repeatable, but it is not. It often depends on the  
8 environment, reader's physical/psychological conditions.  
9 So oversight is a random event and not controllable.

10 Summary: When there is no statistically  
11 significant improvement of ROC or FROC, we cannot  
12 conclude that CAD did not work as expected. Perceptual  
13 oversight is a random event and not controllable.

14 While ROC analysis for CADx may be  
15 appropriate, ROC or FROC analysis for second read CAD may  
16 not make sense if we do not know whether or not oversight  
17 occurred.

18 Thank you very much.

19 CHAIRMAN GLASSMAN: Thank you.

20 Next we have -- I hope I get this right -- a  
21 unique opportunity. Philips Medical and General Electric  
22 are making a single presentation. Roel Truyen from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Philips Medical Systems and Stephen Slavens from GE  
2 Healthcare, and they have five minutes each.

3 Are you coming up one, and then the other?

4 MR. TRUYEN: Yes. We do our show a little  
5 bit like this. So you can imagine if Philips and GE can  
6 join forces here, then something will come out, we hope.

7 So my name is Roel Truyen. I am an employee  
8 of Philips Healthcare, and we are speaking, both me  
9 myself and Stephen, are speaking on behalf of industry as  
10 represented by MITA.

11 MITA would like to take this opportunity to  
12 discuss with the Panel some requirements for data  
13 submission of CAD devices. We will mention some general  
14 principles and then follow it by a specific example of  
15 colon cancer.

16 Now submission data should provide  
17 scientific evidence for the claims made on the device.  
18 Dependent on those claims, the type of study or  
19 experiment to generate this evidence can range from  
20 controlled standalone experiments to full blown  
21 observation studies involving clinical readers.

22 As in all good science, methodology should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be used as published in peer-reviewed journals and other  
2 publications. The fact that these methodologies have  
3 been reviewed and accepted by scientific peers is  
4 sufficient to allow using them for generating this  
5 evidence.

6 While multiple methodologies exist to  
7 generate evidence, there is no reason to insist on using  
8 one particular methodology for data submission.

9 MITA supports the use of standardized  
10 methodologies and wants to actively collaborate with FDA  
11 to define these. Until then, the sponsor may select the  
12 least burdensome methodology to generate scientific  
13 evidence.

14 It is, however, not necessary to extend the  
15 experiments beyond the claims. Although scientifically  
16 interesting, MITA judges that this extension of science  
17 is not required for data submission.

18 As an example, let's discuss colon CAD. The  
19 clinical data used can come from either retrospective or  
20 prospective studies. In the case of retrospective, the  
21 identified cases we propose, informed consent to be  
22 extended from the patient or already given for the study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the second half of the presentation, we will dive  
2 into this.

3 As there is more than one way to provide  
4 scientific evidence, there is also more than one way to  
5 define the ground truth. We agree with the panel  
6 definition made yesterday for colon CADs, but there are  
7 also other ways to do this; for example, an expert  
8 reading panel is also acceptable.

9 That option should also stay open for the  
10 manufacturers because optical colonoscopy will become  
11 less available in future screening studies because CT has  
12 proven its value by now.

13 So we ask the Panel to also consider this  
14 and supplement the recommendations made yesterday.

15 CAD devices are often claimed to work for a  
16 certain lesion size only. Notable methods appear in  
17 literature on the best way to measure size, but the  
18 scientific debate is still going on. Data submission  
19 evidence should, however, not be the place to solve these  
20 scientific debates.

21 We also apply the same general principle to  
22 the reader paradigm claimed in the CAD device. As

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned before, several choices exist, ranging from the  
2 most common second read CADs or the concurrent reads to  
3 the maybe more exotic first read CADs.

4 Our opinion is that the pre-described use  
5 should be tested. Other uses can be interesting from a  
6 scientific point of view but should not be included in  
7 the data submission.

8 Also, the way of performing these studies  
9 should be done according to the state of the art. For  
10 example, the time separation between independent and CAD  
11 assisted read in the second reader paradigm is usually  
12 not done in literature and doesn't have a strong  
13 scientific basis.

14 During the design of a device, we take into  
15 account feedback which is from clinical users of the  
16 device. The device is meant to improve their clinical  
17 practice. Although these improvements are real and are  
18 very much appreciated, they cannot always be measured in  
19 a simulated study environment.

20 While every functionality should, of course,  
21 be tested by the manufacturer, they should not all be  
22 accompanied by clinical evidence. Some obvious examples

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of these types of functionalities are visualization,  
2 automated measurements, image filtering, more generally  
3 speaking, all types of automation and image processing.

4           Actually, we consider them as not being  
5 CADs, and actually more important in the semantics of  
6 being CAD or not being CAD, is the fact that we would  
7 like to propose the Panel to not consider extensive  
8 reader studies to validate these but to consider other  
9 methodologies.

10           Now generating evidence leads to an evidence  
11 paradox. Clinical users require flexibility from our  
12 devices so they can use the device in a way that best  
13 suits their needs.

14           An example is the color or appearance of the  
15 CAD marks. We heard yesterday that they should be large  
16 enough --

17           CHAIRMAN GLASSMAN: I'm sorry, but your five  
18 minutes are up.

19           MR. TRUYEN: Okay, thank you. I would like  
20 now -- I would like to hand over the rest of the  
21 discussion to my colleague from GE, Stephen Slavens.

22           CHAIRMAN GLASSMAN: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. SLAVENS: Yesterday the Panel gave clear  
2 support for the use of registry data in CAD device  
3 training and FDA submission studies.

4 Currently, FDA requires that studies for  
5 submissions are subject to human subject protections  
6 requiring patient informed consent to protect patient  
7 confidentiality. However, current regulations do not  
8 specifically permit informed consent for exceptions for  
9 de-identified images and clinical data in repositories.

10 FDA has not accepted some recent CAD  
11 applications using retrospective data from registries  
12 without study-specific informed consent. By definition,  
13 it is virtually impossible to locate patients in de-  
14 identified collections to consent them. After all, the  
15 patient identities are not linked to their clinical data.

16 As a result, some sponsors have had to  
17 conduct prospective studies in support of their  
18 applications.

19 Now concerning the FDA human subject  
20 protection -- that is, informed consent -- in the privacy  
21 rule HIPAA, many clinicians, research hospitals, and  
22 companies view the requirements of informed consent for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 IVD studies, for example using leftover specimens, as  
2 unnecessary for the protection of human subjects and as  
3 overly burdensome and costly, and IRBs broadly agree and  
4 support waivering informed consent for radiological  
5 imaging studies.

6           Given the situation, the problem is that for  
7 example, in NCI/NIH, in research institution, and  
8 industry-supported registry, sufficient data are  
9 currently not being accepted in many CAD applications to  
10 FDA.

11           This could affect the well known DMIST  
12 mammography and ACRIN colon studies. This fails to  
13 leverage the public's and industry's investments and  
14 delays the availability of devices aimed at improving the  
15 public health.

16           This is not the least burdensome approach to  
17 safeguarding human subjects in bringing new CADs into  
18 clinical practice. Retrospective studies of de-  
19 identified data do not impact diagnosis or treatment of  
20 subjects, are not a health threat, and preserve patient  
21 confidentiality, and there is a waiver provision in FDA  
22 IRB regulations, 21 CFR 56, that could permit IRBs to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 give these waivers.

2           What MITA encourages the agency to consider  
3 is to apply the principles in their guidance on informed  
4 consent for in vitro diagnostic device studies using  
5 leftover human specimens that are not individually  
6 identifiable and, specifically, to declare FDA will  
7 exercise enforcement discretion for the requirements of  
8 consenting for de-identified patient data to include both  
9 the patient images and associated diagnosis in the  
10 definition of data, provided both are de-identified;  
11 permit IRBs to review and waive the informed consent for  
12 de-identified retrospective cases; to advise the sponsors  
13 what procedures they should use prior to conducting  
14 clinical trials to protect subject identity and  
15 confidentiality; to advise sponsors what records they  
16 need to keep regarding the conformed consent issue.

17           In summary of this two-part presentation,  
18 CAD submission data should provide scientific evidence  
19 for the claims of the device. The sponsor should not be  
20 obliged to provide evidence for functions that are not  
21 claimed.

22           To generate scientific evidence, state of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the art methodology should be used as published in peer  
2 reviewed journals.

3 The choice of the scientific methodology  
4 should lie with the sponsor.

5 Procedures must protect patient  
6 confidentiality and de-identified data accomplish both  
7 the HIPAA and the IDE intent. Clinical studies should  
8 thus be allowed to use retrospective data under IRB  
9 waiver of informed consent.

10 Excessive requirements for CAD clinical data  
11 and data for advanced visualization software that is not  
12 CAD are delaying the introduction of useful innovations  
13 to health care.

14 The recommendations that this Panel provides  
15 to FDA are essential to developing guidance FDA and  
16 industry can rely on to advance the technology and  
17 clinical benefits of CAD.

18 We thank the Panel for their thoughtful  
19 deliberations on this important CAD issue.

20 CHAIRMAN GLASSMAN: Thank you very much.

21 Our next speaker will be Pat Milbank.

22 MS. MILBANK: Thank you. I am speaking here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 today on behalf of MITA and at the invitation of  
2 Medipattern, a MITA member and one of my clients.

3 I have been a regulatory attorney and a  
4 consultant for 30 years, and I have focused my practice  
5 on software medical devices and CAD products for the past  
6 15 years.

7 The purpose of this presentation is to ask  
8 the Panel to provide further clarification on two issues  
9 that we have been discussing during this Panel meeting  
10 and among ourselves and with the agency for the past two  
11 years.

12 The first issue is the question of whether  
13 sponsors should be required to conduct off-label studies  
14 for approval of their devices. It should be noted for  
15 the record that Section 513 of the Food, Drug, and  
16 Cosmetic Act provides that the FDA shall establish the  
17 safety and effectiveness of a device based upon the  
18 indications for use proposed by the sponsor.

19 The agency may, of course, require  
20 additional labeling or warnings regarding potential off-  
21 label use, but in light of yesterday's mammo CAD  
22 discussions regarding reader paradigms, the Panel should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be advised that it is inappropriate under the statute to  
2 require sponsors to study their products for off-label  
3 use.

4 For example, products indicated only for  
5 second readers -- second reading models should not be  
6 required to be studied under a concurrent reader model as  
7 well. Companies should not be perceived by the public as  
8 promoting off-label use of their products or required to  
9 study and publish the results of so called off-label  
10 studies.

11 We ask the Panel to clarify that off-label  
12 studies are not required for approval of these devices,  
13 as required by the statute.

14 The second issue we wish to raise involves  
15 the recent requirement of the agency to conduct studies  
16 with washout periods between reading sessions.

17 Yesterday in Slide 25 from Dr. Smith, he  
18 cited the requirements for establishing effectiveness of  
19 a device. The regulation states that effectiveness is  
20 based upon testing the device in the target population  
21 for its intended use and under its intended conditions of  
22 use.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Therefore, we request that the Panel  
2 consider whether the effectiveness of washout studies is  
3 appropriate for use in CAD reader models.

4                   We recommend that the proper study method to  
5 establish effectiveness of these devices is the real time  
6 intended use study design which was originally designed  
7 to meet the regulatory requirements for approval of these  
8 CAD devices. Next slide.

9                   This slide provides two very recent examples  
10 over the past year. They are still pending. The first  
11 example describes a study design proposed by the agency  
12 for colon CAD devices.

13                   Now I want to point out that the sponsor  
14 specified that this product would be marketed for second  
15 read only, and that concurrent read would be  
16 contraindicated. However, the agency required a study  
17 design at baseline reviews, a second read, a sequential  
18 review and followed by a concurrent review which is now  
19 an off-label use. This matter is still pending.

20                   In the mammo CAD field where you heard  
21 yesterday we have four PMAs. They have identical  
22 labeling, and they were tested under identical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 methodologies. Newcomers to the field, however, are  
2 being asked to agree to conduct studies as described here  
3 and to provide non-comparative studies to the four  
4 preceding manufacturers.

5 As you heard -- next slide, I'm sorry.  
6 These requirements for multiple-arm studies with various  
7 washout periods do not satisfy the least burdensome  
8 requirement imposed by Congress.

9 It is also worthy of note, and Sophie  
10 mentioned it this morning, that requiring off-label  
11 studies may also require a re-engineering effort,  
12 including verification and validation to confirm the  
13 product has been designed correctly to conduct the off-  
14 label study which the company will not be able to use.

15 Last slide. In conclusion, we ask that the  
16 Panel carefully consider and support the recommendations  
17 of industry; that the design of studies should be tested  
18 in correspondence to the claims that are being made; that  
19 the sponsors not be required to study off-label uses of  
20 their products, in accordance with the law; that the  
21 standard study design, which for most CAD studies is a  
22 second reader study, be conducted in a simulated clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use environment; and --

2 CHAIRMAN GLASSMAN: I'm sorry. Your time is  
3 up, thank you.

4 MS. MILBANK: Thank you.

5 CHAIRMAN GLASSMAN: Our next speaker is Dr.  
6 Maryellen Giger from the University of Chicago.

7 DR. GIGER: Thank you. I am from the  
8 University of Chicago. I will be speaking on beyond  
9 computer-aided detection going toward computer-aided  
10 diagnosis and quantitative image analysis.

11 I am representing myself. My research is  
12 supported as shown here, and I receive research funding  
13 from R2/Hologic.

14 Okay. The potential of CAD is expanding.  
15 Beyond computer-aided detection, it has a potential to  
16 reduce interpretation errors, reduce variation between  
17 and within observers, improve the visualization of the  
18 image data, improve efficiency of the interpretation, and  
19 yield quantitative measures.

20 Basically, computer image analysis is  
21 becoming an integrated step in the diagnostic decision  
22 making process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           For example, shown here is computer aided  
2 diagnosis in the work-up of suspect lesions. A computer  
3 is being used to help characterize the lesion and  
4 potentially indicate a computer determined probability of  
5 malignancy or a malignancy score, leaving the final  
6 decision to patient management.

7           You see the benign and malignant. The one  
8 in the middle is a little confusing. That is a malignant  
9 case.

10           So let's look at ones that reduce  
11 interpretation errors. Various studies have been shown.  
12 This one by Jiang has shown that the computer can help  
13 radiologists improve their interpretation of clustered  
14 microcalcifications.

15           Besides just giving a malignancy score  
16 systems are now incorporating online databases that can  
17 be searched based either on the lesion characteristic or  
18 on the estimated probabilities of malignancy.

19           An example is shown here, where the case in  
20 question are the upper images, and the computer can show  
21 either a malignancy score, similar cases where the  
22 outline in green is benign, the outline in red is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 malignant, or a malignancy score histogram where the  
2 unknown case is indicated in this case as showing that it  
3 is most likely benign, where here the green is benign and  
4 the red is malignant.

5 This is being extended for ultrasound and  
6 also MRI now. As breast imaging goes multi-modality, so  
7 does CAD. And this study has been shown also to aid  
8 readers in an observer study.

9 Computer aided diagnosis research is also  
10 being performed in chest CT, here as in distinguishing  
11 between malignant and benign lung nodules, and once again  
12 an improvement. This is a study by Lee showing the  
13 computer added.

14 It is also being used to reduce variation  
15 between readers. Studies have shown, for example here,  
16 that use of CAD reduces disagreement between readers,  
17 attendings, residents and so on.

18 Also, it can be used to help efficiency of  
19 the interpretation. For example, in breast MRI where we  
20 have 4D information, we have information overload going  
21 to the radiologist; and with CAD we can take the lesion  
22 segmentation, the extraction of the relevant area of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 breast lesion, look at kinetic data, morphological data,  
2 merge it into a likelihood of malignancy, all within a  
3 matter of seconds now, and this could help the overload.

4 So I just want to end with: to help  
5 translate these, we are going to require many of the  
6 things we did for computer aided diagnosis including an  
7 independent technology assessment institute, and we need  
8 to consider, and I would like the Panel to consider, what  
9 the potential for computer aided diagnosis as a  
10 concurrent read.

11 Basically it would be another clinical tool  
12 along with other tests, both image data and clinical  
13 data, in the diagnostic work-up. Of course, the final  
14 decision would be the radiologist's, who would interpret  
15 all these tools, whether it be image-based, information-  
16 based, computer-based.

17 We need to separate the diagnostic test  
18 performance from the user performance.

19 Going back to this technology assessment  
20 institute, I am concerned that with all these new CAD  
21 devices being developed and submitted to the FDA, we are  
22 going to run out of cases. We are going to run out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 readers.

2 With this institute, it could be tasked with  
3 the performance assessment of new or improved CAD  
4 devices.

5 These would be for standalone tests. It  
6 would be consistent and standardized. When one needed  
7 something tested, they could randomly extract a subset  
8 from the institute's large database, many of them which  
9 were talked about today which we need to protect them  
10 soon.

11 The subset could be selected so it matches  
12 the desired population that is being tested. It could  
13 report only the overall performance scores instead of  
14 performance on individual images, and all these would  
15 help maintain the integrity of the test set and help this  
16 industry move along to get the improvements in technology  
17 to the public.

18 Thank you.

19 CHAIRMAN GLASSMAN: Thank you. Is there  
20 anyone in the audience who would like five minutes to  
21 speak at this time? Please identify yourself when you  
22 get to the podium.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. VASTAGH: Thank you, Mr. Chairman. Not  
2 five minutes, perhaps one.

3 I am Steven Vastagh representing MITA and  
4 the manufacturers.

5 We were pleased, and I was pleased  
6 personally, to hear in Dr. Bilek's presentation a few  
7 minutes ago as she invited your advice and she said she  
8 would be working with industry alongside with her  
9 colleagues to work on this matter.

10 We, the industry, have heard it, and we have  
11 been offering to work with the FDA on this matter, and  
12 would like to reinforce that response to work with FDA as  
13 we go forward to evaluate these issues and come to a  
14 guidance document and resolution of these important  
15 matters.

16 On behalf of the industry, I thank the Panel  
17 for your work in these two days. Thank you.

18 CHAIRMAN GLASSMAN: Thank you very much. Do  
19 any of the Panel members have any questions for any of  
20 our speakers? Dr. Berry?

21 DR. BERRY: Is it okay that I react rather  
22 than question?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Why don't we save the  
2 reaction for the general discussion if you don't have a  
3 question for them.

4 DR. BERRY: Well, this is for a very  
5 specific statement and person.

6 CHAIRMAN GLASSMAN: Still, let's keep it for  
7 the general discussion which will be happening very soon.  
8 Any questions? Yes?

9 DR. CARRINO: I can make my reaction in the  
10 form of a question.

11 CHAIRMAN GLASSMAN: If it's a good question.

12 DR. CARRINO: With the GE-Philips, Philips-  
13 GE combo presentation --

14 CHAIRMAN GLASSMAN: Would the two speakers  
15 please come to the podium?

16 DR. CARRINO: I had two main questions. One  
17 was a general question. They suggested alternative  
18 methodologies, and if they can expound upon what those  
19 methodologies, that would be one question.

20 The second question is with regard to the  
21 washout period which actually is a well-established tool  
22 that is used by people who do observer performance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 studies. If they meant that you could obviate having a  
2 washout period if you did a second reader type study,  
3 where the first initial naive or unaided reading becomes  
4 what you would consider your unaided reading and then the  
5 second read is the enhanced reading -- so I wanted them  
6 expound upon those two things.

7 DR. TOURASSI: Actually, I would have the  
8 same confusion. How is it possible to test a concurrent  
9 paradigm or the first reader paradigm without the washout  
10 period?

11 CHAIRMAN GLASSMAN: We will let you try to  
12 answer that.

13 MR. TRUYEN: Well, I will start with the  
14 third and last question. In the case of a concurrent  
15 paradigm you indeed need an independent read, but in the  
16 case of a second reader paradigm, you can -- the reader  
17 will read the case unaided, immediately followed by  
18 review with the CAD results. And in that case, we  
19 estimate it is not necessary to do an independent read.

20 DR. TOURASSI: I am in full agreement with  
21 that. Sequential reading is well accepted, but you  
22 presented it in a more general way that the washout

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 paradigm is -- it is not acceptable. Yes, everybody  
2 wants to save time, but if a sponsor comes and proposes  
3 the concurrent read paradigm or the first reader  
4 paradigm; yes, blue skies, how can this be proven without  
5 the washout?

6 MR. TRUYEN: Sorry for the confusion that I  
7 caused on that, but it is true that there's not many  
8 options there left, but still the length of the washout  
9 periods is still not yet determined. And if I talk to  
10 our radiological collaborators to say, once you have seen  
11 a case, you remember it even --

12 DR. TOURASSI: Well, if we go by literature,  
13 there seems to be that rule of thumb of one month.

14 DR. CARRINO: Yes, 30 days, it's pretty  
15 standard. It would be hard -- I mean even to get  
16 somebody to reread them in a shorter period of time is  
17 logically hard. I don't think that is burdensome.

18 CHAIRMAN GLASSMAN: I think, rather than a  
19 discussion we have had the answer. You did have another  
20 question, though, about alternative methodologies.

21 DR. CARRINO: Yes, that ROCs may not be  
22 suitable so expound upon the alternatives.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MR. TRUYEN: Well, in the case, specifically  
2 of what I call lung CADs or, let's say, ultimate  
3 measurements, I think the Panel in a previous discussion;  
4 it was already indicated that some standalone testing  
5 possibly using phantoms, scan phantoms, can be sufficient  
6 in there.

7 CHAIRMAN GLASSMAN: Thank you. Any other  
8 questions for -- Oh, yes?

9 DR. KIM: In terms of -- You advocate that  
10 we could substitute an expert panel instead of  
11 colonoscopy as ground truth. Are you saying that for  
12 like, say, greater than 10 millimeter lesions?

13 MR. TRUYEN: Well, depending on the type of  
14 lesion that you want to study. I heard yesterday larger  
15 than 6 millimeters, possibly larger than 10 millimeter.

16 The point that I wanted to make there is  
17 currently optical colonoscopy is still done for larger  
18 than 10, but indeed of smaller ones they will be much  
19 more into the follow-up mode; and instead of having to  
20 wait for three years or whatever to follow up, then I  
21 would propose to also use an expert panel for those  
22 cases. That, in the future will probably not be sent to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 optical colonoscopy right away.

2 DR. KIM: Okay.

3 CHAIRMAN GLASSMAN: Another question?

4 DR. SPINDELL: Same presenter. In your  
5 presentation you had mentioned CAD --

6 CHAIRMAN GLASSMAN: Microphone, please.

7 DR. SPINDELL: Okay, you mentioned in your  
8 presentation CAD, and then you mentioned at the end  
9 something about non-CAD. I got a little confused. So  
10 could you just explain what you meant by non-CAD? I know  
11 what you meant by CAD, but what did you mean by non-CAD?

12 MR. TRUYEN: Well, there was a presentation  
13 yesterday, also MITA presentation, where they made it a  
14 little bit complicated looking at assessment tree.  
15 There, non-CADs were defined based on the human  
16 intervention in that and also the risk analysis was on  
17 there.

18 If you do remember that scheme, on the  
19 bottom right there were some techniques. They say  
20 computer aided measurement or automated measurements of  
21 size, length, volume, visualization, volume rendering  
22 follow-up measurement, growth rate measurements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So there is a lot of, well, automation into  
2 image processing that is happening, that has been  
3 happening for years now that has proven its value; and we  
4 kind of would like to propose to keep on evaluating those  
5 techniques, as we have until now, not necessarily using  
6 reader studies for that. But I agree that the border  
7 between CAD and non-CAD, I think, in that assessment is  
8 relatively clear, if we talk about CAD, the CAD types,  
9 mammo, lung and colon that were discussed today and  
10 yesterday.

11           CHAIRMAN GLASSMAN: Did you have a question?

12           DR. STEIER: I have a question, actually,  
13 for Dr. Giger -- a couple of quick questions. I'll be  
14 brief.

15           In one of your slides you talk about  
16 requiring training of CADx users for proper use. Who  
17 would provide this training? How would it be documented?  
18 What kind of competencies would be expected? What do  
19 have in mind?

20           DR. GIGER: Well, I think training for use  
21 of CAD should -- CAD is going to become an integral part  
22 of radiologists' life, and actually it should be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 integrated from the beginning during residency. However,  
2 because we are starting with folks who are beyond  
3 residency now, there could be training courses.

4 I think it would best be run by academics as  
5 opposed to industry just to keep it unbiased.  
6 Radiologists vary in their performance, some of them  
7 because of lack of training or retraining.

8 DR. STEIER: Okay. Next brief question, you  
9 would require QA of CADx systems, quality assurance? Is  
10 there not quality assurance now?

11 DR. GIGER: Well, because CADx is new, it is  
12 not really out there yet. I just want to make sure that  
13 people just don't put a system in and not keep an eye on  
14 how it is going. It was more of a warning to make sure  
15 that is performed.

16 DR. STEIER: Okay, my last question is your  
17 other comment was the need to separate the diagnostic  
18 test performance from the user, i.e., radiologist  
19 performance. What do you mean by that?

20 DR. GIGER: Well, I believe in reader  
21 studies when they are very properly designed with a  
22 distribution of cases and the distribution of readers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 However, when it is useful just to have a standalone test  
2 to show improvement, sometimes -- I believe those would  
3 work better because you could have a lousy mammogram and  
4 a great radiologist, or a great image and a radiologist  
5 who is not that good at reading mammograms.

6 I think we have to remind ourselves to look  
7 at both the tool and who is using it; and if you do an  
8 observer study and your radiologists are not that good,  
9 you are going to get -- you will get a different result  
10 than if you do an observer study and you have very good  
11 radiologists.

12 So it was more of just everyone, just  
13 remember to keep these two separate, even though they end  
14 up being integrated.

15 DR. STEIER: Thank you.

16 CHAIRMAN GLASSMAN: Yes, Dr. Mittal?

17 DR. MITTAL: A question for Dr. Giger. Can  
18 you expand on your concept of technology institute, and I  
19 assume you are just talking about CAD, not all the  
20 technology. Who will be the sponsor? Who will be  
21 funding it in this time of funding cuts from Federal  
22 government? How do you envision that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GIGER: Well, I think some folks could  
2 see it going beyond CAD, but I just focused on that  
3 today. I have actually thought about this for multiple  
4 years, and it's always amazed me why no one has -- no  
5 entrepreneur has taken it up.

6 To me, it is similar to when you are at the  
7 Emmy, and they get the envelope and it has been verified  
8 by the accounting company or the lottery. You need a  
9 private, not-for-profit company that can collect all  
10 these cases to make sure -- a database is not just a  
11 collection of cases.

12 It is very careful annotation, verification  
13 of the truth, and as more and more companies come about  
14 and they are all trying to do this by working with this  
15 hospital, another one working with another hospital -- to  
16 me, it's a waste of resources.

17 If everyone worked together and put them in  
18 this institute, of course, the database would have to be  
19 large enough so that when you did have a system test, you  
20 could randomly select from the large pot cases that  
21 reflect distribution of your population; and that subset  
22 is what you would do your standalone test on and you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would get a score of 82 percent, and that was it.

2 If you reach the benchmark required by FDA  
3 or someone, then your incremental improvement in your CAD  
4 could go on and be implemented, and I see this as an  
5 efficient way and a resource savings way of getting  
6 technology tested, the standalone ones, into the public.

7 CHAIRMAN GLASSMAN: Thank you. Are there  
8 any other questions? Go ahead.

9 DR. D'ORSI: Maryellen -- Dr. Giger, I just  
10 wanted to clarify in my head what compilation you are  
11 recommending for CADx, and is it combined with CADe?

12 DR. GIGER: It is being -- well, I see it  
13 used right now in the diagnostic work-up where you are  
14 looking at mammograms, ultrasound, MRI. You have patient  
15 clinical data on all of it. You have different  
16 modalities. You have to -- well, I don't know. I'm not  
17 a radiologist. Radiologists have to interpret. So I  
18 have it at the work-up stage, to help with it.

19 CHAIRMAN GLASSMAN: Yes, Dr. Abbey?

20 DR. ABBEY: My question was for Pat Milbank.  
21 So you brought up, I think, a really important topic that  
22 we struggle with, and I would prefer not to have to think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about off-label use at all if I could. I imagine the  
2 rest of the FDA feels the same way.

3 So here is my question. If the FDA approves  
4 a CAD device and it goes out onto the market, and it is  
5 widely used off-label to the detriment of, say in  
6 mammography, the women who have the scans, who is  
7 responsible for that? Has the FDA failed those women?  
8 Has the company failed those women, or have the  
9 physicians failed those women, and how would you assign  
10 responsibility?

11 MS. MILBANK: If it is used off-label?

12 DR. ABBEY: Yes. With no proof -- there is  
13 no way to know from the software --

14 MS. MILBANK: Well, let me clarify that.  
15 You heard from Nancy Brogdon this morning that the FDA  
16 has no authority under what Congress has issued to  
17 require post-market studies on off-label uses.

18 The purpose of my talk was to clarify they  
19 also do not have that authority in pre-approval studies.  
20 Do I think those studies should be done if that's the way  
21 the product has been evolving over time?

22 I agree with what the Panel said yesterday

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 that we are headed in that direction, but to require  
2 those studies at this point in time when we carefully  
3 label and train, then that is a requirement beyond what  
4 Congress has authorized us to do. But should those  
5 studies evolve over time? Should those uses evolve?

6 The studies will have to be done. You will  
7 have to have washout periods though they are not well  
8 established. Have we just found that very smart people  
9 never forget anything they have ever read?

10 I checked with Charles Metz on this at  
11 University of Chicago, and that was his quote of the day.

12 I do agree that we have to watch as the  
13 future evolves. We also work in a business and a legal  
14 environment that we have to deal with, and the companies  
15 have to be able to market products in a way that means  
16 they can successfully provide them on a regular basis,  
17 despite our very unique legal environment in this  
18 country.

19 CHAIRMAN GLASSMAN: Thank you very much.  
20 Let me move on now. The book says that we are supposed  
21 to have a coffee break now, but we came back late from  
22 lunch. So I would like to defer that a little bit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Actually, we started lunch late is the truth.

2 I would like to go into the general  
3 discussion of CAD and future devices. Dr. Berry, I know  
4 you have something you want to say. I would like you to  
5 go first, if you would.

6 DR. BERRY: This refers to Dr. Hasegawa's  
7 presentation that he suggested that we don't have to show  
8 a statistical significance of the ROC, that oversight is  
9 a random event and not controllable, and it makes no  
10 sense to try to prove effectiveness if we don't know  
11 whether or not oversight occurred.

12 I submit that if oversight hadn't occurred,  
13 then we don't need the CAD.

14 The standard of evidence-based medicine is  
15 proof. A hundred years ago we used to do things because  
16 some expert said it worked. Now we require that it be  
17 proven. Statistical significance is the standard. If we  
18 throw it out, we will be going back 100 years or more.

19 It is not necessary, however, that  
20 statistical significance be at .05. .05 is a completely  
21 arbitrary level. In some circumstances, such as treating  
22 a rare disease, .05 is too stringent. But more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generally, it is too liberal, and in the current setting  
2 I think you should take this as a gift, that you can show  
3 at .05 and not have to show something more stringent. It  
4 actually is quite a weak criterion.

5 So I don't want to move back into the dark  
6 ages.

7 CHAIRMAN GLASSMAN: Very good. Thank you.  
8 Other general CAD comments about future developments?

9 DR. CARRINO: I just wanted -- There was a  
10 statement made that IRB waivers for the de-identified  
11 data -- and I think that is totally suitable, and it  
12 should be supported. So if there is a dataset out there  
13 and the patient has already consented to an original  
14 study and is now put in this de-identified database, it  
15 is very common to waive getting consent again for a CAD-  
16 related study, and that should help facilitate doing  
17 these studies.

18 CHAIRMAN GLASSMAN: Ms. Brogdon?

19 MS. BROGDON: This is a very complicated  
20 area, and every time it comes up we have to go back and  
21 re-review the regulations, and why we have taken certain  
22 positions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The studies that FDA reviews for the devices  
2 we regulate are subject to what is called 21 CFR Part 50,  
3 informed consent, and also Part 56 which is about  
4 institutional review boards, IRBs.

5           Part of Part 56 allows an IRB to waive  
6 written informed consent. So what we have advised firms  
7 is that there may be other ways to obtain informed  
8 consent other than strictly in writing. They can contact  
9 the patients and so forth.

10          Because images are de-identified sometimes  
11 creates problems for us because we still need to be able  
12 to audit the data. That means going back to the source  
13 records and comparing that information with what the  
14 companies submit to us.

15          So if there is no connection between the  
16 data that we have to review and the source records that  
17 creates problems for FDA's obligations to audit data.

18          So that is what we are dealing with, and  
19 there are also other regulations that these studies are  
20 not subject to from FDA's point of view that does allow  
21 waiver of informed consent. That is sometimes confusing  
22 to companies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So what we encourage companies to do is to  
2 come and talk to us before they do their studies so we  
3 can discuss the issue of informed consent early.

4           CHAIRMAN GLASSMAN: Thank you very much. I  
5 think that clarifies the fact that we are in over our  
6 heads at this Panel if we try to discuss informed  
7 consent.

8           General questions or comments about CAD in  
9 the future? I know in the later questions -- in fact,  
10 it's the last question of the day -- we get into kind of  
11 the borderline between CAD and no CAD, and what  
12 measurement technologies -- you know, what level of  
13 independent intelligence for a measurement technology  
14 pushes it over into the CAD review.

15           I think all of us would agree that cardiac  
16 scoring for coronary artery calcification is probably a  
17 CAD. Is the automatic measurement of intimal thickness  
18 in a carotid ultrasound a CAD? That's sort of on the  
19 other end.

20           I think one of the things that we are going  
21 to be asked in a little while by me and by the question  
22 is to try and fit the line between CAD and no CAD in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things that are in PAC systems or embedded in ultrasound  
2 machines or CT scanners that are very innovative  
3 measurement tools that require some artificial  
4 intelligence, and therefore, may require some different  
5 level of testing. Or will bench testing with a phantom  
6 be sufficient?

7 Things -- obviously, computer aided  
8 diagnosis is the next big area, and I think we have  
9 touched on the different level potentially of scrutiny  
10 for something that is meant to diagnose a specific  
11 disease rather than to simply identify something for  
12 evaluation.

13 Anybody have any comments about that?

14 DR. STEIER: Well, I have comments, but -- I  
15 guess they kind of tangentially related to that.

16 The two things that occur to me most is the  
17 issue of training and competency in these new modalities,  
18 and who is going to provide it.

19 We require our residents to be competency  
20 trained, our patient care assistants, our nurses and  
21 everybody else in the hospital; and the issue of training  
22 and competency as these new things are developed and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implemented is one thing that seems to be a striking  
2 issue.

3 The other is the preponderance of the off-  
4 label uses of the product as well, using it not always as  
5 a second reader but sometimes as an initial reader or a  
6 concurrent reader, and the issues that are inherent to  
7 that.

8 So I think those are two issues along with  
9 QA, which was mentioned -- so a third issue -- that are  
10 things that will really have to be fleshed out as the CAD  
11 process proceeds.

12 CHAIRMAN GLASSMAN: Dr. Bourland?

13 DR. BOURLAND: Sort of two comments general  
14 and two maybe a little more future.

15 One is in the FDA diagram Step 1 was  
16 acquired digital data. So the modalities are expanding,  
17 and there are issues of image quality and image fidelity  
18 for each of those. So a number of lines will, so to  
19 speak, point to that box or be within that box.

20 We talked a little bit about phantoms  
21 relative to lung, but in fact they are very useful tools  
22 throughout the entire process, and it should not be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forgotten that images can be finessed, adapted, revised,  
2 disease added or subtracted.

3 There are ways to do this so that  
4 essentially you have virtual phantoms by manipulation of  
5 digital image. That could be used for test cases. Maybe  
6 that applies best to standalone.

7 For the future, for lung in particular, we  
8 talked about screening diagnosis in early stages, and  
9 especially small nodules. So just say, the one thing we  
10 are all keeping in the back of our head relative to  
11 radiation treatment, is that we would very early detect  
12 lesions at a very small size, and then with hypo-  
13 fractionated treatment address those with perhaps  
14 ionizing radiation or some other type of ablative side.

15 The question is that, maybe is very much a  
16 CAD approached system that incorporates then, both the  
17 imaging and diagnostic focus, as well as treatment.

18 The one thing I thought about mammography is  
19 -- and this is a question for the radiology colleagues  
20 here -- that we talked a little bit about first reader.  
21 But I wondered about the use of mammography CAD relative  
22 to service for underserved populations, and whether there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was any opinion from radiologists on the appropriateness  
2 of that.

3 CHAIRMAN GLASSMAN: If I can answer that  
4 question briefly, I assume you are implying as an only  
5 read for a mammogram in an underserved population.

6 DR. BOURLAND: I don't know if it would be  
7 only, but maybe the first and not unattended, I guess I  
8 would say.

9 CHAIRMAN GLASSMAN: I think the proof now is  
10 that the better read is the CAD as a second read, and I  
11 don't think that the -- in terms of the speed, while it  
12 does make it a little slower, I think that in the absence  
13 of other data, it would stay that way for now.

14 Data may come that it can be done  
15 differently and done faster, but for now, I think in an  
16 underserved population a lot of the issue is access to  
17 equipment and patients coming in for the exams. It is not  
18 just availability of readers.

19 Other future comments or comments about the  
20 future? They are all future comments until you make  
21 them.

22 DR. SAHINER: So maybe what I will say will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be a paraphrasing of what you said in the first place.  
2 But I think there are many devices that are being  
3 designed to do measurements, and in the FDA document -- I  
4 am just paraphrasing from the document -- it says that  
5 CADx device is designed to process a specific finding in  
6 order to characterize the finding.

7           So, for example, if we have systems that  
8 measure the size of an abnormality over temporal images  
9 to see if the lesion is growing to characterize it as  
10 malignant or benign, or if we have some, again  
11 measurement methods to look at the response to therapy,  
12 would these be considered as CADx devices or CAD devices?

13           I think -- I don't know if we have the  
14 answer now, but I think this is an important issue to  
15 consider.

16           CHAIRMAN GLASSMAN: Other comments? Oh,  
17 yes, Dr. Rosenberg?

18           DR. ROSENBERG: I think the decision of what  
19 is a measurement tool, what is CADe, what is CADx, and  
20 how we divide those, will be an interesting question.

21           CHAIRMAN GLASSMAN: Yes. and we will get to  
22 it in just a little while. Yes?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. FINKEN: One comment in line with what  
2 Dr. Rosenberg said or, actually, I guess it was at the  
3 end of the table there.

4 I do think we need to keep in mind the  
5 quality assurance for all, that this very sophisticated  
6 equipment might not reach down to those levels of people  
7 who are either underinsured or not even insured or in  
8 areas that are too remote to take advantage of these  
9 systems. Just to add that into the comments on the  
10 future.

11 CHAIRMAN GLASSMAN: Thanks, Ms. Finken.  
12 Thank you.

13 Any other comments? I think now would  
14 probably be a good time to take a 10-minute coffee break  
15 instead of a 15. Can we do that and all get back on  
16 time? Thank you very much.

17 (Whereupon, the foregoing matter went off  
18 the record at 3:10 p.m. and went back on the record at  
19 3:22 p.m.)

20 CHAIRMAN GLASSMAN: At this time we will  
21 begin our discussion on the FDA questions related to  
22 general methodologies and future CAD devices. Copies of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the questions are in the meeting handout and on the  
2 tables outside of this conference room.

3 So this is Question G1, G for General.

4 To what extent should sponsors provide  
5 algorithm descriptions, training dataset descriptions,  
6 standalone performance of the device on the training  
7 database, and/or stability analysis of the algorithm to  
8 training as part of the original CAD submissions or as  
9 part of subsequent algorithm updates?

10 I guess this looks at probably some trade  
11 secrets as well as information before the testing done by  
12 the agency or mandated by the agency.

13 Anyone want to begin? Dr. Ziskin?

14 DR. ZISKIN: Well, I am curious about  
15 algorithms, but I don't think that is as terribly  
16 important for me to know the details of it ahead of time,  
17 but I would care very much about the way it was tested  
18 and the test series and so on, about the analysis and  
19 everything beyond that, I think, is very important. It is  
20 just the algorithm itself -- I feel that is probably  
21 proprietary. I don't need to know that.

22 CHAIRMAN GLASSMAN: Yes, Dr. Tourassi?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TOURASSI: I am in complete agreement.  
2 It doesn't really matter what is going on with the  
3 training set. Did they use 10 cases, 1000 cases? Was it  
4 robust? Wasn't robust? As long as the test set has been  
5 independent; there was no biased selection of what the  
6 test cases would be. That's what we care about,  
7 standalone test performance and later performance.

8 CHAIRMAN GLASSMAN: So just to make sure I  
9 understand, we care not about the training of the  
10 equipment but about its performance on the test sets.

11 What about stability of the algorithm?

12 DR. TOURASSI: On the training set?

13 CHAIRMAN GLASSMAN: Yes.

14 DR. TOURASSI: Because that is how the  
15 question is phrased.

16 CHAIRMAN GLASSMAN: Yes.

17 DR. TOURASSI: Doesn't matter.

18 CHAIRMAN GLASSMAN: Any other -- Dr. Dodd?

19 DR. DODD: I would agree that the testing is  
20 fundamentally what is important, but I am not sure I  
21 clearly understand the FDA's role for verifying that the  
22 company has done what they have done and whether they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have happened to pick the particular cut between the test  
2 and training set that is the lucky one, and if there is  
3 any role in that in terms of what they actually -- that  
4 they have to evaluate what the company has claimed they  
5 have done that they have actually done.

6 DR. BOURLAND: Comment?

7 CHAIRMAN GLASSMAN: Comment, yes.

8 DR. BOURLAND: I have a different opinion on  
9 algorithms, and I do not know how thorough this needs to  
10 be, but often algorithms are previously tested. Some  
11 have been published.

12 Yes, they might be protected previously by  
13 patents and things like this before disclosure and use,  
14 et cetera. However, in general, a statement such as  
15 mutual information or something tells the user about the  
16 method that is being used within that algorithm, and  
17 hopefully, the user may have a sense for limitations and  
18 strengths that are associated, and then these would be  
19 borne out by testing.

20 So I think at least the name of the  
21 algorithm, even if it just says least squares fit  
22 gradient function or whatever it might be, is of value.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: You said value to the  
2 user. Did you mean the user or the agency?

3 DR. BOURLAND: I guess both.

4 CHAIRMAN GLASSMAN: Any comment about that  
5 statement?

6 DR. TOURASSI: I am not sure whether there  
7 is going to be value to the user. How many radiologists  
8 would necessarily understand concepts with this  
9 information?

10 DR. BOURLAND: Perhaps it would be a smaller  
11 group of people called physicists or something.

12 CHAIRMAN GLASSMAN: Dr. Watt?

13 DR. WATT: As the end user, I am far more  
14 interested in the labeling that tells me that it has been  
15 tested and tested appropriately. I am not interested  
16 myself in knowing the algorithm itself, and I could care  
17 less about that.

18 I want to know the equipment, that it is  
19 reliable and is going to be functioning in a standard  
20 methodology. So therefore, I have to rely upon the FDA  
21 testing and labeling.

22 CHAIRMAN GLASSMAN: Yes?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SAHINER: I agree with what has been  
2 said about the algorithm descriptions and the training  
3 dataset descriptions maybe, but for stability I think I  
4 have a different view.

5 If an algorithm is not stable to the  
6 training set, it means that the next time the company  
7 comes up with a supplement, maybe training with a  
8 slightly different dataset or changing some of the  
9 parameters, and you see a huge performance in -- you  
10 might see a big change in performance, then it becomes an  
11 issue.

12 So I do believe that some analysis of  
13 stability to the training might be important, and  
14 especially -- not only to maybe the training dataset but  
15 also how the parameters are selected.

16 DR. BERRY: Can I?

17 CHAIRMAN GLASSMAN: Dr. Berry, yes please.

18 DR. BERRY: With respect to telling the user  
19 that its least squares or whatever, I don't think that is  
20 essential, and I would worry about the patent stuff.

21 The role of the FDA -- I mean, historically  
22 -- the FDA, of course, knows what their role is much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 better than I and knows where they are going much better  
2 than I.

3 Historically, the FDA hasn't worried about  
4 those kinds of things, you know, how you got to where you  
5 are. The same thing is true in drugs.

6 I don't want to talk about drugs very much,  
7 but in drugs we screw up royally in the early phases, and  
8 the FDA has now realized that they should be helping  
9 companies to do better in the preclinical and the early  
10 clinical trials. So they have something called the  
11 critical path initiative, which is precisely defined to  
12 go back in and help companies to develop things in a more  
13 efficient way so that they have a better, more focused,  
14 what they call Phase III trial.

15 The same thing, I would encourage the FDA,  
16 CDRH, to do the same thing here to help companies, to  
17 teach them, because they have much more experience at  
18 this sort of thing than the companies do, where they are  
19 going and to configure themselves so that they get there  
20 in the most -- in the least burdensome way.

21 CHAIRMAN GLASSMAN: Ms. Brogdon?

22 MS. BROGDON: One of the purposes of our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 asking this question was to find out whether you believe  
2 that FDA needs this information. It wasn't only a  
3 question about what should be presented to users. It is  
4 also whether you have opinions on what information about  
5 algorithms and other related things should be sent to  
6 FDA.

7 CHAIRMAN GLASSMAN: Dr. Swerdlow?

8 DR. SWERDLOW: One of the things I would  
9 like to know as an end user regarding standalone  
10 performance is, as we have discussed at length over the  
11 last couple of days, are there particular subsets or  
12 types of calcifications or villous adenomas that the CAD  
13 does not perform as well at than others, even if there is  
14 not as much statistical power because there are fewer of  
15 them? I think that is very important to know as a user.

16 CHAIRMAN GLASSMAN: So that would be a  
17 labeling issue, but what about the specifics of algorithm  
18 and the effects on -- the results on training database?  
19 Is that something that the FDA should have access to, or  
20 should their interest begin with the testing phase rather  
21 than the training phase? I think that is the nature of  
22 the question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. TOURASSI: I am so confused with the  
2 amount of information that is requested because even if  
3 somebody comes and says this algorithm uses technique X  
4 to do segmentation and then Bi propagation neural network  
5 to do training, yeah, great.

6 All of this is great information for the  
7 scientists, but still what is the value to that, even to  
8 the FDA? They cannot -- If they don't have access to the  
9 neural network in the actual training data to double-  
10 check everything, does it really matter? Does it give  
11 more value to you to know that it was a neural network  
12 versus some --?

13 CHAIRMAN GLASSMAN: Ms. Brogdon?

14 MS. BROGDON: I would refer you to Dr.  
15 Petrick's talk from yesterday about the stability of the  
16 algorithms, and if you would like, I would imagine Dr.  
17 Petrick could address some of this if you would like to  
18 hear that.

19 CHAIRMAN GLASSMAN: Could you just make a  
20 one or two-minute comment? If you can keep it to that,  
21 about --

22 DR. PETRICK: So let me just clarify. So we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are talking about -- we could be talking about two  
2 different things. What should be presented to the user?

3 What should be presented to the agency?

4 The agency can receive patented information,  
5 proprietary information that is kept out of the public  
6 database, so that it is not something that necessarily  
7 has to go out to the public.

8 The question then is, is the information on  
9 those descriptions useful not just for the original  
10 submission but again, for subsequent submissions that  
11 come in? How would we know whether an algorithm changed  
12 or not, based on what information is provided to us?  
13 That is the basis of the question.

14 DR. BOURLAND: I have a comment on this.

15 CHAIRMAN GLASSMAN: Dr. Bourland?

16 DR. BOURLAND: So I will change what I said  
17 about this being of value to both. I think it would be  
18 of value to FDA, in particular, and it allows an  
19 opportunity for risk assessment.

20 My experience has been very different with  
21 algorithms. I sort of want to know what they are, but I  
22 have had -- yes. So this has been of value because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limitations and the range over which an algorithm may be  
2 possible for use might be limited in some scope.

3 Well, what if FDA, so to speak, is aware of  
4 that relative to that algorithm and then has a dataset  
5 submitted, and the question is do they compute or not?  
6 They ought to match up and things like this.

7 So I could see value for those who are  
8 assessing risk for the device.

9 CHAIRMAN GLASSMAN: Dr. Berry?

10 DR. BERRY: Just quickly, I completely  
11 agree, and I think it is important for the FDA not only  
12 for what Dr. Petrick said but for competitive  
13 circumstances. You know, another company comes through  
14 with another neural network that is doing exactly the  
15 same thing. They will have the intelligence from the  
16 previous setting to be able to guide and possibly help in  
17 the development process.

18 So I think that they've got to build a data  
19 bank to know what the basis was.

20 CHAIRMAN GLASSMAN: Dr. Rosenberg?

21 DR. ROSENBERG: I am not sure if it is more  
22 of a question or a comment. But in terms of stability,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it seems like it could be important, given that there are  
2 subtle changes in the technology, and would that inform  
3 the FDA as to things to be more concerned with?

4 DR. TOURASSI: I think stability is  
5 important, but stability on the testing set, and if we'll  
6 go back to the idea that Dr. Giger proposed, if there is  
7 this repository, repository of images where more cases  
8 can be selected; it is more valuable to see the stability  
9 of whatever is the algorithm to different subsets or  
10 random selections of that collection, rather than say,  
11 yes, it was stable on the training set.

12 So what? Does that stability translate to  
13 the testing set?

14 CHAIRMAN GLASSMAN: Dr. Sahiner?

15 DR. SAHINER: Dr. Tourassi, I think the  
16 question is asking about the stability of the algorithm  
17 to the training, not on the training set but how you  
18 train.

19 DR. TOURASSI: On the training database.

20 DR. SAHINER: Yes, but the second part is  
21 "or stability analysis of the algorithm to training." So  
22 I read it as not the stability of the algorithm on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 training set but how does training affect its stability  
2 on the test set?

3 DR. TOURASSI: Okay, I read it differently.

4 CHAIRMAN GLASSMAN: Dr. Spindell, do you  
5 have any comments that may be helpful to us?

6 DR. SPINDELL: I might. I guess the overall  
7 answer is I don't think it is a big deal to send the  
8 algorithm to the FDA because you might want to have some  
9 of the specific manufacturers more because it is still  
10 protected information.

11 If the FDA feels that would help them in  
12 processing the application in a quicker manner, I think  
13 the manufacturers would not be upset with that.

14 CHAIRMAN GLASSMAN: Thank you for that  
15 observation. We are still stuck between what matters is  
16 the testing phase, not the training phase for the agency,  
17 or that the agency should have access to the training  
18 database as an advantage.

19 I can't possibly summarize that as a  
20 coherent answer, and I, sitting here, see - certainly, I  
21 see both sides of it. So I can't even shade the answer  
22 to the way I believe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   So are there any other comments? Dr. Abbey  
2 or Dr. Garra?

3                   DR. GARRA: No, I agree.

4                   CHAIRMAN GLASSMAN: To which side?

5                   DR. GARRA: Well, I don't know if there is  
6 really two sides to it. I mean, the companies, I think,  
7 should, can, and if they are able to, provide the  
8 algorithm to the FDA, but I think that the comments to  
9 the user, the algorithm is not going to be so important.

10                  CHAIRMAN GLASSMAN: This has nothing to do  
11 with the user. We are talking about to the FDA.

12                  DR. GARRA: Yes, to the FDA, but is it  
13 required? Even the FDA would have trouble evaluating  
14 some of these algorithms, in particular, neural networks.

15                  Beyond knowing that it is a neural network, there is no  
16 way to look inside there and see what is going on.

17                  So the performance during training and the  
18 performance on the tests are what are really going to be  
19 important.

20                  CHAIRMAN GLASSMAN: Training or testing?  
21 You threw both together.

22                  DR. GARRA: Both.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 CHAIRMAN GLASSMAN: Does the FDA need to  
2 know the performance on the training?

3 DR. GARRA: They need to know the parameters  
4 of the training set.

5 CHAIRMAN GLASSMAN: The parameters, but not  
6 the performance?

7 DR. GARRA: Yes, because that gives you an  
8 insight into how stable it is going to be.

9 CHAIRMAN GLASSMAN: Other comments, please?  
10 Dr. Abbey?

11 DR. ABBEY: So there is various ways, I  
12 think, to do this. I'm sort of imagining sort of our  
13 cross-validation approach where you would take case sets,  
14 put it into a training set and a testing phase, and train  
15 your algorithm on the training set, evaluate it on the  
16 test.

17 I guess the stability is in, if you don't  
18 like the answer, can you start re-deciding which one is  
19 the training and the testing, and I think the idea here  
20 is to say -- is to get away from a specific training set  
21 and a specific testing set.

22 I think it is not much more -- at least as I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand it, much more burdensome to do a sort of a  
2 generalized cross-validation approach where you get the  
3 stability as well. So it strikes me -- if I am not  
4 mistaken in that, I would say it is not much of an  
5 additional burden to request stability. But somebody  
6 correct me if that is not the case with the specifics.

7 DR. SPINDELL: I have a question for the  
8 FDA.

9 CHAIRMAN GLASSMAN: Yes, Dr. Spindell.

10 DR. SPINDELL: As part of the evaluation  
11 submission, I was under the assumption you would be  
12 allowed to request something like the training dataset as  
13 part of your evaluation. Is that not true if you needed  
14 that information for the approval?

15 MS. BROGDON: I believe that is true, and we  
16 have done that. I need to ask the staff if there is a  
17 follow-up question here.

18 DR. PETRICK: This is Dr. Petrick. I would  
19 just, I guess, clarify. The details of the algorithm may  
20 be associated with really, the complexity of the  
21 algorithm. So understanding every single detail -- I'm  
22 sure the FDA staff probably isn't going to understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 everything that goes on.

2 The issue is again, understanding details  
3 about the algorithm would give some indication of the  
4 complexity of the algorithm. And the question again, is  
5 whether that is important for the FDA to understand  
6 again, not just on the original submission, but how to go  
7 back on subsequent submissions that come along for the  
8 same device?

9 DR. GARRA: If I could just make a quick  
10 comment here?

11 Although knowing the algorithm per se may  
12 not be specifically helpful, the FDA could be pointed  
13 toward -- usually, algorithms like this are based on some  
14 literature that has been published; oftentimes maybe a  
15 dozen years before or something, or it is in some  
16 military declassified document.

17 If the FDA is made aware of the source of  
18 the algorithm, even though they don't know the specifics  
19 of this particular implementation, oftentimes there is  
20 follow-on papers that talk about stability or instability  
21 in a various algorithm, and it might be helpful for their  
22 evaluation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   So that information should be provided.

2

3                   CHAIRMAN GLASSMAN: Dr. Sahiner, I think you  
4 had a question.

5                   DR. SAHINER: Yes, well I think it is the  
6 same point that Dr. Garra made a moment ago that  
7 complexity and stability are actually intertwined. So  
8 the more complex an algorithm is or the more parameters  
9 it has, it may become less and less stable.

10                  So from that perspective, I think I agree  
11 with the fact that not the algorithm description: that  
12 this is this and this is that and this is how they come  
13 together. I think an order of magnitude of about the  
14 number of parameters used in the algorithm is important  
15 to evaluate the stability.

16                  DR. GARRA: That is a very good comment.

17                  CHAIRMAN GLASSMAN: Ms. Brogdon?

18                  MS. BROGDON: Maybe to simplify things, I  
19 should just turn the question around. Is there anybody  
20 who feels that FDA should not be requesting this sort of  
21 information?

22                  I heard Dr. Tourassi express some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reservations about some of this.

2 DR. TOURASSI: I still don't understand.  
3 Okay, you get information about the algorithm. There are  
4 many parameters. I agree with you. It is complex.

5 Past performance is really good. What do  
6 you do next? The next algorithm, the next revision comes  
7 back even more complex, or some parameters are tweaked  
8 more. But the test performance supports using the  
9 device.

10 Yes, it's great to have the information, but  
11 it needs to be used in a meaningful way other than  
12 subjective assessment. It is complex, moderate complex,  
13 not very complex.

14 CHAIRMAN GLASSMAN: Any other comments? Dr.  
15 Ziskin?

16 DR. ZISKIN: I wanted to address the  
17 question that was brought up of the value of knowing an  
18 algorithm change to see whether it is really important or  
19 not.

20 I don't see where the knowledge of that is  
21 adequate. You would then have to test it anyway to see  
22 whether or not the performance changes. In other words,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a change big or small? Well, it depends upon did it  
2 affect performance.

3 So you are back again to the testing of the  
4 thing, and the details of the algorithm don't really  
5 matter that much. I think it's the testing that really  
6 matters.

7 CHAIRMAN GLASSMAN: Dr. Abbey, you look like  
8 you are about to press the button.

9 DR. ABBEY: My only concern here is that we  
10 have been saying that for a modification of the  
11 algorithm, we might accept only standalone testing; and  
12 so then if we don't know what the algorithm is, how do  
13 you assess the magnitude of a modification as to whether  
14 it goes back for standalone testing or whether it  
15 requires -- it's a substantial enough change in the  
16 algorithm to actually require a revised reader, say?

17 CHAIRMAN GLASSMAN: Dr. Sahiner?

18 DR. SAHINER: I think this relates to  
19 another question that will come up. I think the next  
20 question, the appropriate constraints on the use of a  
21 test set. So some algorithms can be modified in such  
22 complex and particular ways that they may work very well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on a test set that has been used multiple times.

2 If you are just looking at that particular test  
3 set and standalone performance on that test set, then I  
4 think it would be a dangerous thing to do.

5 DR. TOURASSI: I am in complete agreement  
6 with you that the next point is far more important than  
7 the first one, and somehow both are related. But going  
8 back to Dr. Abbey's comment, if this extra information,  
9 the details about the algorithm are going to be used to  
10 assess whether future changes in the algorithm are  
11 substantially not for naught. Yes, then I understand the  
12 value, but just for the sake of getting more information?  
13 I don't see it.

14 So if it is going to be useful because you  
15 need a quantitative measure for that later on to assess  
16 what is substantial change or not, yes. But then, of  
17 course, you will have to come up with measures. So what  
18 is substantial and based on the complexity of the  
19 algorithm?

20 CHAIRMAN GLASSMAN: Not this afternoon, we  
21 won't. But let me try to summarize because I think we  
22 have come to an agreement here, that to the extent that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the agency needs the data to evaluate the stability and  
2 future changes, the data from the standalone performance  
3 and the algorithm details should be available to the  
4 agency as part -- so to evaluate now and for subsequent  
5 algorithm updates.

6 Is that -- do I think we are there now? I  
7 think so. Ms. Brogdon, is that an acceptable -- Is that  
8 a sufficient answer to your question?

9 MS. BROGDON: Thank you, yes.

10 CHAIRMAN GLASSMAN: Okay. now let's get on  
11 to this important one, G2. Not that they are not all  
12 important, but I have just been told this one is  
13 critical.

14 What may be appropriate constraints on the  
15 reuse of test data in order to balance data integrity and  
16 data collection for CAD assessment? Appropriate  
17 constraints, Dr. Tourassi?

18 DR. TOURASSI: Will I start, or will you go?

19 CHAIRMAN GLASSMAN: Please start.

20 DR. TOURASSI: It will go against the least  
21 burdensome approach, but anytime test data is used to  
22 evaluate where the system fails so that future upgrades

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 need to be made and tweaking the algorithm, as I say, the  
2 same test set should not be used again.

3 So I don't know if Dr. Sahiner wants to  
4 elaborate on that.

5 CHAIRMAN GLASSMAN: I think he does.

6 DR. SAHINER: I think that -- I agree that  
7 it is a dangerous thing to use the same dataset over and  
8 over again, and just then it becomes part of your  
9 training set. But on the other hand, I think some of the  
10 knowledge gathered from the previous version has to be  
11 compared with what happens in the next version. And some  
12 very general descriptions like if a company finds that  
13 their performance on calcifications is this much but  
14 their performance on masses is worse, and they have to  
15 concentrate their efforts on the detection of masses,  
16 then I don't regard this as reusing the test dataset as  
17 part of training because it is so general.

18 DR. TOURASSI: I am in complete agreement  
19 with that. What I am saying is that the test set for  
20 that next phase should be supplemented with new cases  
21 somehow and not rely on exactly the same cases from which  
22 we realize that, yes, the algorithm is not doing as well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with masses so let's go back and focus on these type of  
2 masses with this size parameters or this type of  
3 morphology -- whatever is the knowledge we are extracting  
4 from failing in a subset of the test set.

5 CHAIRMAN GLASSMAN: Dr. Sahiner?

6 DR. SAHINER: I agree, but it also depends  
7 on how general that information that was used is. Yes,  
8 you would say you know masses detected at this location  
9 in the image are -- or masses at this location of the  
10 image are undetected, then I think it would be a very bad  
11 use of the test dataset.

12 So I do agree that datasets should be  
13 supplemented, but I don't know how much.

14 CHAIRMAN GLASSMAN: Oh, I think that would  
15 depend on how big the change to the algorithm would be.  
16 With the example of it is a major issue of masses, then  
17 the supplement should be a major issue of masses.

18 On the other hand, if it is very minor, then  
19 you might not need to supplement as much to prove the  
20 point. Is that a reasonable way to look at it?

21 DR. TOURASSI: Yes, but we are supposed to  
22 come up with certain guidelines. As you realize, G2 is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extremely difficult, and we knew from the beginning that  
2 that is a sticky point here.

3 CHAIRMAN GLASSMAN: Right.

4 DR. LIN: Can I ask, should the test data be  
5 replaced or just supplemented if there is a major change?

6 I mean should we have the old test data still present  
7 and then just add cases to that because that can  
8 contaminate?

9 DR. TOURASSI: What is major change? That  
10 goes back to that. I mean ideally it should be  
11 supplemented or changed.

12 CHAIRMAN GLASSMAN: Dr. Garra?

13 DR. GARRA: These are all big, difficult  
14 issues, but one way to handle it is like what Dr. Giger  
15 said. That is, you have a test dataset available, and  
16 they get the results, a summary of the results, but they  
17 are not allowed to use that dataset to train. They don't  
18 get enough information back to train their algorithm, and  
19 then that protects the integrity of your dataset.

20 The point was made by my colleague here, Dr.  
21 Abbey, that -- well, resubmitting it over and over again,  
22 that is affecting training. What you can do is limit the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of accesses to that dataset and then go to a  
2 different one for future tests.

3 CHAIRMAN GLASSMAN: Dr. Sahiner?

4 DR. SAHINER: I was just going to make the  
5 point also that, when you change something in the  
6 algorithm, you don't want the performance to become worse  
7 on the previous test set. So I do believe that maybe  
8 supplementing so that you can also look at how it did  
9 with the previous test sets would be important. But  
10 again, this is the difficult issue.

11 So does it mean that the test set is going  
12 to get larger and larger and will it be a burden? I  
13 don't know.

14 CHAIRMAN GLASSMAN: Yes, Dr. Berry?

15 DR. BERRY: My initial answer to the  
16 question was appropriate constraints are always to  
17 constrain and never supplement and always replace. That  
18 is sort of a most burdensome approach.

19 So I do think that there are circumstances  
20 when you could use some of the data. You might even  
21 argue I am going to use the same data. We can't here  
22 make those decisions. That would have to be something

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the argument that this is a scientifically high  
2 level could be made by a company to the FDA. My guess is  
3 that the FDA would say I'm sorry, go back and get another  
4 test set so that we can understand it.

5 If you are supplementing and you are trying  
6 to analyze the supplementary dataset, it drives  
7 statisticians crazy. I mean, it is almost impossible to  
8 do. A fresh dataset, you can do.

9 We've got to be building, we've got to be  
10 thinking about building settings where you can use data  
11 as they accumulate over time, but false positives and  
12 multiplicities just are everywhere, and the only way -- I  
13 shouldn't say the only way, but the cleanest way is to  
14 say start again; give me a fresh dataset -- maybe it  
15 doesn't have to be as big as the last one -- that shows  
16 that you've got the required sensitivity and specificity.

17 I think the answer to the question that we  
18 should give is that it is appropriate to place really  
19 rigid constraints and relax those only if the FDA is  
20 persuaded by the companies' arguments that those aren't  
21 necessary.

22 CHAIRMAN GLASSMAN: Dr. Dodd, any comment at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all?

2 DR. DODD: I would just agree with Dr. Berry  
3 that I think we should set the appropriate constraints. I  
4 think everybody understands the risks of reusing the test  
5 data although that said, I can foresee some situations in  
6 which you would allow limited reuse of the test data.  
7 But I do think that onus should be upon the company.

8 CHAIRMAN GLASSMAN: Any -- yes, Dr. Garra?

9 DR. GARRA: I just wanted to say that yes, I  
10 think it's okay to put the onus somewhat on the company,  
11 but there is not an unlimited supply of test data out  
12 there within the budget of most companies.

13 So I think the FDA needs to move forward in  
14 conjunction with other government agencies on building  
15 some of these test data sets, just like the American  
16 Board of Radiology is constantly asking us for new test  
17 questions to test the quality of applicants. That is  
18 something that the regulatory bodies need to have for  
19 these companies as well, uncontaminated test datasets  
20 that can supplement the company's efforts.

21 CHAIRMAN GLASSMAN: Any other comments about  
22 this? Go ahead.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LIN: To me, this is analogous to  
2 developing scoring systems in outcomes research, like for  
3 example in NGI we have lots of scoring systems like the  
4 MELD score for liver failure.

5 You know, when we develop the scoring  
6 system, we use a set of data to develop the system, but  
7 then when we validate it, there has to be a completely  
8 separate set of data; and any cross-contamination, I  
9 think, is a major problem.

10 I recognize the burdensome concerns here,  
11 but I would agree with the previous speakers that we  
12 should really be very careful about letting people reuse  
13 test set data.

14 CHAIRMAN GLASSMAN: Dr. Abbey?

15 DR. ABBEY: One additional comment is that  
16 this is a little bit of bringing coal to Newcastle. I  
17 consider researchers at the FDA to be some of the leaders  
18 in the field of looking at effect of training and  
19 testing. So they have a lot of in-house expertise in  
20 this particular issue.

21 The other comment I would make is that the  
22 multi-reader, multi-case is intended to generalize to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population. So at least statistically, it seems to me  
2 that that is the appropriate measure, to use all new  
3 datasets, use a multi-reader, multi-case approach.

4 I would totally agree that that is highly  
5 burdensome, at the same time. So that is, I guess, the  
6 crux of the issue.

7 CHAIRMAN GLASSMAN: Dr. Tourassi?

8 DR. TOURASSI: The whole issue was not  
9 necessarily for the reader paradigm. It was for the  
10 standalone performance, not for the reader study.  
11 Obviously, you are not going to use the same case here.

12 CHAIRMAN GLASSMAN: Okay, Dr. Bourland?

13 DR. BOURLAND: I have a question for FDA.  
14 Would you accept data from a nationally credentialed,  
15 maintained database, and does that make your job easier?  
16 Wait a minute. We don't want to think about that. But  
17 in other words, characterize -- fully characterized  
18 database, et cetera? Is that of value to FDA?

19 MS. BROGDON: I would like to ask Dr.  
20 Petrick to respond to that.

21 CHAIRMAN GLASSMAN: You must have gotten the  
22 short straw today, Nick.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. PETRICK: I think that there are  
2 certainly details that go into how those databases are  
3 developed, but in general FDA has used databases before.  
4 Tissue banks are one example of databases that have been  
5 used in submissions.

6 So I don't think there is anything  
7 inherently that keeps us from using that data. Again,  
8 it's the details of how it is collected, how it is  
9 controlled, and how it is maintained that would be the  
10 details of how it would be used.

11 DR. BOURLAND: And follow-up to the  
12 manufacturers -- is that of advantage to you in any way  
13 for least burdensome?

14 DR. SPINDELL: It would be, but I think you  
15 heard earlier that there is some concern about the FDA's  
16 accepting of data without a verbal informed consent; and  
17 some of those databases, it would be nearly impossible to  
18 go back and get verbal consent from all those people.

19 So I think that issue needs to be worked out  
20 as well.

21 CHAIRMAN GLASSMAN: And that is an issue  
22 beyond the scope of our discussion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Let me try to summarize what we have said  
2 and give everybody a chance to comment on it, and then  
3 maybe we will come up. I think I've got the sense that  
4 the Panel has severe concern about the reuse of test  
5 data, and that optimally a new test set should be  
6 obtained. However, we realize that there will be certain  
7 circumstances where that will either be unnecessary or so  
8 burdensome that a lesser solution would be acceptable,  
9 knowing the quality of the people at the FDA and that  
10 they wouldn't accept anything less than what was  
11 adequate.

12           How does that sound to the Panel?

13           DR. BERRY:     It sounds like you are a  
14 politician.

15           CHAIRMAN GLASSMAN: I'm trying to thread the  
16 needle here to say that we really think that new test  
17 data is the best. However, we realize that that may not  
18 always be possible, and so to make that a blanket  
19 statement is probably overly rigid given what I have  
20 heard over the last 15 minutes; and we are in Washington.

21           Dr. Berry?

22           DR. BERRY:     So why don't you say that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 however, there may be circumstances that the FDA would  
2 accept a partial use of the former test data, something  
3 like that?

4 CHAIRMAN GLASSMAN: I think you said it  
5 better than I tried to say it, but that was what I was  
6 trying to come up with. Is that acceptable? Is that an  
7 answer that helps you or do you need more discussion?

8 MS. BROGDON: I think you have given about  
9 all you can.

10 CHAIRMAN GLASSMAN: Okay. Thank you. Let's  
11 go on to G3. I don't know quite what that meant, but we  
12 are moving on.

13 In a paired design, when each reader reads  
14 images with and without CAD, should there be a washout  
15 period between readings? Secondly, do you have any  
16 suggestions for improving paired designs for reader-CAD  
17 studies?

18 Why don't we take the second one first,  
19 because it may affect the first answer? Any suggestions  
20 for improving paired designs for reader-CAD studies over  
21 what is generally done now? Dr. Spindell?

22 DR. SPINDELL: Could we just clarify exactly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what the question is asking because is the question  
2 asking -- and this was the confusion we had before? Is  
3 the question asking that, if the study is reader and then  
4 reader with CAD -- do they mean a washout period between  
5 those two readings; or is it reading, reading with CAD  
6 and then a washout period before another read?

7 DR. TOURASSI: I think it is both.

8 DR. SPINDELL: You know, we are always  
9 instructed as a manufacturer to test the device in the  
10 intended use setting, and the intended use setting is the  
11 reader reads it and then turns the CAD on and reads it  
12 again.

13 DR. TOURASSI: Actually, I agree with you  
14 based on the discussions we had before. Sequential  
15 reading is the least burdensome. It is accepted. Yes,  
16 the document that we got from FDA outlines some really  
17 nice reading paradigms, but in terms of efficiency, to go  
18 through and do the randomized design, which was beautiful  
19 -- I really liked it, but it requires a lot of effort.

20 So at least for the second reader paradigm,  
21 sequential reading should be more than enough. Now when  
22 it comes to new paradigms of concurrent reading or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first reader, then we have to go through that washout  
2 period.

3 CHAIRMAN GLASSMAN: Yes, I think the answer  
4 is depending on the intended use, and if the intended use  
5 is other than second read, then you don't have that  
6 internal control on the study.

7 So let's go back to the second part again.  
8 Any suggestions for improving paired designs for reader  
9 CAD studies, or are the paired designs that are done good  
10 and sufficient? Dr. Sahiner?

11 DR. SAHINER: Before that, I want to add one  
12 thing to the first discussion point. I know that the  
13 least burdensome way of doing it is the sequential  
14 reading. I just want to point out that, when you are  
15 doing sequential reading and the reader knows that CAD is  
16 going to follow, it actually affects the user's behavior  
17 and it may not be the same in the real clinical use of  
18 the system because when you are clinically using it, you  
19 have time constraints. It is a different way of reading.

20 So I just wanted to point out that there are  
21 some differences between doing a sequential reading  
22 versus a washout time period. But I think for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 purpose of being least burdensome without having a huge  
2 effect on the comparison, I still think that the  
3 sequential design is fine.

4 CHAIRMAN GLASSMAN: Dr. Berry?

5 DR. BERRY: I don't think -- I mean I agree  
6 with you, bottom line, but I don't think it is least  
7 burdensome. I think, as Dr. Spindell points out, it is  
8 the relevant study.

9 I don't understand what you said, Dr.  
10 Sahiner, about the timing or the fact that somebody who  
11 is in a clinical trial or a study is going to do things  
12 differently than they do in the clinical practice. I  
13 mean, that is something we always face in clinical  
14 research, but why the particular bias in this one?

15 DR. SPINDELL: May I answer?

16 CHAIRMAN GLASSMAN: Please.

17 DR. SPINDELL: So one example might be that  
18 a reader, for example, when he or she knows that CAD is  
19 going to follow and has an unlimited time to search, may  
20 search very, very carefully in the image for finding an  
21 abnormality; whereas, in the clinical practice, they may  
22 not have that luxury to spend that time, and they may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 spend less time.

2 DR. BERRY: Okay, well I maintain that we  
3 may not come -- it may not be perfect, but it is as close  
4 as we can get; and if that is a major issue, then we  
5 should try to resolve it by either educating the  
6 physicians or the readers in the clinical trial or  
7 getting a large number of sites in the clinical trial so  
8 that we understand better this issue. But we should try  
9 to do what is relevant, as Dr. Spindell indicates, for  
10 actual use in the clinic.

11 CHAIRMAN GLASSMAN: I don't want to put  
12 words in your mouth, but I think you meant it as a  
13 comment rather than a substantial criticism.

14 DR. SAHINER: Exactly. I meant it as a  
15 comment. I agree that sequential reading is appropriate.

16 CHAIRMAN GLASSMAN: For non-sequential  
17 reading, what about the necessity of a washout period and  
18 if so, how long should it be? We heard 30 days discussed  
19 a little while ago as kind of a standard.

20 First, is it necessary; and second, how long  
21 a time?

22 DR. ABBEY: I think it is another difficult

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue. I'm informed by Harold Kundel who says, if it is  
2 an interesting case, I remember it my whole life. So  
3 probably 30 days is an acceptable standard. It is  
4 probably not perfect, but it probably fits in with what  
5 is reasonable.

6 CHAIRMAN GLASSMAN: The problem is Hal  
7 Kundel never forgot anything, interesting or not.

8 Dr. Berry?

9 DR. BERRY: So if we are trying to get  
10 somebody who doesn't remember, why don't we get somebody  
11 who wasn't there? Why do we insist, if there is going to  
12 be a subsequent read with CAD, that it be the same  
13 individual, the same reader?

14 CHAIRMAN GLASSMAN: Dr. Tourassi?

15 DR. TOURASSI: Because it is going to be  
16 very difficult to control experience levels, behavioral  
17 aspects of the different observers. It's dangerous to  
18 change the readers because all of these studies have a  
19 fairly limited number of readers, and there is so much  
20 variability among them in the behavioral aspects beyond  
21 the expertise and all that.

22 CHAIRMAN GLASSMAN: Any other comments? We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 have obviously stayed on the first part, but that's good  
2 because nobody wants to talk about the second part yet.

3 Any other comments about the first part?  
4 Washout is 30 days. A reasonable number? Anyone think  
5 it is not? Dr. Garra?

6 DR. GARRA: I think it is reasonable given  
7 that it is being used, and at least there is some  
8 validity there.

9 I wonder if, actually, you could shorten it  
10 if the person is seeing a very large volume of cases. I  
11 think it's the number of cases you see between the time  
12 you saw the case in interest and the time you have to  
13 reread it. But I don't know of any studies that prove  
14 that. So I would stay with the one month until we can  
15 demonstrate an alternative.

16 CHAIRMAN GLASSMAN: Any conflicting comments  
17 about 30 days or the need for washout when you have a  
18 paired design study like that?

19 Then any suggestions for improving paired  
20 design studies? Dr. Dodd?

21 DR. DODD: I just have one question. Is it  
22 implicit that the ordering of the reads would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 randomized? I would certainly hope so.

2 CHAIRMAN GLASSMAN: I would assume so. That  
3 would be sort of good practice or best practice. Any  
4 other?

5 DR. BERRY: So just to be clear, you are not  
6 talking about the sequential reading?

7 CHAIRMAN GLASSMAN: No. This is--

8 DR. BERRY: The sequential reading would  
9 always be the reader unaided and then the reader aided.

10 CHAIRMAN GLASSMAN: Right. This is non-  
11 sequential. It's the other paradigm, okay? Can I try to  
12 -- this one, hopefully, will be easy.

13 When there is not sequential reading and the  
14 paired design is used, there should be a washout period;  
15 and the 30 days is standard and supported by the Panel;  
16 and we do not have any suggestions for improving paired  
17 design studies for reader CAD.

18 Is that a sufficient answer for the agency,  
19 Ms. Brogdon?

20 MS. BROGDON: We are deliberating.

21 CHAIRMAN GLASSMAN: Thank you. Is there  
22 something you want us to get to that we haven't gotten

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to?

2 MS. BROGDON: I think Dr. Gwise has a  
3 clarification that he would like to ask for.

4 CHAIRMAN GLASSMAN: Thank you. Dr. Gwise?

5 DR. GWISE: Yes, the specific issue has to  
6 do with the control in the sequential read.

7 This is the unaided modality, and we have  
8 the scoring here. And this is the second modality. We  
9 are comparing the two modalities. The thing to notice is  
10 the first modality is also part of the second modality.

11 Now the question is do you see any  
12 possibilities for bias here?

13 CHAIRMAN GLASSMAN: I take it this is a  
14 sequential read situation?

15 DR. GWISE: This is -- yes, this is a  
16 sequential read. So the comparison is between the  
17 unassisted read to the total.

18 DR. CARRINO: I think you are implying that  
19 because the person -- the radiologist knows that there is  
20 a fail safe, that something is coming along, that they  
21 may not be as diligent in the unassisted scoring?

22 DR. GWISE: That is part of it. Are there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any other possibilities for bias in this situation?  
2 That's the whole point. The washout period here is  
3 essentially zero.

4 DR. CARRINO: We are just asking how lazy  
5 radiologists really are?

6 CHAIRMAN GLASSMAN: We don't want to go  
7 there, trust me on that. On the other hand, this is  
8 clinical practice. I mean, this is exactly the way this  
9 is done.

10 DR. GWISE: That's not -- the point is  
11 having the two modalities separate, comparing it to an  
12 unassisted read, which is one modality -- comparing the  
13 unassisted read to this and having the two separate.

14 CHAIRMAN GLASSMAN: Dr. Abbey?

15 DR. ABBEY: I think the question is, is it  
16 clinical practice when you don't have a CAD algorithm  
17 coming next to help you out, and that is the fear of bias  
18 entering in. I think that is what Dr. Sahiner tried to  
19 address and said that there may be some there. It's hard  
20 for us to assess that.

21 So the issue then would be you do one read  
22 with no CAD, have a long washout, go through the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process, do another read, and then add the CAD in at the  
2 end. That would be the control assuming that the washout  
3 process was good. That would be the most appropriate  
4 thing to do.

5 So then the question is, is it burdensome?  
6 The burdensome rests on whether that bias is substantial  
7 or not, and we are struggling with whether there is  
8 evidence of bias.

9 DR. TOURASSI: I think I know where this is  
10 going. We are supposed to support the randomized  
11 paradigm that was in the document, which was really,  
12 really well designed, where basically the cases are  
13 randomized and the radiologist doesn't know if the CAD  
14 support will follow or not.

15 DR. GWISE: Actually, we are just looking  
16 for your opinion.

17 DR. TOURASSI: And that would be least  
18 burdensome.

19 DR. BERRY: That's good. That's what you  
20 should do, Dr. Gwise, is you know, either it's  
21 preordained and you open an envelop, or you flip a coin  
22 and you get the CAD or not. If you get "not," you can't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do the CAD.

2 CHAIRMAN GLASSMAN: I guess, though, the  
3 question is then the issue of burden and the issue of how  
4 significant is the bias. I think we would all agree that  
5 the way you have described the test, it would be superior  
6 to a simple sequential read. The question is how  
7 superior and how burdensome.

8 DR. TOURASSI: Exactly. When I first  
9 answered this question, I said I read some beautiful  
10 paradigms in the document, but I still wonder -- they  
11 don't fall under the least burdensome approach because  
12 that would require the same case to be read twice with  
13 these two paradigms, the unassisted followed by CAD.

14 CHAIRMAN GLASSMAN: I guess the question is  
15 -- or Dr. Spindell, and then --

16 DR. SPINDELL: I guess my concern here is  
17 before the agency was concerned about people not  
18 following package insert instructions and using  
19 concurrent reads. If we do it the other way, we are not  
20 really mimicking what is going to end up happening in  
21 clinical practice when people -- radiologists will know  
22 whether they have the CAD or not because they bought the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAD.

2 So if you are doing that study, you are not  
3 really using it in the intended use or what the affect is  
4 going to be on the population in the results.

5 CHAIRMAN GLASSMAN: What do we, the  
6 statisticians here on the Panel, think the amount of bias  
7 in the sequential read with the immediate appearance of  
8 CAD is? How significant is that as a bias?

9 DR. BERRY: So we are statisticians. Where  
10 is the data? I mean, I have seen circumstances like this  
11 where -- not exactly this -- where the bias could go in  
12 either direction.

13 You know, you may have a reader who is  
14 really interested in making sure that he or she is not  
15 wrong, and so diligently makes sure that every base and  
16 every point is covered. You may have another one who  
17 says well, you know, CAD is coming along. So it could go  
18 in either direction, but we don't really have any data.

19 A trial like this -- I mean, I appreciate  
20 Dr. Spindell's point, but we can have both.  
21 Unfortunately, it means it is more burdensome in terms of  
22 the company, but we could do something -- you know, one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of my favorite things is be adaptive. And so you start  
2 out looking at the randomization and then morph into  
3 something, which is once you have established something  
4 about the bias that you morph into something which is a  
5 confirmatory aspect where you are just doing the  
6 sequential thing.

7 So the short answer is that, of course, we  
8 don't know, but it could be huge, and it could be in  
9 either direction.

10 CHAIRMAN GLASSMAN: Would it -- let me just  
11 ask -- or Dr. Ziskin?

12 DR. ZISKIN: I think that knowing that the  
13 CAD is going to be used will definitely bias, but I think  
14 it is biased in the right direction because this is the  
15 way that it is going to be performed.

16 The radiologist will know ahead of time  
17 whether they are going to use the CAD afterwards or not.  
18 So I think that it is the more practiced way of doing  
19 things, and my suggestion is to leave it alone.

20 DR. BERRY: So Marvin, the problem is that  
21 in the clinical practice you detect something, and there  
22 is a process, and you say yes or no; and nobody knows how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 you got it. But in a clinical study you are going to  
2 have to write down, you know, this is what I did by  
3 myself and this is what I did with the CAD. That is very  
4 different.

5 There are some people that, you know, just  
6 don't want to be wrong and don't want to be corrected.

7 CHAIRMAN GLASSMAN: You asked for data, and I  
8 think it is a very interesting question. It certainly is  
9 obtainable data. I don't know how large a dataset you  
10 would need to do a non-industry study to compare these  
11 two reader paradigms for, let's say, mammography CAD or  
12 lung CAD, but certainly mammography CAD because there are  
13 any number of cases.

14 Would this be something that would be worth  
15 doing to put this issue to rest? And if, in fact, the  
16 performance is dramatically different, if the agency had  
17 the authority to require that kind of testing, there  
18 would at least be some science behind it.

19 On the other hand, if it was a very minor  
20 difference, then least burdensome becomes in play.

21 Dr. D'Orsi?

22 DR. D'ORSI: Would perhaps setting a time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limit for viewing on this help, sort of getting an  
2 average time? I know in mammo, there is a lot of data  
3 that shows you read them in about 40-45 seconds; and you  
4 could easily just have the picture blank out in 45  
5 seconds, and then have them read with the CAD. I don't  
6 know if that would address some of the issues.

7 CHAIRMAN GLASSMAN: Dr. Garra?

8 DR. GARRA: That would address half the  
9 bias; the guy that is going to be over-thorough because  
10 he is competing with the system.

11 What I would suggest is that a subset be  
12 done because you don't know which direction the bias is  
13 going to be in in any given observer. It could be in  
14 favor of the CAD system or it could be against the CAD  
15 system -- that we will suggest to the FDA that they may  
16 want to require a subset of data for each observer to be  
17 randomized like that.

18 So sometimes they get the CAD; sometimes  
19 they don't, but not all of it -- not all the data would  
20 have to be that way, just enough to establish within  
21 approximate parameters what the bias of that observer is,  
22 and then move forward from there and do the correction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: If you have a number of  
2 observers, could you assume that the bias is randomly  
3 distributed and it would cancel itself out; and  
4 therefore, you wouldn't need to do that? Dr. Abbey is  
5 shaking his head vehemently, no. Okay.

6 Ms. Brogdon, please.

7 MS. BROGDON: If you want to move on to the  
8 next question, we wouldn't be opposed.

9 CHAIRMAN GLASSMAN: Okay, because it is  
10 already tomorrow morning.

11 Let's just say that on G3 the committee  
12 could come to no conclusion.

13 G4: What are appropriate control groups for  
14 reader performance testing? Dr. Dodd?

15 DR. DODD: I don't know that I was raising  
16 my hand.

17 CHAIRMAN GLASSMAN: You weren't.

18 DR. DODD: Are we talking about the  
19 standalone performance? I think we have already  
20 addressed that.

21 CHAIRMAN GLASSMAN: Reader performance  
22 control groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DODD: Right. But if we are talking  
2 about standalone performance, I think we have just given  
3 a lot of discussion to what the appropriate control  
4 groups should be. Are we talking about the concurrent  
5 paradigm?

6 CHAIRMAN GLASSMAN: The question is sort of  
7 open-ended.

8 DR. DODD: Okay. I think, with regard to  
9 the concurrent reader paradigm, an appropriate --  
10 Actually, I'm going to pass for a moment because I need  
11 to give a little more thought to this, sorry.

12 CHAIRMAN GLASSMAN: Thank you. Dr. Abbey,  
13 do you want to take a shot at it?

14 DR. ABBEY: So I think we are in the same  
15 position we were on the last question, actually. It is a  
16 very difficult thing to do where you are trying to  
17 balance burden against statistical appropriateness in the  
18 exclusion of bias.

19 So there is a multi-reader, multi-case  
20 statistical formalism that accounts for all of this. It  
21 is oftentimes very hard to do. If you can make  
22 assumptions about either effect size or biases, you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 simplify that considerably; and the question is probably  
2 best answered by data. Are these safe assumptions to  
3 make?

4 The truth is that some of that probably is  
5 in the literature, but some of it is probably still  
6 unknown.

7 CHAIRMAN GLASSMAN: Dr. Berry?

8 DR. BERRY: What is appropriate is what is  
9 appropriate. The control you want to use is whatever you  
10 would do without the CAD, and how do you do that? Is it  
11 going to be a parallel design? Is it going to be --  
12 parallel designs tend to be shunned for appropriate  
13 reasons that they tend to be huge.

14 The appropriate control in a reader setting  
15 is the unaided. I mean, that is what we have been  
16 talking about, and I think that is least burdensome,  
17 unaided versus with the CAD.

18 Do we do the randomization? Would that be  
19 more appropriate, you know, in line with what Dr.  
20 Tourassi was talking about before? That would be better,  
21 but it does lead to bigger sample sizes.

22 In the setting where you are doing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concurrent read, the question is what would you do if you  
2 weren't doing concurrent? And you would do presumably,  
3 just unaided. So there, the appropriate control is  
4 unaided versus both. That has to be -- unless you can  
5 think of some sort of washout thing. That has to be a  
6 parallel design, and the sample size is correspondingly  
7 big.

8 CHAIRMAN GLASSMAN: Dr. Sahiner?

9 DR. SAHINER: I think one of the things that  
10 might be asked in this question is, for example, is it  
11 appropriate to ask a user, a radiologist, to read the  
12 image again without any CAD to see if there is any  
13 difference, you know, when they look at the image once  
14 and do their detection and then they are told to do it  
15 again, just like they would do in CAD but without any  
16 aid, and would that make any difference?

17 Another control could be compare CAD results  
18 reading with CAD to double reading. Would that be -- I  
19 think it depends on, as you said, what the device is  
20 intended for.

21 If the device is intended for doing  
22 something similar to double reading, then CAD results

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could be compared to double reading results.

2 CHAIRMAN GLASSMAN: Are we simply dealing  
3 here with kind of the answer to G3 all over again which  
4 is, you know, a paired design with the reader reading in  
5 CAD only and being his or her own control 30 days later  
6 by reading the opposite way, either with CAD or without  
7 CAD?

8 DR. BERRY: I think the answer is yes. I  
9 mean, that is an answer. I don't know whether I would  
10 like that if I were on a Panel reviewing something  
11 because I hear Dr. D'Orsi suggesting that he forgets  
12 things because of his age. But I'll bet he remembers  
13 things like the person you were talking about earlier,  
14 and I don't know whether I would think that that was  
15 unbiased. Exactly what can a person remember?

16 I remember, you know, minutia; whereas, I  
17 forget all kinds of things. A parallel design doesn't  
18 have that characteristic, but it does have other  
19 characteristics. It loses the pairing.

20 A cross-over design, which is what we are  
21 talking about here, would be nice except that there is  
22 bound to be some sort of residual from the previous read.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN GLASSMAN:     Is that residual so  
2 important that it invalidates the results? I guess that  
3 is -- in the least burdensome range, that becomes the  
4 question.

5                   DR. BERRY:     So short answer is we don't  
6 know. It could be. The problem is we don't have any way  
7 of measuring it.

8                   DR. ABBEY:     You actually probably could do  
9 an experiment where you tested performance when you know  
10 CAD is coming next versus performance when you know CAD  
11 is not coming. You could design an experiment to assess  
12 bias in that, and it may be that that stuff is out there.

13                   So I would just suggest that our  
14 recommendation to the FDA be that the most appropriate  
15 thing to do is, I think, the parallel studies you  
16 suggested. However, if there is evidence that this bias  
17 is small, then it may be acceptable to use a washout  
18 period.

19                   DR. BERRY:     So there is a long history of  
20 this in drugs where people thought that cross-over  
21 designs would be wonderful, that they would establish the  
22 pair, and what they found was that there was this sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 residual effect, and they couldn't get rid of it.

2 They said, okay, so here is what we'll do.  
3 We will build a trial and, if it turns out that there is  
4 bias, then we will use only the first period so that half  
5 of them get the one first and the other half get the  
6 other first. So we will forget about the second period.

7 It turned out that they were forgetting  
8 about the second period most of the time, and so cross-  
9 over designs are now in the drug world pretty well not  
10 used because of these problems.

11 CHAIRMAN GLASSMAN: Yes, Dr. Dodd?

12 DR. DODD: I was just going to add to Dr.  
13 Abbey's point, that you could potentially embed that kind  
14 of test into your study by throwing in some percentage of  
15 sham CADs to evaluate whether there is an effect. That  
16 introduces other problems, but --

17 CHAIRMAN GLASSMAN: I think I don't have a  
18 summary.

19 DR. TOURASSI: It seems to me that we are  
20 complicating the issue too much. The question is what  
21 should be the control group. What Dr. Berry said in the  
22 beginning, the control should be whatever is the current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practice. Is it single reading? Is it double reading?  
2 Whatever that is, that should be the control group.

3 Now, how the study will be -- we are not  
4 going back to whatever point before. This is just what  
5 should be the control group? How the study will be  
6 designed, we addressed it before, hopefully.

7 DR. CARRINO: Yes, I agree. I think that  
8 has to be the way radiologists practicing with the  
9 unaided reading because we are having all this  
10 consternation about trying to get around it, but it is  
11 probably not valid. We are guessing. People are  
12 throwing in, I don't know, whatever, to try and get  
13 around it. But the bottom line is that that is probably  
14 the standard for what we know right now.

15 CHAIRMAN GLASSMAN: So would anybody object  
16 to the recommendation being just what Dr. Berry said.  
17 That is, the unaided normal conduct of the radiologist as  
18 the control group to the radiologist with CAD?

19 DR. TOURASSI: My only comment here is that  
20 we need to leave it more general, whatever is the  
21 standard practice. If the standard practice for whatever  
22 application is double reading or triple reading, whatever

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is the standard practice.

2 CHAIRMAN GLASSMAN: The standard practice  
3 without CAD versus the standard practice with CAD.

4 DR. LIN: I think Dr. D'Orsi's point about  
5 time limitations is actually a good point. That might be  
6 a way to address this issue. This concern that we are  
7 having with people not behaving like what they normally  
8 would when they single read these films.

9 So, for example, somebody who takes 60  
10 seconds to normally take a mammogram. We need to make  
11 sure that when they are in the study that they are not  
12 taking five minutes because they know that CAD is coming,  
13 and they don't want to look bad compared with the CAD.

14 So I just wanted to throw that out.

15 CHAIRMAN GLASSMAN: Does the rest of the  
16 Panel think that that is an important -- Dr. Spindell?

17 DR. SPINDELL: I also think if that is the  
18 case, we can't let them take five seconds either. I mean  
19 if they need to take 60 seconds, they need to take 60  
20 seconds. If they take five seconds because they know  
21 they have CAD coming, that is going to bias the results  
22 also.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Dr. Garra?

2 DR. GARRA: Can I suggest that we instruct  
3 them carefully to take the normal amount of time and  
4 record the times?

5 CHAIRMAN GLASSMAN: Dr. Rosenberg, and then  
6 I want to try to sum up on this one.

7 DR. ROSENBERG: I think it is important, but  
8 I worry also that who the control group is, which  
9 radiologists, might even be a bigger issue. We haven't  
10 even talked about that.

11 CHAIRMAN GLASSMAN: Okay. Let me summarize.  
12 I like that. I like that.

13 The appropriate control group is a group of  
14 radiologists who practice as the standard of care without  
15 CAD of a professional background similar in experience to  
16 the group that reads with CAD. If they are all academic  
17 radiologists or all private practitioners or a mix, that  
18 that mix should be reflected in the other group.

19 Is that acceptable to the Panel? Is that  
20 acceptable? Does that answer the question for the  
21 agency, Ms. Brogdon?

22 MS. BROGDON: Yes, it does. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Thank you. Okay. G5 is  
2 a long question, and we are getting close.

3 Please comment on the appropriateness of  
4 using a standardized weighted analysis as a primary or  
5 secondary analysis of a CAD study. The standardized  
6 analysis weights observations according to a standard  
7 distribution for important clinical variables thought to  
8 be representative of the target population.

9 Dr. Dodd?

10 DR. DODD: Since you are looking at me, I  
11 thought we had addressed this yesterday when Dr. Berry  
12 was suggesting that for reader studies we do some  
13 enrichment in terms of the disease status but we don't  
14 control the proportion and micro-manage the proportion of  
15 the different subtypes of interest within that.

16 So if that is the case, I don't think,  
17 particularly for a primary analysis that you need to do a  
18 weighted analysis. For a secondary analysis, I think  
19 it's fine because it is a secondary analysis.

20 CHAIRMAN GLASSMAN: Dr. Berry, does that  
21 accurately reflect what you said yesterday? And do you  
22 think that is the correct answer now?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BERRY: Yes, I think that is the correct  
2 answer now. But I would distinguish between standalone  
3 and the reader; and in the standalone setting, which  
4 again I regard to be sort of proof of concept, then you  
5 could do the kind of analysis where you include extra  
6 trauma patients and include extra challenging patients  
7 and do some sort of a weighted analysis. But I don't  
8 regard that as being the definitive analysis that is  
9 going to get your product cleared.

10 CHAIRMAN GLASSMAN: Dr. Abbey, any comment?

11 DR. ABBEY: I guess I'm just back to the  
12 issue of burden again. If it is difficult to get enough  
13 cases in one category and you can weight it by knowing  
14 that, okay. We didn't have that many cases, but we will  
15 expand the effect -- in other words, we will give this a  
16 higher weight because we know it is important -- or we  
17 are able to weight it and then approximate that. I guess  
18 the concern is that do you ever really know what that  
19 standardized distribution should be? I wonder if it  
20 isn't easier.

21 CHAIRMAN GLASSMAN: Dr. Tourassi, a comment?

22 DR. BERRY: So if you are going to do, let's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say, a confirmatory study where you are weighing  
2 according to the challenging cases and you are trying to  
3 understand how many challenging cases are there in the  
4 population we get -- what we want to do is have a  
5 representative case mix, and the question is what is a  
6 representative case mix, and that would establish the  
7 weights?

8 It is an extra level of complication. It's  
9 hard, and moreover, it is kind of black box-ish. So when  
10 a company is presenting to a Panel like we are, the Panel  
11 wants to see things that are quite simple, you know, that  
12 are here is specificity and this is the way we calculate  
13 it; here is sensitivity, this is the way we calculate it  
14 and, oh, by the way, we used this weighting that was  
15 based on such and such.

16 So then the challenge becomes what about --  
17 you know, this alphabet soup, BCSC or whatever, did it  
18 have the right -- it raises a whole other set of  
19 problems. So keep it simple.

20 DR. TOURASSI: Yes, I agree. That was my  
21 first impression. I was confused a little bit by your  
22 comment. It looks like an interesting mathematical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exercise, but it doesn't seem to serve the end user.  
2 That information is going to be meaningless to  
3 radiologists.

4 I think the substrata analysis that we  
5 discussed before, even for the standalone performance, is  
6 going to be much more useful to the radiologist to know  
7 explicitly this is the sensitivity and specificity for  
8 these type of cases or the other type of cases, much more  
9 than having one performance index according to some  
10 weighted distribution, which is totally theoretical.

11 CHAIRMAN GLASSMAN: Dr. D'Orsi?

12 DR. D'ORSI: Also I think the weighting  
13 might actually in some instances skew against what the  
14 results in a patient might be. So I think that is  
15 another negative effect that could happen.

16 For example, a small cluster of micro-  
17 calcifications, you can argue, if I miss it, it is  
18 probably DCIS. I'll pick it up next year, but you would  
19 get a higher weighting because it is a more subtle  
20 lesion.

21 So I think I agree that that is going to  
22 bring up a lot of problems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 CHAIRMAN GLASSMAN: Dr. Dodd?

2 DR. DODD: I also want to emphasize, I am  
3 not clear how much more burdensome it is to do it the way  
4 we are proposing because in order to do this, you also  
5 rely on having good estimates of what the effect is in  
6 the substrata. So by the time you do all of that, you  
7 may, in effect, have almost as many cases as you would  
8 have if you just did sort of a more random sample.

9 CHAIRMAN GLASSMAN: Dr. Abbey?

10 DR. ABBEY: So my concern is you have  
11 collected 1,000 cases, and for some bizarre reason you  
12 only have 10 micro-calcification cases in there. So you  
13 can't do the study even though you have collected 1,000  
14 images. You've got to keep on going and get more until  
15 you get enough cases to build that representative  
16 distribution up.

17 So whereas with a weighted analysis, you  
18 would say, well, we only got 10, we should have had 50;  
19 so we will just weight the performance in that strata by  
20 a factor of five. So the weighting should be incidence-  
21 or prevalence-based, not based on subtlety or something  
22 like that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Dr. Berry?

2 DR. BERRY: So the problem is that you  
3 didn't, in fact, get a representative sample, right? If  
4 you get a representative sample, you are bound to see  
5 some unusual things associated with it, but that is the  
6 luck of the draw. You run the study on the sample.

7 If the sample was really taken as  
8 representative in some practice, then even you got only  
9 10 micro-calcifications and you should have gotten more,  
10 there was no particular bias in the way you got it. In  
11 fact, you don't know that the particular population that  
12 you are sampling -- breast cancer, for example, is  
13 changing incredibly over time for a number of reasons,  
14 and it is conceivable that the 10 is the right number.

15 The onus on the company should be to get  
16 something that is representative of the cancer and, if  
17 you did that and if you've done a quality job of getting  
18 that representative sample, then you run the CAD and the  
19 study on the basis of that, and the FDA should accept it.

20 CHAIRMAN GLASSMAN: So if I can try to  
21 summarize, and I may get this 180 degrees wrong because I  
22 got lost about 10 minutes ago. Let me try.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The Panel believes that there are many  
2 problems with weighted analysis and that the FDA should -  
3 - and the Panel is not in favor of weighted analysis as a  
4 statistical test and that the hope would be that the  
5 initial group of patients would be properly accrued so  
6 that this would not be necessary.

7           I open it up for comments by the Panel.

8           DR. BERRY: I like what you said.

9           CHAIRMAN GLASSMAN: Dr. Abbey, does that fly  
10 for you or would you like --?

11           DR. ABBEY: I guess, as long as we  
12 acknowledge that may be somewhat more burdensome in some  
13 cases.

14           CHAIRMAN GLASSMAN: And it may be more  
15 burdensome in some cases. Is that an answer that the  
16 agency can use?

17           MS. BROGDON: Yes, thank you.

18           CHAIRMAN GLASSMAN: Okay, Fl. We are  
19 getting close. Oh, we are doing great. Don't let me  
20 down.

21           Future Issues with CAD -- we have focused  
22 thus far on devices that are used primarily for computer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aided detection. Do you have comments on the types of  
2 testing needed for computer aided diagnostic devices,  
3 compared to the types of testing that we have talked for  
4 computer aided detection?

5 I think we have said that the bar would have  
6 to be higher, but how high and in what way potentially  
7 for diagnosis, which in fact takes some of the  
8 radiologist function away, is the way I see diagnosis.

9 I mean, the radiologist will still  
10 ultimately have the final say if we are talking about a  
11 radiology CAD, but that there will be a lot more data  
12 which may help or not the radiologist in terms of  
13 probability, of say cancer, if we are dealing with  
14 looking at nodules in one place or another.

15 Should the testing be more rigorous, and if  
16 so, how? Should the endpoints be different? Any  
17 comments from anyone? Oh, Dr. Leitch?

18 DR. LEITCH: Well, for the tests where you  
19 do have a lexicon of diagnoses, you can hold the device  
20 to that standard. So you could say, on its BI-RADS  
21 category predictions, do they come out as the proportion  
22 of cancer cases as one would expect for that BI-RADS

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 category? And hold it to that standard because that is a  
2 standard you hold the radiologist to.

3 So you can -- that can be the standard that  
4 you have where you have the lexicon that's pretty easy  
5 like that. Where you don't, it can then be more complex  
6 and may come down to kind of making it perform a little  
7 better than average.

8 CHAIRMAN GLASSMAN: If the device doesn't  
9 give a definitive answer but a likelihood which is  
10 probably more likely than a binary, you know, BI-RADS-  
11 2/BI-RADS-3 kind of thing where it is a .7 probability of  
12 carcinoma as opposed to .3 for another lesion, it would  
13 be harder to do that.

14 How do we assess that performance? Is there  
15 a statistical way? Do we compare it to an expert panel  
16 who has looked at the same case? Do we require pathology  
17 gold standard proof for the first couple of things that  
18 come along? Dr. D'Orsi?

19 DR. D'ORSI: We do have data on the BI-RADS  
20 separations, 3, 4A, 4B, 4C, and 5 with the percentages of  
21 cancer in each one of those. So you have a database that  
22 is in the literature. It is young yet, but it is in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 literature.

2           So you have some numbers to compare with.  
3 What you have to do, I think, is do what you do in  
4 clinical practices and compare PPVs with and without this  
5 device and see how often it hits cancer. And then  
6 compare it to the levels of concern that the operator  
7 puts on via BI-RADS.

8           Now BI-RADS is ordinal, but it is not equal;  
9 and that can skew ROC analysis a little bit, but at least  
10 it is ordinal.

11           The other thing with diagnostics -- and I'm  
12 just talking about mammo; I think mammo is kind of unique  
13 in this particular sphere -- you are going to need a  
14 very, very large database to have this system work with  
15 any degree of confidence for the user, and that doesn't  
16 only mean cancers. It means cancers in various-- it  
17 varies with various features, and the robustness of that  
18 dataset is directly related to how this CADx is going to  
19 perform.

20           So I think we have almost everything in  
21 place to test it, and it is just going to be getting  
22 enough data to produce a robust algorithm.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN GLASSMAN: Of course, in the more  
2 general sense, though, we have not only breast, but we  
3 have lung. We have colon. We have things we haven't  
4 talked about yet.

5                   So in a general sense, what kind of testing  
6 do you think we need? Dr. Garra?

7                   DR. GARRA: Yes. I think you hit the nail  
8 on the head, that we are going to need pathology  
9 correlation more often than we require for just detection  
10 especially for lung nodules.

11                  A consensus panel determining whether a  
12 nodule is there or not is one thing, but if you are  
13 trying to make a diagnosis, you are going to have to have  
14 pathology more often, maybe not in every case, but in a  
15 lot of them.

16                  CHAIRMAN GLASSMAN: Pathology or reasonable  
17 term clinical follow-up.

18                  DR. GARRA: Yes, it depends on the  
19 indication or what they are claiming that they can do.  
20 If they are claiming that they can diagnosis  
21 histoplasmosis reliably, then you are going to have to  
22 get proof on some of the benign lesions as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Yes, Dr. D'Orsi?

2 DR. D'ORSI: Do lung and colon have the  
3 robustness of features indicating benign/malignant that  
4 are present in mammograms; and if not, what are we  
5 getting a CADx for then? Is it only size, presence of  
6 calcification, flatness of the polyp, size of the polyp?  
7 Is that about it for CADx, or not? Is there more?

8 CHAIRMAN GLASSMAN: Dr. Garra?

9 DR. GARRA: I'm not sure I can speak to the  
10 polyps. I think we saw some evidence earlier today that  
11 flat polyps are at higher risk, but I don't think the  
12 answer is in on that.

13 For lung cancer, I think there are a lot of  
14 robust features. They are actually very similar to the  
15 ones for breast, so irregular margins, you know,  
16 architectural distortion, all those things and, of  
17 course, lymphadenopathy.

18 DR. D'ORSI: So you could use a paradigm  
19 similar for chest that you do for lung? I mean for lung  
20 and breast.

21 DR. GARRA: Yes, I think so.

22 DR. D'ORSI: It's getting late.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   CHAIRMAN GLASSMAN: Any other comments about  
2 this? Yes, Dr. Leitch?

3                   DR. LEITCH: So for colon, though, again  
4 this thing because you have a pre-malignant thing;  
5 actually I think it might be easier in colon because the  
6 lesion is not so weird. It is kind of a pretty simple  
7 lesion because you do want to find a benign polyp. That  
8 is what you want to find. The size discrimination is  
9 more of the thing on that.

10                   So that is -- I don't think that is actually  
11 going to be as complex as the ones where there is more  
12 variety to the lesion, particularly if the lexicon is not  
13 worked out for -- I don't know; in lung, could you say  
14 there is a lexicon that says if it looks like this, the  
15 probability of cancer is thus and such?

16                   Part of it is what do you get with the  
17 radiologist right now? You know, what are the standards?  
18 What do you get with the radiologist? That is how the -  
19 - that is going to be what the device has to perform to.

20                   So if the radiologist isn't 100 percent, so  
21 you don't expect the device to be. But it's got to fall  
22 pretty close to it to replace the radiologist.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WONG: I'm not too sure that you are  
2 going to add that much more to the colon. If you  
3 identify the polyp, obviously the size of the polyp is  
4 going to kind of determine as to whether it is going to  
5 be a malignant or a tubulovillous type of polyp. Then if  
6 it is an annular lesion, it is more likely to be a  
7 cancer.

8 There is a fair amount of data already  
9 because there are so many centers that have been using  
10 CTC that it is conceivable that there may be  
11 characteristics about the cuts through these masses that  
12 may identify characteristics that could tell you maybe  
13 that there is some histologic pattern that you can see  
14 from these CTCs. But again, that would be purely  
15 conjectural.

16 CHAIRMAN GLASSMAN: Obviously, the  
17 manufacturers would have to go ahead and provide that  
18 data as part of their submission, I think.

19 So far, and I will come to it in just a  
20 second. So far what I have heard is from a sort of  
21 generalized view that the major difference so far we have  
22 come up with would be the need for pathologic correlation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in a much higher percentage of cases if we are talking  
2 about diagnosis than if we are talking about detection.

3 There would be some other surrogates such  
4 as, you know, time of stability or negative PET scan, but  
5 that an enriched dataset with a number of cancers would  
6 probably need a fair amount of pathologic proof.

7 DR. CARRINO: I think my general statement  
8 would be that I would take it to another level. The  
9 computer assisted diagnosis -- I would look at it like a  
10 prediction model or these decision rules that are being  
11 used, and the methodology for validating those is a lot  
12 more -- more rigorous and more intensive; and I think  
13 that is way beyond the time that we have to talk about  
14 it.

15 It's definitely, I think, a separate topic,  
16 and I think it is going to be much more rigorous, and I  
17 would look to those things that are done for like  
18 decision models, prediction models, decision rules.

19 DR. KIM: I think the colon is really a  
20 special case because it is relatively easy to get  
21 pathology.

22 CHAIRMAN GLASSMAN: Right, and breast too,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relatively easy although the ladies in the group may not  
2 agree.

3 DR. KIM: And I think the issue is a little  
4 bit different in the colon in the sense that the  
5 diagnosis is between a true polyp and a pseudo-polyp  
6 related to stool. That's the diagnosis you are trying to  
7 make. Is it truly a soft tissue polyp?

8 CHAIRMAN GLASSMAN: So the colon doesn't  
9 particularly lend itself probably to computer aided  
10 diagnosis so much as computer aided detection, but there  
11 are other areas in the body that will?

12 DR. KIM: Right, and they are definitely  
13 intertwined, but I would say that the diagnosis is that,  
14 yes.

15 CHAIRMAN GLASSMAN: Okay. So we've got  
16 greater emphasis on pathology and follow-up, and I think  
17 to look to the examples of clinical guidelines and  
18 pathways for things like, if I can put it in -- nobody  
19 has mentioned yet, head trauma, ankle trauma and the way  
20 that they were developed to look to the proper way to  
21 evaluate diagnosis, knowing that it will be a much more  
22 rigorous bar for the companies rather than detection.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think that is about where we are. Does  
2 that answer the question or is there something more  
3 specific that you need?

4 MS. BROGDON: I don't think we had anything  
5 more specific that we needed.

6 CHAIRMAN GLASSMAN: Okay, F2. Emerging CAD  
7 areas that the FDA should be aware of? Comments on the  
8 types of testing needed for other CAD devices, present  
9 and future, compared to the testing we have discussed.

10 First, let's deal with what is coming down  
11 the pike that any of us have heard of, have friends who  
12 are dealing with, other organ systems, prostate or things  
13 for CAD?

14 DR. LEITCH: Well, I wouldn't say, quote,  
15 I've "heard" of this exactly, but I think any imaging  
16 test that has functional parts to it, uptake of materials  
17 into lesions, that sort of thing, how it washes out --  
18 those are tests that lend themselves more, I think, to  
19 computer interpretation because there can be numbers that  
20 are attached to that and then probabilities can be  
21 attached to those numbers that those lesions will be  
22 malignant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It is actually harder for the radiologist to  
2 "work through" all that, and there are many images  
3 involved in that. So studies where there are a lot of  
4 images that are taken and you have a functional component  
5 to it; that's the type of test that would lend itself to  
6 computer diagnosis, I think.

7           CHAIRMAN GLASSMAN:       I'm sure you are  
8 thinking of breast CAD.

9           DR. LEITCH:   So MRI, PET.

10          CHAIRMAN GLASSMAN:       Yes, certainly, the  
11 breast MRI CAD, two of which have been approved by the  
12 agency are primarily functional analysis tools with some  
13 detection capability. Dr. Abbey?

14          DR. ABBEY:    The one thing I have heard  
15 coming down the pike and may be further along than I know  
16 is the use of CAD, both CADe and CADx, to exclude from  
17 reading very easy cases so cases that are primarily non-  
18 dense, fatty or fatty replace breasts that are not to be  
19 then read by human eyes. The CAD algorithm would  
20 actually exclude them from the reading.

21          CHAIRMAN GLASSMAN:       Any other sort of secret  
22 information out there?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LIN: One suggestion might be a four-  
2 phase abdominal CT scan for liver cancer screening  
3 because that is a dynamic study. It seems to lend itself  
4 to, you know, what you were talking about just now with  
5 computer assisted detection because liver cancer  
6 screening is becoming more and more important as well.

7 CHAIRMAN GLASSMAN: Dr. D'Orsi, you had a  
8 comment?

9 DR. D'ORSI: There are people thinking now  
10 with tomosynthesis CAD as well even though the technology  
11 hasn't been tested yet, but that is what people are  
12 speaking about. So that is something else.

13 One other point, I think CAD for MRI is more  
14 an intelligent work station than CAD. It only gives you  
15 the DX for one minor mode which is not -- it is not a  
16 real DX. It is an intelligent work station, I think.

17 CHAIRMAN GLASSMAN: Okay, any other future -  
18 - yes, Dr. Wong?

19 DR. WONG: You know another area that we've  
20 found with CTC is that you find an equal number of extra  
21 colonic tumors. We are finding that to be a major area  
22 of interest. Obviously, you've got a CAD for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intraluminal problems, but that doesn't really take into  
2 account the extra colonic aspect or the intra-abdominal.

3 So that might be another area that CAD could  
4 look at. It is, obviously, very complex, because you got  
5 a lot of organs in the abdomen.

6 CHAIRMAN GLASSMAN: We call those  
7 radiologists where I work.

8 DR. LEITCH: Ultrasound, breast in  
9 particular.

10 DR. SAHINER: Two of the areas that I am  
11 aware of are upper urinary tract cancer on CT urography  
12 and pulmonary embolism detection, and also I don't know  
13 if it qualifies as CAD or not but any type of temporal  
14 analysis, change analysis over time.

15 CHAIRMAN GLASSMAN: That may have more to do  
16 with the next question.

17 Are we at this point prepared to suggest any  
18 different testing methods for these other conditions or  
19 would that be too preliminary until we know exactly what  
20 they are designed to do? Nobody wants to come up with  
21 one? Okay.

22 For F2, emerging areas of CAD: studies that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 have kinetic significance, studies to check easy cases in  
2 mammography, the fatty breast cases, liver cancer,  
3 tomosynthesis, breast ultrasound -- I think Dr. Giger  
4 showed us a little bit of that -- renal carcinoma,  
5 pulmonary embolism, and things that go swish in the  
6 night, things with temporal change where computers are  
7 very good at measuring changes in wash-in and wash-out  
8 are the kinds of future areas that we see potentially  
9 coming down the pike.

10 I think, until they get here, the  
11 statistical analysis is beyond what we are willing to  
12 take on.

13 Is that good to the Panel? And is that good  
14 to the Agency?

15 MS. BROGDON: That is helpful, thank you.

16 CHAIRMAN GLASSMAN: Okay, F3. Your cars are  
17 outside. So think about that.

18 Comments on the levels of testing for the  
19 different types of computer based technologies compared  
20 to testing that we have discussed.

21 So here we are talking about the kind of  
22 near-CAD and borderline CAD, the measurement packages

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with intelligent design to them or intelligent software,  
2 and I guess I can start by simply saying would phantom  
3 testing be enough if it is limited to sort of intelligent  
4 measurement; in other words, making a judgment about what  
5 the structure is and then measuring it, or would you need  
6 reader testing? Would you need just standalone testing  
7 of clinical cases?

8 Where might you draw the line for things  
9 that are short of detection but long on other things,  
10 measurements? Anyone?

11 DR. ZISKIN: Well, it would depend upon the  
12 nature of the output. If it were a quantitative number,  
13 it would only require a phantom or a test object. But if  
14 it required a person to visualize something, then you  
15 have to test the performer.

16 CHAIRMAN GLASSMAN: Okay, any other? Yes,  
17 Dr. Rosenberg?

18 DR. ROSENBERG: If we were talking about,  
19 for instance polyp testing where sizes matter, would  
20 phantom testing be adequate or would you actually have to  
21 compare it to pathology?

22 CHAIRMAN GLASSMAN: I would think pathology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in that instance because it is critical to the next step,  
2 and it is also relatively simple to do.

3 Any other comments? We almost don't have  
4 anything here. Dr. Garra?

5 DR. GARRA: There are a couple of image  
6 processing capabilities that are on the horizon, various  
7 forms of speckle reduction that will require observer  
8 studies, and to show that it improves the observer's  
9 perception of pathology.

10 So there is definitely a whole raft of those  
11 waiting out on the horizon. They largely come from the  
12 spy satellite program.

13 CHAIRMAN GLASSMAN: Hopefully, not the one  
14 we had to shoot down.

15 Any other comments?

16 DR. BOURLAND: Comment here. So testing  
17 always starts simple and heads to complex. It goes from  
18 geometries toward anthropomorphic, and those would be  
19 appropriate based on whatever the device is designed to  
20 do, whatever its modality energy source is, and whoever  
21 the receiver is on the end.

22 CHAIRMAN GLASSMAN: So I think if I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 summarize, there are many different types of near-CAD  
2 technologies coming down the road, some of which based on  
3 their improvement of image quality, will need human eye  
4 testing.

5 Others may need just phantom testing or  
6 standalone testing, and it would be sort of device and  
7 function specific.

8 I know that is very general, but is it  
9 sufficient?

10 MS. BROGDON: Yes, thank you.

11 CHAIRMAN GLASSMAN: Let me then thank the  
12 Panel and those of you from the FDA and others who have  
13 stayed through these two days.

14 I think the Panel has done a fantastic job  
15 on a very different kind of open-ended structure, and  
16 hopefully when the agency takes the next 12 or 15 hours  
17 to think about what we did, will agree. But anyway, you  
18 all have my thanks.

19 I formally adjourn this session.

20 (Whereupon, the foregoing matter went off  
21 the record at 5:03 p.m.)

22 - - -

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

2

3

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701