

1 DR. MILLER: Yes.

2 DR. NORMAND: -- fix those, and at
3 that point, the patient is randomized to the
4 sealant or the control group?

5 DR. MILLER: That's correct.

6 DR. NORMAND: Okay. So, the patient
7 is randomized to the sealant or control group
8 and then, so, they go in a priority. The
9 surgeon knows how many air leaks are there,
10 that is, that the -- so, either the patient is
11 randomized to the sealant -- sorry.

12 The patient is randomized, control
13 group, you're done, right?

14 DR. MILLER: That's --

15 DR. NORMAND: And so, we know how
16 many intra-operative air leaks there are at
17 that point. That was done prior to
18 randomization?

19 DR. MILLER: Yes, they -- let's just
20 go back to this one. Password, if someone
21 could come on.

22 DR. NORMAND: Well, I don't think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need the slides.

2 DR. MILLER: Okay, but what happened
3 was, is that when the patient was repaired,
4 then they're randomized into sealant and then
5 in control.

6 DR. NORMAND: Okay, so, just --
7 sorry to interrupt you again.

8 DR. MILLER: Okay.

9 DR. NORMAND: I just want to make
10 sure at this point, I'm on the same page. So,
11 at that point, we know how many intra-
12 operative air leaks there are?

13 DR. MILLER: Yes.

14 DR. NORMAND: Okay, go ahead.

15 DR. MILLER: And then they were re-
16 tested --

17 DR. NORMAND: Yes.

18 DR. MILLER: -- just prior to the
19 time of closure.

20 DR. NORMAND: Okay.

21 DR. MILLER: Of closing -- they were
22 re-tested --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Okay.

2 DR. MILLER: -- for intra-operative
3 air leak for size, for location and so forth.

4 DR. NORMAND: Okay. So, they're re-
5 tested, so, that means the numbers could have
6 changed for the control group as well as the
7 sealant group?

8 DR. MILLER: That's correct.

9 DR. NORMAND: Okay. So, then the
10 sealant is applied and then you're re-tested
11 again for the number of --

12 DR. MILLER: Yes, and that's when
13 you get that measurement of the intra-
14 operative air leaks that were sealed.

15 DR. NORMAND: Okay. So, but you've
16 got that for the sealant group, but for the
17 control group, where is that number coming
18 from? Where is the number of intra-operative
19 air leaks count coming from?

20 DR. MILLER: Well, you have it from
21 the first --

22 DR. NORMAND: From the first?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MILLER: Yes, and then again at
2 the re-test. There's a second number for
3 that, so, it's when you leave the operating --
4 - something could have changed when they --

5 DR. NORMAND: Sure.

6 DR. MILLER: -- blew up the lung to
7 check for the broncho pleural fistula and so
8 forth.

9 DR. NORMAND: So, and so, again, for
10 the sealant group, the surgeon can apply the
11 sealant to those that were spotted, in terms
12 of the intra-operative air leak and I also
13 read they could actually apply the sealant to
14 other places.

15 DR. MILLER: No, only that were
16 recorded.

17 DR. NORMAND: Only that were
18 recorded?

19 DR. MILLER: Yes, exactly.

20 DR. NORMAND: Okay, and so, when you
21 do the count when they leave the room, you're
22 only looking at sort of -- so, for every one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was spotted, the number of intra-
2 operative air leaks were those that were
3 identified for the -- based on a priority,
4 prior to randomization?

5 DR. MILLER: Exactly.

6 DR. NORMAND: I'm sorry this is
7 painful.

8 DR. MILLER: No, that's all right.

9 DR. NORMAND: The one last question
10 I have to ask, and this is my not knowing, so,
11 when you look at whether or not the air leak
12 stopped, does everybody get a chest tube who
13 has an air leak?

14 DR. MILLER: Everybody gets a chest
15 tube with --

16 DR. NORMAND: Regardless?

17 DR. MILLER: You have a chest tube
18 to re-expand the lung --

19 DR. NORMAND: Okay.

20 DR. MILLER: -- to drain fluid and
21 then --

22 DR. NORMAND: Okay. So, everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has a chest tube?

2 DR. MILLER: Yes, ma'am.

3 DR. NORMAND: Okay, sorry, thank
4 you.

5 DR. MILLER: That's fine.

6 CHAIR BIRNBACH: Dr. Topoleski.

7 DR. TOPOLESKI: I have two
8 questions. One is about the burst strength
9 test. How was the burst strength measured and
10 was it the actual material that was bursting
11 or the adhesive bond between the material and
12 tissue?

13 The second question was on the
14 degradation products. In your slide, you show
15 3,500 molecular weight. That was initial
16 polymer. Was there a range of molecular
17 weights and was there a range of molecular
18 weights in the degradation products?

19 DR. PARKS: Okay, the first part on
20 burst strength was the strength of the
21 material. It was not any adhesive burst
22 strength.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The second part, on the
2 polyethylene glycol break down, occasionally
3 we would find a single succinate molecular
4 weight. Otherwise, the polyethylene glycol
5 was 3,500. We found no fractions, no smaller
6 fractions.

7 DR. TOPOLESKI: Was an adhesive
8 strength test done on the material?

9 DR. PARKS: I'd have to take a look
10 and see in the PMA, what exactly adhesive we
11 did, but yes, we did adhesive testing as well
12 as part of our development of the burst
13 strength testing profile to make sure we were
14 checking for the burst of the material, as
15 opposed to adhesive failure.

16 CHAIR BIRNBACH: Yes, go ahead.

17 DR. LILLARD: One last question
18 regarding the renal failure that you observed.

19 Was there any gender bias or ethnicity bias
20 to the renal failures?

21 DR. MILLER: No, there was not.

22 CHAIR BIRNBACH: Dr. Loeb.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LOEB: I have a question. One
2 of the concerns is late complications of
3 either residual volume or pneumothorax and in
4 slide 52, you presented a break down of the
5 different types of surgeries and showed that
6 the sealant group had more extensive surgery.

7 Was any subsequent analysis done,
8 breaking down those groups? I don't remember
9 seeing it in your written statement, either a
10 separate analysis by magnitude of surgery,
11 breaking it into two groups and looking at the
12 analysis, especially of complications by that
13 type of break down.

14 DR. MILLER: There is no sub-
15 analysis of that group. It was a very small
16 percentage. The only -- the reason we brought
17 that up, because there was a question from the
18 FDA about residual pleural space, which was
19 higher in that sealant and could it be
20 explained by the extended resection.

21 But also too, in that one patient
22 who required a chest tube at the one month

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 follow up, that was in the only patient who
2 had an extended resection, had a bi-lobectomy.

3 But there is no sub-analysis in regards to
4 that.

5 CHAIR BIRNBACH: Dr. Wiswell, I'm
6 not ignoring you. You're hidden from my view.

7 DR. WISWELL: In that same group,
8 so, those six that had the prolonged air leak,
9 only one of them had had an extended lung
10 resection, is that what you're saying?

11 DR. MILLER: To clarify, those
12 weren't prolonged air leaks. Those were
13 patients who were deemed to have a
14 pneumothorax at follow up and out of those,
15 only -- the one that required intervention was
16 an extended resection.

17 They did not have prolonged air
18 leaks. They just had a residual pleural
19 space.

20 DR. WISWELL: So, the other five had
21 not had extended --

22 DR. MILLER: Yes, four of the five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had lobectomies and one had a wedge.

2 DR. WISWELL: Okay.

3 DR. MILLER: But all those spaces
4 were decreasing in size.

5 DR. WISWELL: And I had another
6 question regarding the kidney issues. One is,
7 what were -- for the purposes of this study,
8 what were the definitions of oliguria and
9 acute renal failure?

10 DR. MILLER: Oliguria was less than
11 30 cc's an hour of urine output for a 24 hour
12 period, which the majority of us, clinically
13 practice, you know, if it's more than 10 cc's
14 an hour, it's okay. But that was the
15 definition.

16 Also, acute renal failure is
17 patients requiring dialysis to take care of
18 that, and that was only one patient that
19 required that.

20 DR. WISWELL: And I guess the last
21 question I would have, regarding the renal
22 function, do you have data concerning the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 length of time the patients had oliguria or
2 that they were considered to have "acute renal
3 failure"?

4 DR. MILLER: Yes, the oliguria
5 resolved within the first one to two days
6 after surgery and that's in that one table.
7 If you can see here, the onset of duration, if
8 you look in the bottom four patients here --

9 DR. WISWELL: Okay, I think I've got
10 it.

11 DR. MILLER: Yes, all within the
12 first or second day, and all of them resolved
13 within four days, the oliguria.

14 DR. WISWELL: Okay, thank you.

15 CHAIR BIRNBACH: Dr. Jeevanandam?

16 DR. JEEVANANDAM: I guess I'm going
17 to ask my question again. If you look at --
18 yes, the air leaks stopped, but all the air
19 leaks stopped.

20 If you look at the control arm,
21 there were no patients developed broncho
22 pleural fistulas, so there was no reason to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 put chest tubes back in those patients. So,
2 those patients who were in the control group
3 really did not have a clinical significance,
4 in terms of not having their air leaks stopped
5 right away.

6 So, it seems that the air leaks
7 just stopped on their own. It didn't stop
8 faster with the product, as opposed to not
9 having the sealant on the lung.

10 I'm just trying to find the
11 clinical benefit for this, other than just
12 immediately stopping of the air leak.

13 DR. MILLER: Yes, well, this study
14 was not powered for complications, but you did
15 see within the control group, there were more
16 pneumonias, there were more deaths.

17 To power for that, we would have
18 had -- I have the study twice as big to look
19 at that. The primary endpoint and the five --
20 three of the five segments that we looked at
21 showed there was statistically significant
22 difference in favor of the sealant, and we all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know from the literature of the prolonged air
2 leaks, that you lead to more complications,
3 longer hospital stay into death, and it did
4 show there is more deaths in the control group
5 and more pneumonias.

6 It was not statistically
7 significant, but it was trending that way. I
8 mean, this study did not power for that. The
9 main thing was air leaks and to control that,
10 which it did successfully.

11 CHAIR BIRNBACH: Dr. Lillard. I'm
12 sorry, Dr. Normand.

13 DR. NORMAND: I'm sorry, I'm going
14 to ask this question again. I'm reading from
15 the sponsor's protocol and I'm reading
16 directly from what they're saying on page 11.

17 It says, "The surgeon will go back to each of
18 the sites identified above and apply the patch
19 to those same sites."

20 So, you identify the air leaks and
21 that's what the indications are. There may be
22 some leaks the surgeon will not choose to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 close with the standard technique, i.e., the
2 leak is too small or tissue is too fragile to
3 use sutures or staples. The surgeon will
4 apply the patch to these sites as well.

5 So, my question before was, you're
6 applying them to sites where there weren't any
7 sutures and I thought you had answered `no',
8 but it seems the protocol says that the
9 surgeon is supposed to apply to those sites as
10 well. Can you please help me understand?

11 DR. MILLER: What occurs is that,
12 especially down the fissure of the Heimlich,
13 if you develop an air leak there, that's let's
14 say, more than two millimeters or five
15 millimeters, you can't repair that area.

16 DR. NORMAND: So, the question is
17 just `yes' or `no'. So, could the -- I'm
18 sorry, I just need to understand, I just want
19 to get a sense of -- here's my question.

20 Is the sealant being used in places
21 where typically, no one would do anything
22 with, and I think you're saying yes, with very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 small spots it could be done.

2 DR. MILLER: Well, it's not --
3 nothing -- you can't do those -- you can't do
4 anything in those areas.

5 DR. NORMAND: I know.

6 DR. MILLER: You just have to let
7 the air leak go.

8 DR. NORMAND: But in this study,
9 that was my question, are you applying -- it
10 sounds the like the protocol says you're
11 applying the sealant to those hard to get
12 places, is that true or not true?

13 DR. MILLER: Yes.

14 DR. NORMAND: Okay. So, the answer
15 should have been `yes' to my question earlier.
16 I'm not chastising you.

17 DR. MILLER: Okay.

18 DR. NORMAND: I'm just trying to
19 understand. So, just to -- in the back of my
20 mind, I'm saying, "Okay, this device is being
21 used in air leaks that are typically tended to
22 by sutures or staples, but also too, those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 additional leaks that may not have been
2 identified, that may not have been treated,
3 because they're too small to handle.

4 DR. MILLER: Well, not too small to
5 handle. You just can't treat those.

6 DR. NORMAND: You can't treat them?

7 DR. MILLER: Yes, can't treat them.

8 DR. NORMAND: So, because it's
9 important, because in my mind, because you're
10 also treating new areas -- new things that
11 typically couldn't have been treated before.

12 DR. MILLER: That's correct.

13 DR. NORMAND: Okay.

14 DR. MILLER: Yes, and it wasn't
15 sprayed on the areas that -- you know, for
16 prophylactic, you had to have an air leak.

17 DR. NORMAND: Okay, thank you.

18 DR. CERFOLIO: And let me make sure
19 you understand, that's what makes it good.

20 DR. NORMAND: I understand.

21 DR. CERFOLIO: That's why we want
22 it, because for those patients without it, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 got nothing, all I got is air leaks and
2 problems.

3 DR. NORMAND: Well, the question - -
4 -

5 DR. CERFOLIO: And with the product,
6 I'm able to apply it and treat something that
7 I have no other treatment for besides
8 observation.

9 DR. NORMAND: No, I understand. I
10 think the question whether that's good or not
11 is for this panel to discuss.

12 DR. CERFOLIO: Right.

13 DR. NORMAND: I'm not sure it's
14 necessarily true that something that you
15 couldn't do anything to would ultimately
16 result in a bad outcome. But that's for us to
17 --

18 DR. CERFOLIO: Well, but I'm here to
19 give you my clinical opinion and my clinical
20 opinion is that those leaks that I can't treat
21 do lead to problems and we have all sorts of
22 data that show that prolonged air leaks lead

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to problems, and with a sealant, like I had in
2 the past, which I no longer have, but I would
3 with this product, it may help prevent that
4 problem. So, clinically, it's important.

5 DR. NORMAND: And statistically, it
6 is as well too, that's my point.

7 CHAIR BIRNBACH: Dr. Stoller:

8 DR. STOLLER: One, again, design
9 question. I think it's been addressed, but I
10 want to make sure I'm clear.

11 So, the primary outcome measures
12 air leak at one month and the ascertainment of
13 that primary outcome measure was completely
14 based on the surgeon's assessment at the one
15 month visit, with no other independent
16 ascertainment of the primary outcome measure,
17 is that correct?

18 DR. MILLER: That is correct, it was
19 -- at the one month follow up, it's to
20 determine if the patient was air leak free at
21 that time.

22 DR. STOLLER: Right, and that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made by the surgeon seeing the patient at the
2 one month follow up visit?

3 DR. MILLER: Surgeon and the
4 research coordinator who --

5 DR. STOLLER: Right, both of whom
6 were not blinded to the application of sealant
7 or control, is that correct?

8 DR. MILLER: That's correct.

9 DR. STOLLER: Okay.

10 CHAIR BIRNBACH: Dr. Cassiere, was
11 that you waving before? No, Dr. Brunson.

12 DR. BRUNSON: This is -- maybe Dr.
13 Cerfolio can answer this. It's about a
14 statement you made about often, there are no
15 leaks in the operating room, but I know that
16 post-op, how would this product impact that,
17 since you have to detect the leaks intra-op to
18 find them?

19 DR. CERFOLIO; That's a very, very
20 important question and this current study
21 we're talking about, didn't put the product on
22 prophylactically over the staple line.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As you know, there's a lot of
2 surgeons that were using the previous product
3 and currently, non-FDA off-label products and
4 putting it on prophylactically for just that
5 reason.

6 So, I don't think we have any data
7 to suggest that that's what we should do. But
8 it is frustrating when you see no leaks in the
9 OR and then they have a big leak post-op, that
10 some people might, if this product got
11 approved, then do a prospective study looking
12 at it prophylactically in the patient, that
13 doesn't have an intra-operative leak and
14 seeing if it's cost effective or not, because
15 it might not be. But that's a different
16 question.

17 DR. WALSH: I'd also like to add
18 that sometimes, what happens when we're
19 testing the product intra-operatively, we're
20 doing it under positive pressure ventilation
21 by the anesthesiologist, under saline and
22 we're looking for bubbles and measuring the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 size of the bubbles at the sites that are
2 identified.

3 The patient then is excavated in
4 the OR, goes to the recovery room, has a chest
5 tube placed and one of the things is, it takes
6 a while, it takes a day or so for the chest
7 cavity to develop a pleura.

8 So, you basically have an open
9 space with a chest tube that's connected to
10 minus 25 centimeters of water. So, you're
11 evacuating air that may be above the lung, but
12 is just air. It's not leaking from the lung.

13 It's just this potential air space. So, you
14 don't have a tight container.

15 Also, the patient is breathing
16 spontaneously. They may cough. It may
17 generate a greater pressure than even you
18 generated in -- intra-operatively under 25
19 centimeter water test.

20 But that's why there can be
21 discrepancy in the OR. You have no bubbles,
22 but in the recovery room, you have a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bubbles unnoticed, and I think we have to keep
2 emphasizing, although this study was powered
3 for an endpoint and it was successful in that,
4 and we cannot underestimate how important air
5 leak is, as what we're trying to accomplish
6 here.

7 Chest tubes stay in for other
8 things, other than just air leaks. Chest
9 tubes can have increased duration, just
10 because of the output of the chest tube and
11 the management of the chest tube by this
12 service.

13 So, that accounts for not
14 necessarily having chest tubes pulled out when
15 the air leak is taunt.

16 CHAIR BIRNBACH: Dr. Cassiere.

17 DR. CASSIERE: I have a question
18 regarding the lymphadenectomy. Could you
19 describe to me what the definition was of
20 partial and complete, and the reason for
21 bringing that up is because in the sealant
22 group, there was no lymphadenectomy done in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 29.1 percent of the patients and in the
2 control, it was 19.3.

3 Then a complete lymphadenectomy in
4 the sealant patients was 41.7 percent and 56.1
5 percent in the controls, and you think that
6 was of clinical significance?

7 DR. MILLER: What a lymphadenectomy
8 is, when someone has a primary malignancy
9 within the chest, you must stage the
10 mediastinum and in surgery, we'll either do a
11 complete lymphadenectomy, which removes all
12 lymph nodes from three stations. The
13 peratracheal is a subcarinal in the hilar
14 areas.

15 If the patient does not have a
16 primary malignancy, such as a -- undergoing
17 wedge extension for metastectomies, lymph
18 nodes are not evaluated at that time.

19 If you look, in regards to the Z-30
20 trial, which is a trial that looked at lymph
21 nodes dissection versus lymph node sampling,
22 what a sampling is, is just when you remove

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one lymph node for each one of those stations.

2 There is a slight increase in
3 pleural fluid drainage after the procedure of
4 only 20 cc's a day. But that's why there's a
5 difference in those two patients, because not
6 every patient requires a lymphadenectomy,
7 especially in the patients who are undergoing
8 surgery for emphysema or benign conditions
9 when lymph node is not removed.

10 DR. CASSIERE: I guess what I'm
11 getting at is it looks like there is more
12 complete lymphadenectomy in the control than
13 the sealant and there is no -- more
14 lymphadenectomies being done in the control
15 group than in the sealant group.

16 In other words, there's more
17 manipulation of the pleura and the lymph nodes
18 in the chest, the more you manipulate, the
19 more you would think you would have air leaks.

20 DR. MILLER: That's not correct.
21 It's looking at -- that's a mediastinum lymph
22 node dissection. That doesn't affect the lung

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at all. That's what that -- mediastinum lymph
2 node dissections, that's what it implies.

3 DR. CASSIERE: So, the lymphectomy
4 is totally the mediastinum?

5 DR. MILLER: Yes, into the lymph
6 nodes.

7 DR. CASSIERE: Okay.

8 CHAIR BIRNBACH: Dr. Domino.

9 DR. DOMINO: Hi, I also had a
10 question for clarification. Some of the
11 patients who were excavated at the end of the
12 case were how many were -- how many had
13 mechanical ventilation. I think we heard, we
14 didn't know what the length of positive
15 pressure ventilation was.

16 Were there any differences in the
17 groups, who were excavated at the end and
18 didn't have mechanical ventilation versus
19 those who need to intubated for a day or
20 longer?

21 DR. WALSH: I know there's -- it
22 comes to the point in there, there's concern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 raised that this is unblinded. The surgeons
2 understand what was put on the lung, but these
3 -- as I was part of the original design of
4 this with 3M, there are only five centers that
5 did this and there are only, I think, 10
6 surgeons, and we spent a lot of time going
7 over and meeting, what was going to be our
8 consistent way of managing this patient and we
9 discussed any vagrancies that we may have in
10 our practice and try to be consistent.

11 All of these patients were
12 extubated at the end of the case. Obviously,
13 we'd have to dig into what the difference in
14 surgical times were, but these are high volume
15 centers. Most of us are going to have times
16 that are fairly close for doing lobectomies or
17 bi-lobectomies.

18 So, there was consistency in how
19 the patients were treated intra-operatively,
20 what the level of water test was under water,
21 to test for bubbles. We agreed how we would
22 define what the size of the bubble would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and try to be consistent that way.

2 Most of us were very consistent in how we
3 managed our patients in the post-operative
4 setting.

5 So, for a multi-center trial, we
6 selected surgeons who were high volume
7 surgeons, had been doing this for a long time
8 and these are kind of standard ways to
9 managing post-thoracotomy patients. So, we
10 tried to be very consistent across the
11 centers.

12 DR. DOMINO: So, the answer to the
13 question was, yes, they were extubated at the
14 end of the case and didn't have mechanical
15 ventilation for -- unless they needed it some
16 time later.

17 DR. WALSH: Yes.

18 DR. DOMINO: And as far as the CPAP
19 and BiPAP, you said before, you don't have the
20 numbers of people who were on those. Would one
21 expect that one -- with a CPAP or BiPAP
22 device, that you might have a increase in an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 air leak at all or is it more of a
2 conventional, mechanical ventilation that
3 might be associated with that?

4 DR. WALSH: I'd have to go back and
5 look at the data, but obviously, if you're on
6 a CPAP machine or a positive pressure
7 ventilation, you're going to increase your air
8 leak. But to my recollection, there is no
9 differences in the groups and the number of
10 people who needed positive pressure
11 ventilation.

12 The management and the measure at
13 the time of the recovery room, the air leak
14 sealed intra-operatively, as all of these
15 patients were extubated in the OR. They
16 weren't on positive pressure ventilation when
17 they got to the recovery room.

18 CHAIR BIRNBACH: I will take the
19 Chair's prerogative to ask a question, Dr.
20 Walsh, before you get too comfortable.

21 I would just like to follow up
22 briefly with Dr. Normand's line of questions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the design of the study, why not exclude
2 patients with renal failure and more
3 importantly, why not blind the study?

4 DR. WALSH: One more time?

5 CHAIR BIRNBACH: When you designed
6 the study, did you give any thought to the
7 fact, number one, that the primary endpoint
8 was not blinded and the surgeons who knew what
9 sealant was going to be used were going to be
10 the ones to call the shots and say, "Okay, I
11 still have an air leak here."

12 Was any thought given to the fact
13 that perhaps, the study should have been
14 designed so that there was some blinding, and
15 since renal failure seems to be an issue, had
16 any thought before the study started, that
17 maybe patients with certain disease states
18 should be excluded, renal failure on the top
19 of the list?

20 DR. WALSH: Well, it's certainly
21 going back many years, since the original
22 design. But we certainly wanted to have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 spectrum of patients that represented the type
2 of patients that we were operating on, on a
3 routine basis.

4 So, you can see in the eligibility
5 criteria, these are fairly standard
6 eligibility criteria and we took -- most on
7 the patients that we operated on for lung
8 cancer are sick patients, chronic obstructive
9 pulmonary disease, diabetics, renal failures,
10 so we wanted this to be applicable to the
11 patient population that we deal with.

12 I think, you know, I think it's
13 just a matter of manpower to run these studies
14 post-operatively. I mean, we thought the most
15 consistent way of assessing the post-operative
16 air leak was to have the surgeon and their
17 research personnel only be the ones that call
18 the shots on whether there is or is not an air
19 leak, because if you start introducing other
20 people into it, it may actually compound it.

21 So, you know, it is to the
22 surgeon's disadvantage, to say there's no air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leak and pull the chest tube out prematurely
2 because that's going to come back to bite you.

3 So, if any side that we're going to
4 err on, we always err on the side, even if
5 there's a question of an air leak, we will
6 leave the chest tube in for another 24 hours
7 before discontinuing that tube because if you
8 pull the tube out and you erred on the wrong
9 side and they do, in fact, have an air leak,
10 then you're going to end up with a potential
11 empyemas.

12 So, most of us have learned to be
13 cautious and leave the chest tubes in a little
14 longer, rather than discontinuing them
15 prematurely.

16 CHAIR BIRNBACH: Any more questions?

17 Yes, Dr. Ries?

18 DR. RIES: Just a follow up question
19 about the between center differences. I note
20 that the centers were difference -- there were
21 different numbers, different proportions of
22 patients that were randomized in each center.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In terms of the 114 patients who
2 were enrolled and then not randomized, were
3 the same proportions between centers, was
4 there differences in the selection of patients
5 and the identification of the air leaks? Were
6 the same proportions -- did you look at
7 whether the same proportions of the non-
8 enrolled patients represented the proportions
9 at the centers that were enrolled?

10 DR. MILLER: Yes, and the majority -
11 - greater than 95 percent of the time, it's
12 because they had no air leak, and that was the
13 same throughout all five centers.

14 DR. RIES: But were the number of
15 patients who were determined by the surgeon to
16 have no air leaks, the same proportions across
17 the centers?

18 DR. MILLER: Yes, it was exactly the
19 same.

20 DR. RIES: And just to address the
21 renal function issue, there is no question
22 about pre-clinical renal toxicity that we were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerned about. From all the pre-clinical
2 data, there was no concern, even though it was
3 excreted urine. The only reason we brought
4 the renal data today is because it was on the
5 post hoc analysis by the FDA that brought up
6 the question in regards to the renal failure.

7 CHAIR BIRNBACH: Any other
8 questions?

9 DR. CERFOLIO: Can I just follow up
10 on one point about the unblindedness, because
11 I think I understand your point very well, but
12 I want to make sure you understand, because as
13 you said, you don't do thoracic surgery.

14 When you're seeing these patients
15 back at a month, these patients have all been
16 home, their chest tubes have been out for
17 three weeks. So, for the surgeon to say
18 whether they have an air leak or not, although
19 the surgeon was the one saying it, obviously,
20 it's really the radiology report that tells
21 you if they have an air leak or not and the
22 radiologist was blinded.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, the radiologist is the one
2 reading the films and said, "Hey, that
3 pneumothorax is bigger. There's potentially
4 an air leak there that you've missed."

5 So, when the surgeon is seeing them
6 at one month, it's just a routine one month
7 follow up. So, really the person reading the
8 chest x-ray was blinded to the study.

9 Obviously, the surgeon is
10 unblinded. He knows if he used a sealant or
11 not and it was their chest tube management
12 that they ran the same overall, but at one
13 month, whether the surgeon is blinded or
14 unblinded, really didn't make any difference
15 because 99 percent of these patients were home
16 doing well and just came back for another
17 chest x-ray.

18 CHAIR BIRNBACH: So, the only
19 determinant at one month was the chest x-ray
20 reading?

21 DR. CERFOLIO: Sure, because if the
22 patient is doing well and there's no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subcutaneous air, no other problems, if they
2 had a pneumothorax when they went home and if
3 that pneumothorax was the same, then there
4 would be no evidence that there was air
5 accumulating in that chest and as Dr. Walsh
6 told you, if there's any question of an air
7 leak, as a surgeon, we're going to leave that
8 tube in because all we're going to have to do
9 is put it back in, if we take it out
10 prematurely.

11 CHAIR BIRNBACH: Dr. Stoller.

12 DR. STOLLER: I understand that.
13 Would it then be reasonable to see data on the
14 primary outcome measure as the radiology
15 reports, with regard to the prevalence of air
16 or the pleural space, irrespective of how
17 defined, as residual pleural space or
18 pneumothorax?

19 Perhaps that ought to be data that
20 we'd like to see later in the afternoon, if
21 it's not identical to the data on air leak
22 free in the surgeon's assessment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In other words, the prevalence of
2 radiology reports about air in the pleural
3 space, however defined in the compared groups
4 at one month.

5 DR. SPINDELL: I just wanted to get
6 back to you, Dr. Cerfolio. The determination
7 of air leak in a month was chest x-ray and
8 clinical exam or just chest x-ray?

9 DR. MILLER: Chest x-ray and
10 clinical exam.

11 DR. SPINDELL: Okay, all right.

12 DR. MILLER: Because we examine the
13 incision. We look for subcutaneous emphysema.

14 Also, we discuss with patient if we had any
15 sensory related signs or symptoms related to
16 broncho pleural fistula. So, all that went
17 into the routine post-operative visit.

18 DR. SPINDELL: And the follow up
19 chest x-ray, getting to Dr. Stoller's point,
20 as you said, as we've seen, it's not uncommon
21 to have some residual space. So, if the
22 person still has residual space, does that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean they have an air leak or not have an air
2 leak or you can't tell?

3 DR. MILLER: Well, if it is not
4 increasing in size, it's decreasing in size,
5 then they don't have an air leak.

6 DR. SPINDELL: So, I guess getting
7 to Dr. Stoller's question, is the data we need
8 to look at follow up chest x-rays and the size
9 of the residual space change, because if we
10 just used the actual residual space, we're not
11 going to get the correct answer?

12 DR. MILLER: Well, there's no way to
13 accurately -- this was what was brought up in
14 the ad hoc analysis, there's no way to
15 accurately measure that pleural space. You
16 can't do it from a chest x-ray, because it's
17 actually a three dimensional volume.

18 So, if you tried to measure
19 something from the chest x-ray, you can't do
20 that. You can say if the air space dropped
21 two or three inter-spaces down, then there's a
22 significant problem and only one patient out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of that sub-group analysis in the center
2 group, had an increasing air space, and
3 there's a patient who had the extended
4 resection and that was treated with a chest
5 tube.

6 CHAIR BIRNBACH: Dr. Stoller.

7 DR. STOLLER: Under the
8 circumstances, I might suggest that perhaps
9 consideration for a later session be given to
10 presenting the one month follow up data with
11 three endpoints.

12 One is the prevalence of air in the
13 pleural space, irrespective of any conditions
14 about increasing or decreasing. Two, the
15 prevalence of air in the pleural space that
16 was deemed to be of decreasing size at one
17 month, compared to the prior film, and three,
18 the surgeon's assessment of whether there was
19 or was not an air leak, which would allow an
20 assessment of concordance between the three
21 assessments, with regard to the residual air
22 in the pleural space and would allow the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 committee, I think, to better understand
2 discordance between the clinical assessment of
3 air leak free at one month and the radiologic
4 assessment. Does that make sense?

5 CHAIR BIRNBACH: Okay, we are now
6 going to have a short break. It is about
7 10:10 a.m. We will resume in 15 minutes at
8 10:25 a.m. I'd like to remind the panel
9 members that there should be no discussion of
10 the PMA during the break among yourselves with
11 the sponsor, FDA or with the public and we
12 will reconvene at 10:25 a.m. Thank you.

13 (Whereupon, the above-entitled
14 matter went off the record at approximately
15 10:10 a.m. and resumed at approximately 10:30
16 a.m.)

17 CHAIR BIRNBACH: Welcome back. The
18 sponsor has asked for a few minutes to clarify
19 a few points. Dr. Walsh, you have five
20 minutes.

21 DR. WALSH: Thank you. I'd like to
22 address a little bit about what was raised

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the methodology of bias in this design.

2 As a surgeon, I want to go over, so it's
3 crystal clear, what was done intra-
4 operatively.

5 We did the operation. At the end
6 of the operation, the patients were initially
7 enrolled. At the end of the operation, we
8 assessed underwater air leak, to see if there
9 was an air leak. A certain number of the
10 patients, 114 actually, had no air leak, were
11 not part of the study.

12 Those that were identified to have
13 an air leak intra-operatively, greater than
14 two millimeters, the number of sites that were
15 leaking, we recorded.

16 At this point, the surgeon does not
17 know what this patient is going to randomize
18 to. They do their best shot at repairing
19 things that can be repaired, suturing small
20 holes or larger holes, trying to repair
21 pleural flaps, anything that we could do.

22 At that point at the sites, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient is randomized. They are randomized
2 either to no further treatment or to the
3 sealant. Those that have the sealant, have
4 the sealant applied to the sites of the repair
5 and the sites that could not be repaired. The
6 patient is reassessed for air leaks and the
7 air leaks are measured.

8 The patient then goes to the
9 recovery room and the surgeon makes an
10 assessment whether or not there is or is not
11 an air leak. It's really black or white. The
12 surgeon is the one, although they understand
13 whether or not this was a patient who had a
14 sealant or not, is assessing the patient every
15 day thereafter.

16 If at any time in the follow up
17 days, the patient is identified of having a
18 leak in a chest tube, then they would not
19 qualify as being air leak free.

20 DR. OST: Good morning. My name is
21 Dr. David Ost. I'm a paid consultant for this
22 study. None of the study was performed at my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 institution. I do not own any other stock or
2 have any other conflict of interest with this
3 study.

4 I wanted to address some of the
5 issues which were brought up. I am a
6 pulmonologist, but I also studied at the
7 Harvard School of Public Health and I can
8 appreciate some of the difficulty of
9 understanding the protocol.

10 Importantly, as was just pointed
11 out, the randomization occurred after all
12 standard techniques were applied. So, when
13 the standard techniques were applied, no one
14 could know before hand, whether they were
15 treating a control or sealant patient.

16 The second point I want to
17 emphasize, which was just made, it's correct,
18 the assessment of persistent air leak was not
19 blinded. It was done by a surgeon, but it is
20 a fairly objective thing, meaning, you can --
21 you know, in terms of a surgeon or a
22 pulmonologist looking at the chest tube, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the recovery room, post-op day one, post-op
2 day two, you're just looking for bubbles in
3 that chest tube container. They were not
4 grading the size.

5 So, any bubbles after the recovery
6 room would mean you were not air leak free,
7 and that effect size of 21 percent -- you
8 know, so, that's a number needed to treat only
9 five patients, to be certain of the relatively
10 objective criteria of being air leak free
11 throughout.

12 So, it was not just assessed at day
13 30. It was assessed at day 30, but also
14 throughout the hospital stay. So, if you had
15 bubbles on day three, you're done. You're not
16 in that winning category of completely air
17 leak free.

18 The other question I wanted to
19 address was the clinical significance and I'm
20 going to try to do that briefly and quickly,
21 and the concordance issue, which was a great
22 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 When I reviewed the protocol, I had
2 a lot of the same questions. From my
3 definitions, pleural space does not
4 necessarily imply air leak. It's the lung
5 partially filling a space.

6 So, this is the key slide right
7 here. You see the sealant and the control
8 group, those who had complete versus partial
9 lung re-expansion, realizing that partial does
10 not imply air leak.

11 Note at the bottom, adverse events
12 which were clinically significant
13 pneumothorax, okay, is not every patient who
14 has a partially expanded lung, which really
15 means, partially filled space. The lung --
16 the remaining lobes could be fully expanded.
17 You just haven't filled the space, like the
18 bird cage.

19 So, you see there that indeed, you
20 could have partially expanded lung, partially
21 filled space and not have a clinically
22 significant adverse event from pneumothorax

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and that's the key.

2 So, not all persistent spaces are
3 pneumothoraces -- are clinically significant,
4 okay, and for the primary outcome of
5 importance, which is being air leak free for
6 every day from the recovery room onward, the
7 primary outcome measure effect size was there
8 and the effect size wasn't only statistically
9 significant, the effect size is big. The
10 number needed to treat is five. So, that's a
11 big effect size.

12 I hope that clarifies the
13 procedure, the magnitude of the effect and I
14 think we've dealt with the safety. Thank you.

15 DR. WALSH: We'd also like to add
16 about the question about consistencies across
17 centers. As you know, there are several ways
18 of measuring, intra-operative, the water test
19 in the recovery room and subsequent post-
20 operative day by the chest tube at 30 days,
21 mostly by radiographic evaluation.

22 There is consistencies within the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 centers between the two surgeons and the
2 centers and there is consistency across all of
3 the centers in this study.

4 The results were the same, but the
5 sealant patients always did better throughout
6 the entire study.

7 CHAIR BIRNBACH: Thank you. We will
8 now hear the FDA presentation. The first FDA
9 presenter is Charles Durfor, PhD, the review
10 team leader for this PMA.

11 DR. DURFOR: Well, good morning to
12 you all and thank you for your time and your
13 effort in reviewing this application. My name
14 is Charles Durfor. I'm a member of the
15 Plastic and Reconstruction Surgery Devices
16 Branch in the Office of the Device Evaluation
17 and I'm introducing the FDA discussion
18 concerning the PMA for ProGEL Surgical
19 Sealant.

20 The review team for this PMA
21 included myself, and I looked at manufacturing
22 review and lead review. Dr. Roxolana

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Horbowyj, Medical Officer and surgeon
2 performed the clinical review. Dr. Chang Lao,
3 the FDA statistician was involved. Dr.
4 Katherine Merritt looked at pre-clinical
5 review. Dr. Kirschbaum, who is a member of
6 the Center for Biologics Evaluation and
7 Research assisted us in looking at both the
8 manufacture of the human serum albumin
9 component, as well as immunological analysis
10 and then there were also members of our
11 compliance staff and our patient labeling
12 staff, who assisted in the review of this
13 application.

14 The order of presentation is the
15 following: I'm offering you an introduction at
16 this point and then I will provide you some
17 information on pre-clinical studies and
18 clinical immunology.

19 That will be followed by Dr.
20 Roxolana Horbowyj, who will discuss the study
21 design and patient demographics that were
22 observed in this study. Dr. Chang Lao will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then provided the statistical perspective of
2 the study outcomes and Dr. Roxolana Horbowyj
3 will then conclude our presentation with a
4 clinical perspective of the study outcomes.

5 As you've already heard, ProGEL
6 Surgical Sealant is comprised of two
7 components. The first is a 30 percent
8 solution of human serum albumin, which is
9 purchased from an FDA license supplier. The
10 second component is a polyethylene glycol
11 cross-linker that has been chemically modified
12 with NHS N-hydroxysuccinimide esters at each
13 end and that facilitates cross-linking of the
14 human serum albumin.

15 As illustrated in this slide, the
16 final product has both solutions packaged and
17 sealed cartridges within a single syringe.
18 The tip both mixes and sprays the solutions
19 onto the lung tissue.

20 Once on the tissue, the cross-
21 linker reacts with both human serum albumin to
22 form a patch and to some extent, with the lung

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tissue.

2 Unlike many other products you will
3 review, this one is somewhat different in that
4 the device actually forms within inside the
5 patient on their tissue.

6 The proposed indication that was
7 provided in the protocol was an as adjunct to
8 standard tissue closure techniques to seal or
9 reduce air leaks during pulmonary surgery.

10 The sponsor has previously provided
11 you information on the pre-clinical testing of
12 this product and therefore, in the interest of
13 not repeating what they've said, I will focus
14 my comments on specifically, the pre-clinical
15 testing issues, to which we think are worthy
16 of your consideration and that does not
17 include -- we have no concern at this point
18 with the cytotoxicity in sensitization
19 studies, acute systemic toxicity and geno-
20 toxicity, hemolysis and pyrogenicity studies
21 that were presented.

22 With regard to sub-chronics,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 toxicity studies, studies were performed by
2 polymerizing the product interperitoneally in
3 mice and rats. In a mouse study, slight to
4 moderate inflammation was observed at seven
5 days and this reaction was reduced at 14 days
6 after implantation.

7 When the commercial product was
8 polymerized in situ in rats, the following
9 observations were made. There were no
10 systemic adverse events noted in the animals.

11 At the day eight sacrifice, there were
12 discreet, darkened segments of slight to
13 moderate inflammation on the small intestines
14 of several mid 20 times the anticipate does
15 and high 50 times the anticipated dose
16 animals.

17 This gross observation corresponded
18 to microscopic findings of inflammation, neo-
19 vascularization, hemorrhage and some volume
20 material at the implant contact sites.

21 The severity of inflammation was
22 slightly greater for treated sites versus sham

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sites within each group and there were similar
2 responses when treated sites were compared
3 across all groups. There were no gender
4 differences observed, in terms of the animal's
5 response. These findings were reversed and
6 not observed in day 29.

7 In a follow up study, which the
8 sponsor has discussed, the severity of
9 inflammation was reduced by installation of
10 saline into the peritoneal cavity after the
11 product was polymerized.

12 Thus, the reaction, the
13 inflammation may be related to a chemical
14 reaction of the product with host tissue
15 that's somewhat diluted by saline or it may be
16 of hygroscopic nature of the sealant itself
17 and saline addresses that. This is, to us,
18 unclear.

19 Regarding product irritation, the
20 product was not an irritant, when in situ
21 polymerization was performed on intact rabbit
22 skin or when extracts of the commercial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 product were used in a standard intracutaneous
2 irritation study in rabbits.

3 Mild irritation was observed when
4 the commercial device was polymerized on the
5 conjunctival sac of a rabbit's eyes and when
6 the product was polymerized intracutaneously
7 in a rabbit, there was moderate to severe
8 irritation observed and this was the
9 commercial product that was polymerized.

10 In these studies, the center of the
11 injection sites were raised, hardened,
12 somewhat pale and blanche with a palpable
13 device under the skin. Dermal erythema scores
14 of two on a four point scale were recorded at
15 all injection sites at 24 hours and at 14 and
16 15 sites at 14 days after implantation.

17 This response may reflect a
18 pressure induced irritation. It may also
19 reflect the hygroscopic nature of the device
20 or once again it may reflect reaction of the
21 product with animal tissue.

22 To further examine device

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performance, the sealant was polymerized on an
2 imperfect staple line after resection of the
3 caudal portion of the cranial lobe of a pig's
4 left lung.

5 In the first study, which was a
6 seven day study, there was no evidence of an
7 immune response observed in the animals and
8 the criteria for this was lymphocytes in
9 clusters, the presence of plasma cells, multi-
10 nucleated giant cells, Langerhans cells or
11 granulomatous inflammation that was not
12 associated with a foreign body. In addition,
13 there were no air leaks or delays in tissue
14 healing that were observed.

15 The absence in immune response is
16 actually important because in this study, a
17 commercial product was used in pigs and the
18 absence of immune response suggests that maybe
19 this was a good model and that anything that
20 was observed was not related to an immune
21 response against human serum albumin.

22 There was however, one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings microscopically was the squamous
2 metaplasia that was observed at the implant
3 site and what I would like to do is just offer
4 you the comments that were in the pathology
5 report associated with that finding.

6 It's stated that squamous
7 metaplasia was present in the lung to a
8 greater degree than other animals. This
9 response is in keeping with the basic reaction
10 of differentiated tissue to an inflammatory
11 stimulus and the tissue transforms into a less
12 -- essentially, the tissue was transforming to
13 a less metabolically complicated form.

14 Squamous metaplasia inflammation
15 and fibrosis associated with the wound repair
16 occurred in a fashion similar to those that
17 have been described in humans, and the sighted
18 reference is given for you there.

19 In a follow up study where pigs
20 were followed for 28 days, healing was
21 described in the following method in a
22 pathology report. At day one, only hemorrhage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was present. By day four, granulation tissue
2 had moved into the pleural and the sealant was
3 largely absent.

4 By day seven, only isolated
5 fragments of sealant were apparent. By day
6 14, the sealant was no longer observed. Wound
7 repair in this model did progress normally and
8 there was no indication of a foreign body
9 response or a macrophage response.

10 As with before, device application
11 lead to inflammation in squamous metaplasia.
12 The pathology report associated with this
13 finding stated that squamous metaplasia
14 involving the lung was identified in the
15 regions of atelectasis and inflammation that
16 were common near the site of surgical closure.

17 Squamous metaplasia was observed only on days
18 four and seven after surgery.

19 While atelectasis and fibrosis were
20 reported at days 14 and 28 days after surgery,
21 squamous metaplasia was not.

22 The sponsor also performed two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacokinetic studies to determine the
2 clearance rate of the product and these were
3 performed where they made a carbon 14 labeled
4 cross-linker that was then polymerized in situ
5 with the commercial product.

6 In the first pilot study, urine was
7 identified as the major route of clearance
8 with 70 percent of the radio labeled product
9 being excreted within one to three days after
10 implantation. There were no gender differences
11 -- gender specific differences noted.

12 In a follow up study, over 50
13 percent of the carbon 14 labeled device was
14 excreted in one day and virtually all radio-
15 activity was recovered from rats 14 days post-
16 implant.

17 As discussed previously, the
18 properties and performance characteristics of
19 the device have been evaluated. The
20 conditions of the final product sterilization
21 do not appear to alter the structure of the
22 human serum albumin. The gel time of eight

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seconds was reported for two investigational
2 lobs in the PMA and the burst strength has
3 already been discussed before.

4 Both the data for the burst
5 strength and the elastic modules appear to be
6 appropriate for the clinical indication of the
7 product.

8 So, to summarize the pre-clinical
9 studies and in the information that I hope you
10 will take forward, in the sub-chronic toxicity
11 studies, in situ polymerization resulted in
12 slight to moderate inflammation on the small
13 intestines of several mid and high dose
14 animals; rats.

15 This was associated with
16 microscopic signs of inflammation, neo-
17 vascularization and hemorrhage. Whether this
18 is caused by device reaction with the host
19 tissue or hygroscopic device is unclear.

20 In a standard irritation study
21 where the product was polymerized intra-
22 cutaneously in rabbits, moderate to severe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 irritation was observed when the device was
2 polymerized in rats. In pig lung studies,
3 wound healing was not delayed, but the product
4 was largely absent by four days, with only
5 isolated fragments visible in seven days and
6 was not observed at all by 14 days.

7 Inflammation fibrosis and squamous
8 metaplasia were common near the site of
9 surgical closure on days four and seven after
10 implantation.

11 Finally, in pharmacokinetic
12 studies, they were also consistent with the
13 observations in the pig study that the product
14 clears rapidly from animals, and in this case,
15 over 50 percent of this carbon 14 label
16 product was excreted during the first day.
17 Urine was the primary route of clearance,
18 which is what has us paying some attention to
19 the potential for renal toxicity.

20 To finish this presentation, I'm
21 going to do something that's a little out of
22 order and I apologize, but the clinical data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on immunology testing, at this time, presents
2 no concern to FDA. We want to give you an
3 overview of what was done, so that then, as
4 the other presentations go forward, you can
5 focus on the essential elements.

6 The concern for this testing was
7 related to a publication involving 65 patients
8 who were undergoing hemodialysis who developed
9 IgE antibodies against ethylene oxide in
10 dialysis tubing, and that resulted in 24 of
11 the 65 patients experiencing anaphylaxis.

12 Now, FDA recognizes and wants to
13 caution that there are significant differences
14 between the reported observation and ProGEL
15 Surgical Sealant that is being discussed
16 today.

17 First, ProGEL Surgical Sealant,
18 unlike hemodialysis, is a single exposure and
19 that's obviously important for an immune
20 sensitization, and second of all, polyethylene
21 glycol modification has been used and there
22 are FDA approved products in which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 polyethylene glycol modification is used to
2 suppress immune responses against other
3 proteins.

4 So, with that in mind, we felt it
5 was important to have the sponsor evaluate
6 immunology, but we don't want to try and imply
7 that what was observed in the publication was
8 going to happen here. It was instead,
9 something that needed to be evaluated.

10 This slide gives you an overview of
11 the studies that were done to look for
12 antibody responses against the product and it
13 also gives you a sense of the sera collection
14 that was determined.

15 The ELISA assay that was used was
16 developed against the polymerized sealant and
17 the analysis involved testing both pre and pro
18 treatment samples that were collected from 72
19 percent of the sealant and 76 percent of the
20 control patients.

21 The results of this study for the
22 pivotal study were that one treatment and one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 control subject had post-operative antibodies
2 levels that were suggestive of the formation
3 of antibodies against the sealant.

4 However, because there was a pre-
5 operative sera at elevated levels as well for
6 both patients, this suggested that these
7 subjects entered the study with a pre-existing
8 antibody titer against the polymerized
9 sealant.

10 A second immunological study was
11 done to look for cellular responses against
12 the product and that was -- this is outlined
13 here. In this assay, a positive response is
14 done -- is observed when the number of
15 peripheral blood mononuclear cells increases
16 after exposure to a specific antigen.

17 The way the study was designed,
18 tests were performed for a cellular response
19 to see whether it was impaired or stimulated
20 by the presence of sealant and known antigens
21 and that was the positive control, to see
22 whether the presence of the sealant actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stimulated or suppressed any sort of immune --
2 cellular immune response.

3 Once again, subjects were evaluated
4 both pre and after surgery and antigen samples
5 were available for 69 sealant and 32 control
6 subjects and mitogen antigen samples were
7 obtained for 59 and 34 subjects.

8 The results of this study, the only
9 statistically significant observation, was
10 that the control group had a lower pre-
11 operative value for tetanus toxoid and this
12 was not deemed to be a critical issue because
13 it was pre-exposure and it was the control
14 group.

15 However, using the responses for
16 the control samples, 95 percent competence
17 interval was identified and this was an
18 interval that was used then to identify which
19 patients after treatment may have had a
20 cellular response that fell outside the normal
21 range.

22 Ten sealants and five control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subjects fell outside this normal range and
2 the clinical outcomes of these patients were
3 evaluated with regard to the incidence of the
4 emergence of adverse events that might be
5 possibly related to sealant. This slide shows
6 you the adverse events that were considered in
7 this analysis.

8 Based on the results of this
9 analysis for the 10 sealant and five control
10 subjects for which the lymphocyte
11 proliferation assay response fell outside the
12 95 percent competence interval, there was no
13 correlation that appeared to exist between
14 abnormal LPA values and an immune related
15 adverse events for these 10 sealant, five
16 control subjects.

17 With that, I would like to
18 introduce Dr. Roxolana Horbowyj who will
19 discuss this study design and will also
20 discuss patient demographics.

21 DR. HORBOWYJ: Good morning. My
22 name is Roxolana Horbowyj and I'm a general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and critical care surgeon, as well as a
2 medical officer for the submission.

3 This portion of the FDA's
4 presentation highlights ProGEL Surgical
5 Sealant clinical study design, as well as
6 demographics and operative parameters.

7 Some of the slides presented here
8 may have information that has already been
9 presented by this sponsor this morning, and
10 so, I'll try to present those only very
11 briefly.

12 ProGEL Surgical Sealant is a two
13 component device which consists of 25 percent
14 pooled human serum and a synthetic cross-
15 linking component of polyethylene glycol,
16 which react to form a clear compliant
17 hydrogel.

18 This sealant is applied in the
19 sterile single use two component kit with a
20 two cc volume that's to be applied to the
21 external surface of the lung, up to three
22 times per air leak.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Components mix of point of delivery
2 and delivery as such initiates reaction.
3 Polymerization is to occur in 20 to 30 seconds
4 without need for other adjuncts and gel
5 strength is expected to be sufficient to
6 withstand 30 millimeters pressure in two
7 minutes.

8 As you've heard, the ProGEL
9 Surgical Sealant is intended to be indicated
10 for use as an adjunct to standard tissue
11 closure techniques for sealing or reducing air
12 leaks incurred during pulmonary surgery.

13 The clinical study in this pre-
14 market application is a prospective, unmasked
15 two to one randomized clinical study. Sample
16 size was calculated to evaluate the proportion
17 of patients who remained air leak free
18 following pulmonary surgery through the
19 duration of one month follow up or through
20 hospital stay, whichever was longer, with a
21 clinically significant difference of 25
22 percent decrease in patients with post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operative air leak.

2 Control with standard of care
3 alone, that is sutures or staples, in the
4 investigational devices you've heard, was the
5 standard of care follow up by a sealant. The
6 study was conducted at five U.S. centers.

7 Study participants were consented,
8 non-pregnant or breast feeding adults patients
9 with an intra-operative air leak of greater
10 than or equal to two millimeters following
11 lung surgery through open thoracotomy and who
12 were not known to be hypersensitive to albumin
13 or participating in other clinical trials, as
14 per the study design.

15 Patients were enrolled pre-
16 operatively and reassessed intra-operatively
17 after surgery or before chest -- that is,
18 before chest closure for intra-operative air
19 leaks greater than or equal to two
20 millimeters.

21 Patients with at least one intra-
22 operative greater than or equal to two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millimeters were then stratified for FEV1
2 greater than 40 percent or less than or equal
3 to 40 percent.

4 Patients then had their air leaks
5 identified and stratified by size, received
6 standard care to seal the air leaks, as
7 possible by the standard of care and were
8 randomized two to one ratio.

9 If randomization to control
10 occurred, then no further treatment was to be
11 done. It was recognized in the protocol that
12 some air leaks would not be closed, that is,
13 air leaks that were potentially too small or
14 too fragile to have the standard of care
15 applied to them. The standard of care again,
16 being the staples or sutures.

17 If randomization to sealant was to
18 be applied, then all identified air leaks,
19 including air leaks that may have been
20 considered too small or tissue too fragile to
21 apply standard of care, up to three attempts
22 to seal an air leak were permitted and for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sealant, no maximum device dose or volume was
2 specified. At the conclusion, air leaks were
3 again reassessed before chest closure.

4 There was perspective consensus on
5 some aspects of chest tube management, as
6 listed here and also as presented by the
7 sponsor this morning. Physician discretion
8 was allowed for use of water for up to 24
9 hours, for use of Heimlich valves and for the
10 duration of air leak cessation before chest
11 tube removal.

12 The protocol recognized that
13 patients discharged with the Heimlich valve
14 would affect the accuracy in recording the
15 duration of the post-operative air leaks since
16 patients would not be in the hospital for
17 daily observation, but would return on a
18 weekly basis for assessment of air leakage.

19 These instances were expected to be
20 wrong and -- rare, excuse me, and that is
21 stated in the protocol and therefore, duration
22 of air leak was planned to use the number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days elapsed from surgery until the patient
2 returns to clinic with no air leak.

3 Follow up was from surgery through
4 30 days post surgery and included evaluation
5 of the chest tube, air leak and drainage,
6 chest x-rays, which included a 30 day post-
7 operative chest x-ray, time to no air leak,
8 time to chest tube removal and time to
9 hospital discharge, laboratory values,
10 including immune responses, this was just
11 described, and adverse events.

12 In this study, 275 patients were
13 enrolled, 161 of these patients met pre- and
14 intra-operative criteria and were randomized.

15 Nine patients died. Two patients in each
16 group were lost to follow up. Two sealant
17 treated patients were discontinued, one for
18 transplant of the lung and one for lobectomy.

19 Overall, 148 patients completed this study.

20 Base line demographics where
21 generally considered to be clinically
22 comparable across the cohorts. Please note

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there were no differences between the cohorts
2 for pulmonary function tests.

3 There were slightly more, nearly
4 three percent more control patients that had
5 had previous thoracic surgery as on this
6 slide.

7 Cohorts were generally also
8 considered to be reasonably comparable for
9 diagnosis profiles, also comparable as to type
10 of surgery, including the rate of right upper
11 lobectomy, left upper lobectomy and all upper
12 lobectomies.

13 The recently proposed idea to
14 retrospectively regroup patients by procedure,
15 specifically to combine procedures in which
16 partial lobes were resected, was considered
17 inappropriate because the volume of resected
18 lung in partial resections, such as wedge
19 resection, was not recorded and data
20 comparability would therefore be precluded.

21 Cohorts were also considered
22 comparable as to surgical approaches, as well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as the incidents and severity of pleural
2 adhesions, although the control cohort had 8.5
3 percent more patients reported to have
4 extensive adhesions.

5 Overall, the intra-operative air
6 leak profile was also considered to be
7 comparable and the total number of air leaks
8 per cohort was consistent with the two to one
9 randomization.

10 In patients randomized the standard
11 of care plus sealant, sealant was most
12 commonly applied once, although three
13 applications were allowed.

14 As to time, time in the operating
15 room and time to skin closure were both
16 considered to be comparable.

17 At this time, it's my pleasure to
18 introduce Dr. Chang Lao, who will present the
19 FDA statistical perspective on their outcomes.

20 DR. LAO: Good morning. My name is
21 Chang Lao, Division of Biostatistics and
22 Office of Surveillance and Biostatistics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 My presentation today, this is
2 altering of my the presentation, indication
3 for use, primary and secondary efficacy
4 endpoint, study design and statistical -- and
5 as it results, efficacy and summary.

6 Indication for use, this adjunct
7 device to stent tissue closure technique,
8 sealing or reducing air leak include
9 preliminary surgery. Study design, this is
10 open label, multi-center, five centers control
11 trial, study of care use as control, two to
12 one randomized by plus size equals size,
13 blocked by investigator or surgeons within
14 sites, stratified by predict FEV1.

15 Assuming you know the prime
16 efficacy endpoint is a proportion of patients
17 who were air leak free from point of surgery
18 through the one month visit or the duration of
19 hospitalization, which ever is longer.

20 Five secondary efficacy endpoint,
21 proportion of intra-operative air leak IO
22 areas sealed, proportion of recovery of post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operative air leak, POAL-free, duration of
2 POAL from time of surgery, in term of days,
3 duration of chest tube placement in days by
4 survival analysis and last one is the duration
5 of hospitalization in days by survival
6 analysis.

7 Sample size, total 275 patient in
8 load, of 275, 70 patients not randomized due
9 to intro- -- apparent air leaks, 44 patients
10 not randomized for other reasons.

11 So, with your total of 114 patients
12 not part of -- not randomized, subtract 114
13 from 275, you have -- we have 161 patient
14 randomized. Of the 161 with sealant group
15 versus 58 in control group, nearly two to one
16 ratio, but not exactly equal two to one.

17 The superiority trial, two sided
18 type of area equal five percent -- 80 percent,
19 assume control of POAL percentage around 60 to
20 70 percent, clearly indicate the technical
21 difference, equal 25 percent. Expected drop
22 out rate of about 10 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The patient complete the whole
2 study short of 92 percent versus control of 91
3 percent and Dr. Horbowyj already told you,
4 patient accountability looks at distribution
5 of complete and not complete patient
6 comparable between the two groups.

7 There is this randomization centers
8 of vascular with site, five site, the number
9 of surgeons range from one to three, depending
10 on which site and you have the sample size
11 ratio by surgeon.

12 So, you can see some of them
13 exactly two to one, because this is the plus
14 size of multiple six. So, some samples are
15 not necessarily equal six. For example, the
16 site at number four, you can see that seven
17 days before, surgeon number two, the four to
18 two is a two to one. But not necessarily
19 applied a two to one ratio to all the
20 surgeons.

21 So, any division of the two to one
22 randomization impacts because this open study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it impacts to the clinical outcome is ---
2 I don't know, but this is -- can be potential
3 problem if you switch some patients from one
4 group to the other group.

5 Table two is statistical analysis
6 results for the primary efficacy endpoint,
7 POAL-free by site. With each site, we
8 calculate odd ratio. That's the odd ratio
9 defined at the bottom of the table,
10 probability of success divided by --
11 probability of failure for the same group,
12 that's for the center group, divided by same
13 as for the control group.

14 By this definition, if odds ratio
15 greater than one in favor of sealant,
16 otherwise for the control.

17 The combined odds ratio based on
18 this table, based on our site combined, 3.36.

19 That's calculated from the bottom of table,
20 number of success in the second group divided
21 by number of failure in the control -- number
22 of failure in the sealant group. That's odds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the sealant divided by the odds for the
2 control group.

3 So, in this definition, odds were
4 greater than one in favor of sealant group.
5 Three criteria to justify for pooling of a
6 multi-center trial, number one, is there any
7 treatment effect? There's no hypothesis here,
8 no treatment effect across five centers, a
9 guess of hypothesis at the least one treatment
10 effect, surely, at least one or more across
11 five centers.

12 So, this hypothesis can be tested
13 upon many hazard tests or the Mantel-Haenszel
14 test, basically, two tests per year, pretty
15 similar results, only a different variation of
16 the test.

17 The Mantel-Haenszel is a condition
18 of the margin for total issued by two tables,
19 unconditional test. If you pass the number
20 one question, then the criteria number two is
21 there any -- if there is a treatment effect,
22 we would like to know, is there any common

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treatment effect over five sites.

2 The can be done by the base load
3 day, how much needed the test, and the base
4 load, the test is a global test. It is done -
5 - these are designed for test recording
6 treatment by center interaction.

7 So, if your odds ratio goes
8 opposite the ratio of qualified site, this
9 base load test is not very powerful.

10 Number three, if you pass the
11 criteria, number two, is there is homogeneity,
12 can we get a combined common estimate for odds
13 ratio at a base level of five site.

14 This can be done Mantel-Haenszel
15 test, it's a fixed effective model. We assume
16 they have passed the homogeneity test already,
17 otherwise --

18 The results -- three criteria for
19 putting a mulit-center trial number one, is
20 there any treatment effect? The answer is
21 yes, P equals .0039 in favor of sealant to
22 reject a known hypothesis of no treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effect.

2 No hypothesis here is defined as
3 ratio equal one by Mantel-Haenszel test.
4 Number two, if there is a treatment effect, is
5 there any common treatment effect? The answer
6 is yes. Base load day Homogeneity test, the P
7 equaled .39 failed to reject no hypothesis of
8 no common odds ratio.

9 Note at the bottom, if not
10 homogeneity at our center, we can always try
11 random effect putting various among site to
12 site into the model.

13 Last, number three, if there is a
14 homogeneity acquired by the center, in terms
15 of prime endpoint, can we get a combined
16 common estimate between the five sites? The
17 answer is yes. Combined odds ratio here is a
18 weight by site to site equals 3.34, 95
19 competency interval for the true odds ratio
20 1.429.1 doesn't include one, P value equals
21 .005, which means reject no hypothesis of no
22 common odds ratio, no common treatment effect.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 No hypothesis here defines common odds ratio
2 equals one.

3 At the bottom of the table, I said
4 there were no significant cohort in this
5 analysis, so, in the model we used here, only
6 treatment with only covariable use in the
7 comparison. No other comparable -- the other
8 one --

9 Table three, this is the five
10 secondary endpoint. Number one is intra-
11 operative ARD IOAL sealed, two different
12 analysis. First one would depend on what
13 event because each patient is some -- more
14 than one IOAL at the beginning.

15 So, depending on how many of them
16 is sealed-- number per event, second as a
17 patient. Each patient only counted once and
18 76 percent was 15 percent in favor of the
19 sealant group, P value sponsors .001.

20 Assuming independence among model
21 event per patient and with this kind of
22 assumption, because I don't have data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 validate that, but because the P value is so
2 small, even if you take care of the
3 independent correlation into consideration,
4 probably won't change the result very much,
5 otherwise we can try different type of
6 collated binomial or cluster binomial or a
7 kind of beta binomial. But here, I didn't try
8 that.

9 The second analysis is the same,
10 the .9 percent and 10 percent, also of the
11 sealant group, therefore, a Fisher's exact
12 test. The second endpoint that we covered on
13 POAL sealed at 54.4 percent was 32 percent, P
14 equals .022, Fisher's exact test.

15 Number three, duration of the post-
16 operative leak sealed from time of surgery.
17 This is continuous data, so we used -- it
18 caused some grief with some typical data, not
19 distribution. So, P equals .41, no difference
20 between the two group, mean equals 4.7 days
21 for sealant group versus 3.6 days for the
22 control group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is number four, secondary
2 endpoint is a chest tube removal by survival
3 analysis. So, the probability of chest tube
4 removal, this was applied upward because this
5 is a good event. If recurring event, we plus
6 the other curve -- increase the function of
7 time, otherwise it plugs downward, decrease
8 the function of time.

9 So, here is a meeting of five days
10 each. It means at day five, about 50 percent
11 of the patients had a chest tube removal and
12 the meaning of the meeting is slightly
13 different because this not exactly for the
14 Gaussian distribution and the logged rank test
15 .P .89, not difference.

16 Again, we're constantly ranking
17 some test .57, no difference between the two
18 groups and the log rank test saw equal weight
19 at each time point, where tests add some more
20 weight at the beginning of study, when more
21 patients under observation.

22 Number five, probability of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hospital day duration, the sealant is slight
2 above what the control group, median is 7.4
3 days for sealant group versus 9.3 days for
4 control. Median is about one day shorter than
5 control group. Log rank test .041 statistical
6 significant, but however we say, the test
7 center group where the discharge -- was one
8 patient in the control, when the discharge was
9 having an issue with the valve. But compared
10 to the sub-group analysis in their
11 presentation.

12 Summary, the primary efficacy
13 endpoint statistically significant combined
14 odds ratio equals 3.34, 95 competency interval
15 1.4 to 9.1, doesn't include one in favor of
16 the sealant group.

17 Proportion of POAL-sealed, 35
18 percent was 13.8 percent control. Summary for
19 five secondary endpoint, number one, intra-
20 operative air leaks, P less than .01, in favor
21 of sealant group, seven day, 70.9 per patient
22 was 10.3 percent for patient Fisher exact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test.

2 Number two, recovery lung POAL-
3 sealed, P equal .002, also in favor of the
4 sealant group, which was 54 percent for the
5 sealant group versus 32 percent for the
6 control, Fisher exact test.

7 Number three, no secondary
8 difference duration of POAL-sealed from time
9 of surgery, the main 4.7 days for sealant, 3.6
10 days for control, P equal .41, but we're
11 constantly ranking some number test.

12 Number four, no secondary
13 difference in probability chest tube removal,
14 five days each for the median.

15 Number five, hospitalization, log
16 rank test is .041, favor of the sealant group.

17 This is a summary of the efficacy data, but I
18 want to add one comment for the same
19 calculation. One or three patient will versus
20 58 patients control-and these remedies are
21 based on efficacy, not based on safety.

22 For safety, for such a small sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 size, not enough power to detect the real
2 event between the two groups. This end my
3 talk. Thank you very much.

4 DR. HORBOWYJ: This section of the
5 FDA presentation addresses the outcomes from
6 the clinical perspective and issues and FDA
7 questions to the Panel.

8 FDA questions to the Panel will
9 request your comment on the following:
10 potential clinical impact of product
11 resident's time, potential clinical outcome of
12 renal clearance and/or toxicity, overall
13 assurance of product effectiveness and overall
14 assurance of a reasonable risk -- reasonable
15 level of risk -- that risk of adverse events,
16 illness or injury associated with the use of
17 ProGEL Surgical Sealant for its intended uses
18 and conditions of use.

19 This presentation provides a
20 clinical complement to Dr. Chang's statistical
21 prospective on the effectiveness endpoints,
22 namely incidence of air leak-free patients,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time to hospital discharge days, time to air
2 leak sealed days, time to chest tube removal
3 days, as well as specific pulmonary renal
4 questions, issues and FDA questions to the
5 Panel.

6 As Dr. Chang has described, a
7 primary effectiveness endpoint of no air leak
8 through one month post-operative was met, as
9 was the secondary effectiveness endpoints of
10 no air leak in the operating room and the
11 recovery room.

12 The column labeled difference on
13 these slides represents the difference between
14 cohorts. The notation next to the number notes
15 for which cohort the difference was greater.

16 Review finds that there was a 60
17 percent difference between cohorts for air
18 leaks sealed per patients in the operating
19 room and the incidences change between the OR
20 and the recovery room because in both groups,
21 there were patients without an air leak in the
22 operating room who developed an air leak in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the recovery room and there were patients with
2 an air leak in the operating whose air leak
3 was not present in the recovery room.

4 Therefore, the difference between -
5 - therefore, the 60 percent difference between
6 cohorts for no air leak in the operating room
7 changed to a difference of 23.9 percent in the
8 recovery room.

9 These changes in instances of air
10 leak between the operating room and recovery
11 room are clinically notable.

12 From the OR through one month
13 follow up, there was a 30.1 percent difference
14 favoring the investigational cohort. From the
15 recovery room through one month follow up,
16 there was a 21.6 percent difference, favoring
17 the investigational advice cohort.

18 Time to hospital discharge data is
19 presented here and this data is based upon FDA
20 statistician recount of per patient data.
21 Differences between investigational device and
22 cohort are presented, since the study was not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 powered to endpoints other than the primary
2 effectiveness endpoint, which you saw on the
3 other slide.

4 The data was reported in increments
5 of two days through 11 days and then is
6 greater than 11 days.

7 For this endpoint that is time to
8 hospital discharge days, 3.8 and 7.9, or a
9 total of 11.7 percent, more investigational
10 device patients in control were discharged
11 home by day six.

12 However, eight percent more
13 investigational device patients with a post-
14 operative air leak at more than five days
15 received a Heimlich valve and were discharged
16 with a Heimlich valve.

17 As you've heard, the use of the
18 Heimlich valve was for physician discretion,
19 not per prospective guidelines defined in the
20 protocol. Four sealant patients received a
21 Heimlich valve for persistent and symptomatic
22 air leak and were consider to have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pneumothorax as an adverse event. No control
2 patients received a Heimlich valve for a
3 persistent or symptomatic air leak.

4 Seven other sealant and one control
5 patient received a Heimlich valve for a
6 persistent asymptomatic air leak alone and
7 were not considered to have an adverse event.

8 Heimlich valves used in these
9 patients decreased time to hospital discharge
10 for these patients. However, as Heimlich
11 valve use criteria were not prospectively
12 declined, Heimlich valve use confounds
13 interpretation of time to hospital discharge,
14 due to the lack of information to support
15 uniform application of consensus criteria for
16 Heimlich valve use during this clinical trial.

17 Data excluding patients discharged with the
18 Heimlich valve has not been reviewed by FDA.

19 Time to no air leak data is
20 presented here. This data demonstrates that
21 2.4 percent of more investigational device
22 patients had no air leak at zero to two days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 However, 6.6 and 3.5 or a total of 10.1 were
2 control patients had no air leak at three to
3 six days.

4 Data also demonstrates a 7.4
5 percent more investigational device patients,
6 time to no air leak endpoint occurred at more
7 than 11 days.

8 Since patients were discharged with
9 the Heimlich valve and were re-evaluated at
10 one week increments, rather than daily,
11 patient discharge from the hospital with
12 Heimlich valve and weekly rather than daily
13 increments confounded a determination of the
14 true number of days to no air leak in these
15 patients.

16 Nonetheless, the data does say that
17 four percent more investigational device
18 patients, time to no air leak occurred in more
19 than 11 days.

20 This slide presents time to chest
21 tube removal. The data here demonstrates that
22 while there was a 1.9 percent difference in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 favor of the investigational device at zero to
2 two days, most patients in both groups had
3 chest tubes removed at three to six days.

4 However, thereafter, more control
5 patients had chest tubes removed through 11
6 days, while more investigational device
7 patients had chest tubes removed after 11
8 days.

9 Again, since patients discharged
10 with the Heimlich valve were re-evaluated at
11 one-week increments rather than daily,
12 discharge from the hospital with a Heimlich
13 valve and weekly rather than daily assessments
14 confound a determination of the true days to
15 no air leak in these patients and may have
16 also affected time to chest tube removal
17 determination.

18 Nonetheless, 7.4 percent more
19 investigational device patients time to chest
20 tube removal was at more than 11 days.

21 In addition to the pulmonary
22 parameters just discussed, the following four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues were presented by the sponsor and form
2 the basis of FDA questions to the Panel, as
3 these represent clinical outcomes that may
4 impact patient safety.

5 Delayed air leaks were defined as
6 an air leak that occurred first on or after
7 post-operative day two. Data demonstrated
8 that six percent more sealant-treated patients
9 than control experience air leak that occurred
10 in this way.

11 Prolonged air leaks were defined as
12 any air leak that was present in the recovery
13 room on post-operative day zero or on post-
14 operative day one and was still present after
15 post-operative day seven.

16 Data demonstrated that two percent
17 more investigational device compared to
18 control patients have prolonged air leak at
19 day seven and that 7.5 percent more
20 investigational device patients compared to
21 control have prolonged air leak through and
22 after 11 days.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pneumothorax was considered
2 symptomatic -- when considered symptomatic,
3 was presented as an adverse event. In the
4 standard care plus sealant cohort,
5 pneumothorax as an adverse event was reported
6 in nine of 103 patients.

7 Five of these nine patients
8 required intervention, such as a chest tube or
9 Heimlich valve to be placed. One of these
10 patients died. Also, one patient who presented
11 with a pneumothorax three weeks post surgery
12 was reported by the investigator to have a
13 serious, unexpected device-related adverse
14 effect due to the temporal relationship of the
15 event with the use of the sealant and this
16 information is related in the sponsor's
17 executive summary in your Panel pack.

18 In the standard care control group,
19 pneumothorax as an adverse event was reported
20 in five of 58 standard care control patients.
21 One of these five control patients required
22 chest tube re-insertion. None of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients died.

2 As to incomplete lung expansion at
3 one month follow up, the sponsor reported
4 these results for the treating investigator
5 and the sponsor's medical monitor's review of
6 149 patients.

7 In this review, complete lung
8 expansion and one month follow-up was reported
9 in 62 out of 96 patients, that is 67 percent
10 in the investigational group and 41 out of 53
11 patients, that is 78 percent in the control
12 group. The difference here is 11 percent.
13 So, 11 percent more control treated patients
14 had complete lung expansion by this
15 assessment.

16 Of patients who received a Heimlich
17 valve on post-operative one month chest x-ray,
18 seven of 11 sealant patients had incomplete
19 expansion. Five stayed the same compared to
20 the chest tube pull, one increase compared to
21 the chest tube pull chest x-ray. Eleven of
22 four sealant patients and one of one control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients had complete expansion at one month
2 follow up.

3 Incomplete lung expansion sequellae
4 beyond one month follow up are unknown in
5 patients treated with sealants.

6 To further evaluate the occurrence
7 in 30-day outcome of the pneumothorax partial
8 expansion or residual space findings on chest
9 x-rays, a follow-up chest x-ray evaluation
10 protocol was designed by FDA and the sponsor
11 during PMA review.

12 In this analysis, chest x-rays from
13 the recovery room within 24 hours of chest
14 tube removal and at 30 days post-op were
15 reviewed for 60 patients randomly selected at
16 three to five investigational sites.

17 This sample size was considered to
18 be sufficiently useful for us to gain
19 information, but also, least burdensome for
20 the sponsor and so, it was agreed upon.

21 Assessment of the chest x-rays,
22 explicitly specified method of determining

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 partial expansion size, to assure uniform
2 measurement of each chest x-ray.

3 Subjects were selected in a two
4 sealant to one control ratio and chest x-rays
5 were read by an independent master
6 radiologist. Data demonstrated that while two
7 percent more control patients in the recovery
8 room and 11 percent more control patients at
9 chest tube pull had incomplete expansion at
10 one month follow-up, 17 percent more
11 investigational device patients had incomplete
12 expansion. No control treated patients had
13 incomplete expansion.

14 For the six sealant patients with
15 incomplete lung expansion and one month follow
16 up that were part of the random cohort
17 assessed by an independent master radiologist,
18 the size or extent of incomplete expansion at
19 the time of chest tube pull and one month
20 follow-up is presented here.

21 For patient number one, the data is
22 incomplete and therefore, change cannot be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 determined. For patient number three, the
2 incomplete air space had increased from 1.2
3 centimeters at the time of chest tube removal
4 to 3.5 centimeters at one month follow-up.

5 For the other four of these
6 patients, the incomplete air space was
7 decreasing, but not resolved, from the time of
8 chest tube pull at one month follow up. The
9 data demonstrates incomplete chest x-ray a
10 size ranging from .2 to 4.8 centimeters. In
11 control patients, only complete expansion had
12 resolved.

13 In considering the composition of
14 ProGEL Surgical Sealant, known renal excretion
15 and the potential for immune reaction to the
16 chemically modified human albumin component in
17 the sealant, the difference between
18 investigational and control cohort patients'
19 change in renal parameters was noted.

20 Data demonstrated the nine percent
21 standard care plus sealant and 8.3 percent
22 standard care-alone patients had renal adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 event. That is 5.7 more investigational
2 device patients than control patients had
3 renal adverse events.

4 Data for pre-op and one month BUN
5 in serum creatinine levels, as well as the
6 severity of the adverse events were reported.

7 Data demonstrated that six of nine patients
8 had a rise in serum creatinine from the
9 sealant group. One of nine patients did not
10 have a rise in serum creatinine and two of the
11 nine patients died, and this comparison is
12 from the pre-op time to the one-month follow
13 up time.

14 There was pre-existing renal
15 disease in pre-investigational device patients
16 and one control patients who had an adverse
17 event. There was also no pre-existing renal
18 disease in six investigational device and one
19 control patient who had a renal adverse event.

20 Severe renal adverse events
21 occurred in five investigational device
22 patients without pre-existing disease and two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of those patients died. Severe renal adverse
2 events occurred in one control device patients
3 with pre-existing disease and that patient
4 died.

5 In assessment of the cause of
6 death, data reported that all five patients in
7 the investigational cohort had pulmonary
8 etiology and two of these were associated with
9 multi-organ failure. Data reported that the
10 four death in control treated patients had a
11 mixed etiology and no multi-organ failure.

12 In summary, the incidents of air
13 leak free patients through one month follow up
14 as determined from the recovery room through
15 one month was 21 percent greater in the
16 investigational group than in control. When
17 determined from the OR through one month, this
18 was 30.1 percent. So the primary
19 effectiveness endpoint was met.

20 Incidents of air leak free status
21 in the OR was 60 percent greater in the
22 sealant group than in control; in the recovery

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 room, 23.9 percent greater in the sealant
2 group than in control. The endpoint was met
3 statistically, however, the air leak
4 recurrence rate is clinically notable.

5 The time to hospital discharge
6 endpoint was met. However, the evaluation has
7 been confounded and potentially biased by
8 Heimlich valve use without a perspective plan.

9 The time to air leak seal than time to chest
10 tube removal was not found to have difference
11 and the evaluation was also potentially
12 confounded and biased by Heimlich valve
13 without a perspective plan.

14 As to specific issues in our
15 questions to the Panel, the following are
16 noted again here in summary.

17 Late on-set air leak occurred in
18 six percent more investigational device
19 patients than in control. Prolonged air leak
20 occurred in two percent more investigational
21 device patients than in control at post-
22 operative day seven and in 7.5 percent more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigational device patients than in
2 control through post-op day 11 and thereafter.

3 Pneumothorax as an adverse event
4 occurred at a comparable incidence. However,
5 five of nine standard of care plus sealant
6 patients and one of five standard of care or
7 control patients required invasive
8 intervention and one of these investigational
9 device patients died.

10 As compared to incomplete lung
11 expansion at one month follow up, treating
12 physicians and the monitor, the sponsor's
13 monitor, found 11 percent more patients in the
14 investigational group compared to control who
15 had incomplete expansion at one month follow
16 up.

17 Independent radiologic assessment,
18 which was masked, found 17 percent more
19 patients in the investigational group compared
20 to control to also have incomplete lung
21 expansion. We considered these to be
22 comparable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701