

1 argue it wouldn't is I can't sort out from
2 those papers which ones would match and which
3 ones wouldn't match.

4 DR. TEMPLE: Yes, well doing it is
5 another matter but that is the intuitive
6 feeling I am hearing from people. A lot of
7 these people who would have been in --

8 DR. MUSHER: That is --

9 DR. TEMPLE: -- before.

10 DR. MUSHER: -- the truth.

11 DR. TEMPLE: Okay.

12 DR. VENITZ: I just wanted to agree
13 with that. That allows me then to translate
14 not only the mortality results that we find in
15 those old studies to translate them into
16 failure rates, clinical failure rates, because
17 you are looking at a different endpoint. But
18 I still think that the patient population is
19 different.

20 DR. TEMPLE: And I take it a high
21 likelihood that it is bacterial would be
22 important here also --

1 DR. VENITZ: Absolutely.

2 DR. TEMPLE: -- to make you
3 convinced that they would do badly?

4 DR. MUSHER: Absolutely. And
5 again, one of those backup slides that I
6 showed was from the Heffron textbook showing
7 that in the 1930's, 96 percent of everything
8 that they could call pneumonia was
9 attributable to pneumococcus.

10 ACTING CHAIR TOWNSEND: Dr. Rex?

11 DR. REX: Dr. Temple has commented
12 on the importance of trial quality and getting
13 microbiology. And I said it was important
14 earlier in the sicker folks. It is even
15 probably more important here that we have a
16 good microbiological -- sorry, we have a good
17 clinical footprint supported by a pretty
18 significant amount of microbiology. And that
19 should be achievable.

20 This severe business, mild
21 business, sometimes I think it helps to
22 actually get a picture in your head. And let

1 me, I am just going to pick one.

2 Case 6142. Man age 44 admitted as
3 a control case, which means he is not going to
4 get treated, about the third day of his
5 illness with bilateral lobar pneumonia,
6 pneumococcus type one. Three doses of
7 polyvalent antiserum were given daily from the
8 day of admission.

9 So, think about him initially. He
10 doesn't sound too sick. They are not saying
11 he is dead yet. And then they say -- but he
12 sounds pretty sick. But would he have been an
13 outpatient today? It kind of sounds like he
14 might have been because it isn't until the
15 third day that he started to get worse and now
16 delirium is well marked by the third day.

17 So, when you think about this guy,
18 he was probably not, he was kind of on the
19 edge three days ago. And then over a period
20 of three days he actually deteriorates. So,
21 I bet this is somebody that you might well
22 have treated orally and yet now in the face of

1 no meaningful therapy, he has gone downhill.

2 So, it is this issue of progressive
3 risk. And this guy is 44, so that puts him
4 kind of beginning in the more severe -- and
5 this guy goes on to die, by the way.

6 So, it is progressive risk. It is
7 not at the moment. And we do have lots of
8 care systems. We are currently motivated very
9 much to send people home with good monitoring.
10 Come back and see me in the office tomorrow
11 morning. I have got an ER follow-up clinic
12 every day from 8:00 to 10:00, come back in the
13 morning. So, we do lots of things to send
14 people home to spend the night in their bed
15 because that is good for them. It is good for
16 health care costs. And we want to be able to
17 generate some reasonable data. So it is going
18 to be up to us to generate good quality
19 information. And I will remind you. I have
20 said it a thousand times, but I will say it
21 one more time. We are not going to give you
22 just one trial. We are going to give you a

1 whole package of data that points to why this
2 dose should have had an effect. And these
3 patients have a good syndrome and at least a
4 fair proportion of them have a microbiology
5 footprint that makes sense.

6 And we have looked for influenza
7 and we weren't in the middle of an influenza
8 epidemic that we enrolled a whole gob of
9 people with influenza because we looked for
10 that too. So, keep all those things in mind
11 when you think about what it would look like.
12 It is not just an abstract. It is a real
13 thing where we are going to working. The
14 sponsors need to be motivated to chase the
15 good cases.

16 DR. KAUFFMAN: Could I just make a
17 comment that it is going to be harder in this
18 kind of patient than it is in the person who
19 is in the ICU bed who is captive and you can
20 get all the data you want on them. So, these
21 would be tough studies to do but they are
22 immanently doable, I think and will answer

1 important question. And I agree. A lot of
2 people are treated with quinolone. They are
3 sent home. And only later they come back into
4 the hospital when they need to be
5 hospitalized. But early on, most of them stay
6 out and do fine.

7 ACTING CHAIR TOWNSEND: Thank you.
8 Any other comments on that part of the
9 question? All right, that may have been the
10 easy one. Now comes the tough stuff.

11 "To which patient population would
12 this information apply with regards to disease
13 severity and microbiological etiologies?" How
14 do we decide which are mild to moderate
15 patients that we treat as an outpatient on an
16 oral agent? Dr. Dowell?

17 DR. DOWELL: I guess, technically,
18 if I said no, I am not supposed to answer
19 these but I think that people are pointing
20 that way out for those of us who voted no.
21 And that basically is to say, well let's go
22 back and enroll the patients who would have

1 been enrolled in the 1940's trial but who
2 could have taken an oral agent. I mean, that
3 is an easy way out because then you can use
4 that for your M1 and then apply these things.

5 So, to answer number one, you would
6 say you would like this information to apply
7 to those patients who would have been in those
8 trials. So, it is kind of the patient that
9 John is describing.

10 ACTING CHAIR TOWNSEND: Dr. Musher.

11 DR. MUSHER: Start by saying that
12 if you are going -- patients who would be
13 included are those who would qualify by the
14 pneumonia outcomes research team, the PORT
15 score would qualify for not being hospitalized
16 and that is a score, as always, modified by
17 clinical judgment. So, if they qualify for
18 hospitalization, we are not going to treat
19 them as outpatients.

20 I would then say that the question
21 is, do you want to enrich them for bacterial
22 disease or do you want all the viruses and the

1 mycoplasma in there too? And that is kind of
2 difficult to say. And I don't know what the
3 answer is.

4 So, believe it or not, I won't have
5 anything further to say.

6 ACTING CHAIR TOWNSEND: Dr.
7 Whitney.

8 DR. WHITNEY: I do think you should
9 try to enhance them for bacterial etiologies.
10 I think that is harder with outpatients
11 because nowadays clinicians don't often get
12 diagnostic tests routinely. So you would have
13 to catch them as part of the trial and get
14 that.

15 ACTING CHAIR TOWNSEND: Any other
16 comments?

17 (No response.)

18 ACTING CHAIR TOWNSEND: Okay, part
19 two. "What endpoints should be utilized in a
20 study of this type?"

21 DR. MUSHER: I just would say
22 again, as I have said before, I just took a

1 look at the idea in the document and it does
2 say fever and the items that are mentioned in
3 that patient report form. And I will propose
4 that it was simply an omission that they
5 didn't mention the severity, the other
6 indicators of severity of disease which refer
7 to things like respiratory rate and pulse.

8 But that being said, these are
9 patients who have gone home. So, therefore,
10 you really are left with asking them to take
11 their temperature and the patient report forms
12 as discussed.

13 ACTING CHAIR TOWNSEND: Dr. Venitz?

14 DR. VENITZ: I think it should be
15 the same endpoints as it was for the
16 inpatients. Clinical success that might
17 involve actually having those patients visited
18 by nurses what have you to do, whatever
19 testing is appropriate.

20 I do think that the PROs should be
21 evaluated but not being used as primary
22 endpoints. I consider them, at this point,

1 investigational. And I think somebody said
2 before, maybe in five years the next committee
3 gets together and decides that the PROs are
4 the more relevant. But I think for right now
5 it should be the same clinical success
6 criteria that you use for the inpatient, for
7 the severe patients.

8 DR. KAUFFMAN: Can I make a
9 comment? I think if you are going to do this
10 trial right, then part of the agreement right
11 up front is the patient visits you in your
12 office very other day or something like that
13 and you take the time to see them and document
14 things from the physician point of view as
15 well. So, I think you would need both ends of
16 that.

17 DR. MUSHER: Is there an industry
18 perspective on that? Is that a possible thing
19 to do?

20 ACTING CHAIR TOWNSEND: Dr. Rex, do
21 you have a comment on that?

22 DR. REX: Well, I always laugh when

1 somebody says what does industry thing. You
2 know, there is no -- but I tell you what.
3 This corner right here of industry has to say
4 is I appreciate, you know, Dr. Fleming makes
5 a good point. We wish we had a PRO, I truly
6 do because it would be nice. And I was struck
7 by, for example, the oseltamivir versus
8 placebo Nicholson 2000 paper review they
9 recently wrote. It wasn't a validated PRO,
10 but they collect symptoms twice a day on
11 people. And they were able to say, they
12 defined a way the symptoms got better and I
13 thought that was good.

14 But to say that we are stuck dead
15 in the water until such time as we go through
16 the entire process of validating an official
17 PRO is, I think, a degree of skepticism and
18 angst that is not warranted at present. If
19 you look at the group of symptom -- we have
20 got a sense of it. I showed that slide
21 earlier where what were all the symptoms when
22 people tried to come up with PRO-like things,

1 what were the kind of symptoms that they came
2 up with? And you saw the list and it was
3 fever and chills and cough and dyspnea and so
4 forth.

5 It is hard for me to imagine that
6 a fully validated 100 percent FDA approved
7 psychometrically perfect PRO would differ
8 dramatically from the aggregate, not on any
9 given day, but the aggregate interpretation
10 over an entirety of a patient's course by the
11 average physician. There will be individual
12 mismatches. The aggregate, the physician-
13 based report may not be as precise because it
14 may not be able to tell you how many hours it
15 took but it is overall reasonably accurate.
16 Dr. Musher and I will agree at the end of the
17 whole observation period, Ms. Smith is either
18 better or not. We may differ whether she is
19 failing on day four, day four and a half or
20 day five. But we are not going to differ on
21 aggregate that much.

22 So, I think that we can work on

1 PROs and industry will gladly spend a little
2 time developing them because, who knows, we
3 might come up with a sensitive measure that
4 allows me to say that yes, my drug actually
5 does make people better faster. That is my
6 motivation to do it. I will work on that.
7 But to tell me that I have got to do that in
8 order to do any kind of trials for oral drugs,
9 I think that is a degree of radical skepticism
10 that is not warranted. There is enough match,
11 it is an intuitive thing but it seems very
12 reasonable to me, there is enough match
13 between what would have to be in a PRO and
14 what is already in the clinical response that
15 we have been using. That clinical response
16 can't differ that much from the overall flavor
17 of the PRO. I think that is -- it is hard for
18 me to see anything different than that. Some
19 precision? Yes. But qualitatively, the match
20 is going to have to be pretty good.
21 Otherwise, the PRO is measuring something that
22 I don't even know what it is, if it doesn't

1 more or less match the aggregate sense of the
2 patient got better, was ready to eat and was
3 ready to go back to work.

4 ACTING CHAIR TOWNSEND: Dr.
5 Calhoun.

6 DR. CALHOUN: So I think that the
7 outcome measure has to be clinical success and
8 clinical failure. These people, by
9 definition, are not going to have physiologic
10 abnormalities that you can measure. One might
11 be able to look at temperature and you could
12 look at time to resolution of temperature as
13 one objective but they won't have abnormal
14 blood gases, by definition. The amount of
15 tachycardia that they have got will be small
16 and probably immeasurably elevated.

17 So, I think the outcome is going to
18 have to have to be a clinical response with
19 patient reported outcomes. And I would agree
20 with John. I think the notion that these
21 would need to be validated a priori is
22 probably a more rigorous bar than we need

1 because they wouldn't be a primary outcome,
2 they would be a secondary outcome.

3 DR. MUSER: And clearly, has to be
4 a blinded study. Everybody is absolutely in
5 agreement on that.

6 ACTING CHAIR TOWNSEND: Dr. Temple.
7 The PRO terminology seems to me something of
8 a distraction here. That usually refers to
9 something, you know, with a ten centimeter
10 scale or something like that. I mean, people
11 make clinical evaluations all the time. You
12 ask the patient how sick he is, what his fever
13 is, whether he is coughing a lot. There have
14 always been assessments, I mean, you always do
15 that. And everyone seemed to agree that we
16 are not interested only in death here, we are
17 interested in other aspects of whether the
18 treatment is successful.

19 So, I think everything we heard
20 made us think you would continue to use that
21 kind of endpoint here, just as you would in
22 the more severe illness. But to aspire to

1 developing standardized instruments for each
2 of these things or an SF-36 or something like
3 that, I don't think that is what people have
4 in mind. Is it?

5 I mean, if somebody wanted to show
6 that one drug makes a person feel better in
7 some way and was able to do that with some
8 novel instrument, well that is okay. But you
9 are just trying to find out what the failure
10 rate is because that is what you know. And
11 what we have heard, and I think it is
12 interesting because I don't think we
13 anticipated this, is that you think that a lot
14 of the people who were treated as outpatients
15 are not so different from those really sick
16 people before, but you can't use the same
17 endpoints. And you told us before that that
18 endpoint isn't just death, it is failure of
19 various other kinds to be described. So,
20 aren't you just saying do the same thing here?
21 Or do you really want some kind of special
22 cough instrument? You know, rate your cough

1 from zero to ten. Is that what you want?

2 ACTING CHAIR TOWNSEND: Dr. Rex?

3 DR. REX: Vigorous agreement.

4 ACTING CHAIR TOWNSEND: Speaking
5 from a clinician's standpoint, I'm not sure
6 how the other clinicians in the group feel,
7 when I see a patient with pneumonia, I don't
8 have them come back. He is treated as an
9 outpatient. I don't generally have him come
10 back every other day to see how he is doing,
11 or call him up, or ask him to call me if he is
12 not feeling well. I'm not sure if other
13 people have the same approach. That may be
14 sort of a guide to how we deal with this.

15 DR. MUSHER: And I just want to add
16 that I think that it shouldn't just be a
17 single point at day seven or day 10 or 14. I
18 do think the rate with which the improvement
19 takes place is useful and should be analyzed.

20 ACTING CHAIR TOWNSEND: Dr.
21 Patterson and then Dr. Fleming.

22 DR. PATTERSON: Well, I was just

1 going to say that yes, we do look at the same
2 clinical morbidity endpoints but it is just
3 that these people are outpatients now, whereas
4 they were inpatients before. And so, just
5 from a practical standpoint, that is a little
6 harder to do, you know, to bring the patient
7 back every other day or whatever.

8 And the PRO instrument, I think,
9 does have to be validated because patients
10 assess themselves differently than we assess
11 them. And so we don't really know what that
12 means yet. It could, potentially be very
13 helpful. It could actually potentially
14 facilitate these kinds of studies. But I just
15 don't think we know that much about the
16 instrument yet.

17 ACTING CHAIR TOWNSEND: Dr. Fleming
18 and then Dr. Kauffman.

19 DR. FLEMING: Well, just
20 reiterating. The measures that we would use
21 here would be, I would hope, somewhat
22 inclusive in that it would capture the

1 elements that again the IDSA document referred
2 to. Fever, cough, pain, dyspnea, fatigue. So
3 it should be a measure that if we are saying,
4 Bob, feel better, it should be a measure that
5 represents what a well developed instrument
6 would capture. And feeling better is easily
7 stated.

8 But to be reliably validated, this
9 is the devil is in the details. And there has
10 been, as you well know, an emerging science
11 all across disease areas as people have worked
12 toward trying to ensure that we have a
13 validated assessment. And so those tools do
14 have to go through construct validity,
15 criterion validity, context validity, etcetera
16 types of criteria to ensure that we are
17 getting a reliable assessment of treatment
18 effect. That is what the PRO is all about.

19 So, I think there is a strong
20 endorsement that in these mild patients, there
21 would be an appropriate emphasis given on
22 resolution of the symptoms, where it is an

1 array of symptoms, but in a way that is
2 reliably determined.

3 ACTING CHAIR TOWNSEND: Dr.
4 Kauffman.

5 DR. KAUFFMAN: I would just make a
6 comment. The reason I brought up the
7 physician end of this is if you want to do any
8 time analyses, time to defervescence, time to
9 pulse and respiratory rate becoming normal,
10 you really need objective criteria. You don't
11 need the patient telling you that or the nurse
12 talking to them on the phone. So, I think you
13 build into the study enough money so the
14 patient can come back and do a quick visit in
15 your office. So it is not like standard
16 business is going to be a little more careful
17 follow-up of patients.

18 ACTING CHAIR TOWNSEND: Dr.
19 Calhoun.

20 DR. CALHOUN: I was just going to
21 mention that I agree with Dr. Patterson on the
22 notion of making sure that the measurement

1 instrument that you use gives you informative,
2 gives you reliable information. But I am not
3 sure that the instrument has to pass a formal
4 validation test in order for you to be able to
5 do that. You know, the asthma community will
6 oftentimes use an instrument and analyze it
7 with a delta delta kind of approach, so that
8 we take the difference from beginning to end
9 of the trial on both sides and then look at
10 the comparison.

11 And in that way, many of the biases
12 that might otherwise be there, that is, that
13 patients rate their symptoms differently, will
14 wash out because, presumably, there is some
15 internal consistency in their response, in the
16 way that they rate their symptoms.

17 DR. TEMPLE: We need to understand
18 this. What I heard from the early part of the
19 discussion here was that these weren't going
20 to be people with a little touch of something.
21 They are going to be pretty sick people who
22 you determine could be treated as outpatients

1 because they don't look desperately ill yet.
2 So, the endpoints for improvement and success
3 ought to be pretty similar to the ones we were
4 using before, although you obviously don't
5 expect these people to die or you would have
6 brought them into the hospital.

7 So, this isn't sort of SF-36 kind
8 of stuff. It is major symptoms and problems
9 of their pneumonia. And clinicians ask about
10 those all the time. I agree, we don't always
11 ask that you validate someone's coughing
12 scale. And my assumption is, if these people
13 are being treated as outpatients, somebody is
14 going to talk to them fairly often, otherwise
15 they are -- but again, I need to be sure you
16 agree on this.

17 What I heard is that people think
18 the data we have on severe illness is probably
19 now applicable to people who aren't
20 necessarily hospitalized anymore because the
21 modern world makes that unnecessary. But that
22 really means they are fairly sick, have severe

1 disease and we are not talking about things
2 that you would ordinarily think of as just
3 really mild. They have got a problem and
4 everybody thinks it needs to be treated.

5 Are we hearing you right? Because
6 that is what I heard or that is what I thought
7 I heard. Maybe that is what I wanted to hear.

8 ACTING CHAIR TOWNSEND: I think
9 there is a mix. You know, a lot of the
10 patients they say are going to be like the
11 patients that were studied 60, 70 years ago
12 but a lot of them will have fairly minor
13 symptoms and not feel well. So, it depends on
14 how the study design is enriched to get the
15 more sick patients in.

16 DR. TEMPLE: Yes, because we are
17 going to try to get bacteriological evidence.
18 They are going to be pretty sick.

19 ACTING CHAIR TOWNSEND: Right.

20 DR. TEMPLE: Just not so sick they
21 have to come in.

22 ACTING CHAIR TOWNSEND: Dr. Wong-

1 Beringer?

2 DR. WONG-BERINGER: I just want to
3 say, echo the points that were made. And that
4 is, the instrument, the PRO does not need to
5 be validated now for us to start using them.
6 But I think to use it, we need to also combine
7 it with getting objective data from physician
8 or nurse visits, a health care professional
9 who can then compare the responses at the same
10 time. I think there is validity in both and
11 both need to be accounted for.

12 DR. VENITZ: I just wanted to add,
13 number one, I agree with you, Dr. Temple, that
14 I think some of those patients, at least, are
15 going to be pretty severely ill. That is why
16 I made the comment earlier. They may have to
17 be visited as opposed to calling in. And that
18 should be part of the assessment of the
19 clinical success or failure. They may be
20 defined for the most severe case.

21 The main reason, or one of the
22 reasons, at least, one of the major reasons

1 why I believe that that should be the
2 important outcome because that allows us to
3 stay within the paradigm of thinking all this
4 back to the studies 60 years ago. And I am
5 personally willing to make the transition from
6 mortality to success. I, personally, am not
7 willing to make that transition from mortality
8 to PROs. Okay?

9 So that is why my suggestion is,
10 PROs, if they are used, they might be
11 secondary endpoints. And maybe after we gain
12 experience with it, turn into primary
13 endpoints. But at this stage, I don't think
14 we are there yet.

15 ACTING CHAIR TOWNSEND: Dr. Rex?

16 DR. REX: Maybe it would helpful to
17 recognize there are two kinds of PROs. There
18 is PRO with a capital P, which means that we
19 have studied it like crazy and we have
20 psychometrically validated it and we have
21 wrapped a ribbon around it. And we believe
22 you can translate it into French, German, and

1 Japanese, and you get the same result. That
2 would be a PRO with a capital P.

3 And then there is the little
4 version, the lower case P, where you do
5 something that makes sense but maybe it only
6 makes sense in English, but you know, it makes
7 sense.

8 And again, I would say that I sort
9 of liked that Nicholson oseltamivir versus
10 placebo study. If you don't know it, this is
11 the study where for influenza they said, maybe
12 they gave them a card, I don't know, but twice
13 a day the patient had to answer, there were
14 six symptoms that I think they picked out of
15 a textbook, as best I can tell you. You know,
16 good symptoms in influenza, scratchy throat,
17 runny nose, and stuff like that. And you had
18 to say four times a day, was it absent, mild,
19 moderate, or severe. And then they took your
20 cards at the end of the observation period.
21 And response was the first 24 hours when you
22 checked absent or mild for everything.

1 And did they psychometrically
2 validate that? I don't know. I don't have
3 the paper in front of me and I don't think
4 they did. But does it make sense? Yes. And
5 were they motivated to do it because they got
6 something out of it? Sure, they were able to
7 say we made you better 29 hours faster. I
8 remember seeing it say 29 hours. And that is
9 good.

10 So, in the case that we are talking
11 about there, outpatient community-acquired
12 pneumonia, what is the point? It is that we
13 do have to start with existing paradigms. I
14 can't go and invent something brand new
15 because I don't really know all that much
16 about it. I need to work with the kinds of
17 things that have a familiar quality to them.
18 We need to start with existing paradigms. And
19 we do have, Dr. Venitz has said it well, we
20 have a sense that even though you are not
21 terribly sick right now, we know that in your
22 lung you have an airspace filling

1 bronchopneumonia that is bad news if left
2 untreated. So we believe there is a big
3 treatment effect.

4 And if you turn around from that,
5 you continue to improve, we are saying, the
6 dog that didn't bark, we have kept you out of
7 a lot of trouble. But we didn't do it with a
8 capital PRO, we did it with a little PRO. But
9 I am, as a sponsor, motivated to explore.
10 Again, why? Because I might be able to get
11 something useful out of it.

12 So keep that in mind. It is not an
13 official rule but it is the way medical
14 commercial stuff works. If I want to show a
15 little edge for my drug, I am going to be
16 looking for something like this to put into
17 it. And you know, you can say that that is
18 the downside of the business, but is also the
19 good side. It is going to drive me to
20 innovate. It is going to drive me to work the
21 FDA to come up with measures that could work.

22 And if we can validate a capital

1 PRO, great. But don't make me have to do that
2 just to get going. The little PRO that makes
3 good sense, if it makes good sense to me, it
4 makes good sense to my advisors when we are
5 starting a trial, it will probably make good
6 sense to everybody else.

7 ACTING CHAIR TOWNSEND: Dr.
8 Patterson.

9 DR. PATTERSON: Well, I don't think
10 it needs to hold up studying this disease
11 entity. But I just think, you know,
12 questionnaires, and I haven't been involved in
13 clinical research myself, it can make a lot of
14 difference on who is administering the
15 questionnaire, how the questions are
16 explained. And we talked about an example
17 this morning of that Lamping paper. You know,
18 the patients may not think of fever, but they
19 do know what chills and sweats are. So that
20 is what, that may be their fever equivalent.
21 Fever may be the wrong question for them.

22 The same thing as what we would

1 assess as dyspnea or shortness of breath, they
2 may not call it that. They may say well, you
3 know, I used to be able to walk to the
4 mailbox but I haven't been able to.

5 So, I do think that this is a
6 potentially very useful tool, but we do need,
7 if we really are going to use that as our main
8 assessment tool, then it does need to be
9 validated. However, I don't think that it
10 needs to hold up these kinds of studies
11 because we can still evaluate patients like we
12 always have.

13 ACTING CHAIR TOWNSEND: Dr.
14 Fleming?

15 DR. FLEMING: We are encountering
16 a very important issue here. And with its
17 importance, i.e., trying to be able to
18 document and validate what is the effect of an
19 intervention on important symptoms, it isn't
20 surprising that this is a challenge that we
21 are encountering across many disease areas.
22 And there is a science that has emerged for

1 this. And FDA has a guidance on what our key
2 criteria for validating such instruments. I
3 don't know that we have an expert around the
4 table. We are doing a lot of discussion right
5 now about how rigorous do we need to be in the
6 validation of PROs. I am not an expert. I
7 don't know if any of the rest of us are
8 experts but I would be advising the FDA to
9 ensure that you have counsel from experts in
10 this area before we would draw a conclusion
11 that it is really not all that important to be
12 rigorous here.

13 Experience has indicated in many
14 other areas for reasons that Jan, in part,
15 touched on, that the devil is in the details
16 here. And how you actually phrase the
17 questions, how they are administered, what the
18 questions are, these play a major role in the
19 overall rigors of this. And there is a
20 science on this. And I don't know that you
21 have representation on this committee of the
22 people that are the experts in that particular

1 area.

2 And so, it is important that this
3 be assessed because this isn't simply a case
4 where it is crystal clear. And I had given
5 some references yesterday to Medley's comment
6 that median time to resolution of cough is 14
7 days, 20 percent of people would have
8 substantial fatigue at three months. It is
9 not going away, even under therapies.

10 There is, there are subtleties here
11 and the bottom line is, I think there is a lot
12 of consensus that we believe in the importance
13 of addressing affects on symptoms. And that
14 has multi components to it. Maybe what we are
15 quibbling about here is what is the level of
16 rigor that we have to have in formulating that
17 instrument? And my point is, there ought to
18 be people, psychometric experts and other
19 experts weighing in on that because they have
20 particular expertise relevant to this issue.

21 ACTING CHAIR TOWNSEND: Dr.
22 Kauffman.

1 DR. KAUFFMAN: Just a comment that
2 I think in order to enrich this for people who
3 truly have bacterial pneumonia, it depends on
4 what patient population you go after and, if
5 you go to a place like VA hospitals, you are
6 indeed going to have lots of older men who
7 have pneumonia because they have underlying
8 risk factors for that.

9 If you go out to private offices
10 and do these studies, you are going to have
11 younger people, you are going to have more
12 mycoplasma and viral disease kind of
13 infiltrating into this.

14 So, I think aiming toward a
15 population that is going to have a greater
16 risk is going to be very helpful, too.

17 ACTING CHAIR TOWNSEND: All right,
18 thank you. Dr. Temple?

19 DR. TEMPLE: Well, I mean the
20 translation of the outcome data from the past
21 requires a fairly sick population, as you
22 said.

1 And I don't think this is going to
2 be, you know, how is your cough. These
3 studies will define a failure criteria, just
4 like the ones in the more severely ill people
5 will. And there will be things like, you
6 know, it doesn't go away, if you are still
7 febrile, you had to come into the hospital,
8 all those same kinds of things, which if I
9 understand everybody, is the real basis for
10 saying that you know what the non-inferiority
11 margin is. Once you get into much milder
12 disease and that really isn't bacterial and
13 stuff, I think it becomes very hard to say how
14 we are going to picking on inferiority
15 margins.

16 So, what I heard, and I think Dr.
17 Venitz said it particularly, this is the
18 modern era and those people who used to go the
19 hospital and be really sick now get treated as
20 outpatients. And that is fine. That means,
21 if I understand it, that we can use those
22 previous fairly convincing data on

1 effectiveness to apply to these populations.
2 I don't think that is going to be about an SF-
3 36, though. It is going to be about, you
4 know, the nasty outcomes of failure.

5 So, I think we hear that. And
6 unless I have just put words in everybody's
7 mouth, I think we are pretty happy with that.
8 Aren't we?

9 ACTING CHAIR TOWNSEND: Dr. Musher.

10 DR. MUSHER: Actually, Dr. Temple,
11 I don't think that is correct and let me say
12 why.

13 What I said before is if you went
14 with pneumonia to a doctor, in the old days
15 you are put in the hospital, even when I was
16 an intern, you came with any kind of
17 pneumonia, you were put in the hospital.
18 Absent treatment, you would get worse. But at
19 the time that you came in, it wasn't
20 necessarily severe. So the severity business
21 relates to the progression and the absence of
22 treatment.

1 As far as the likelihood that
2 someone with PORT, so-called PORT score
3 severity index group one or group two, I think
4 the data are published. It seems to me that
5 two percent or three percent of those patients
6 end up needing hospitalization. And that is
7 the way the data were presented in the New
8 England of Medicine. It might two percent for
9 the group two and it might be six percent for
10 group three, and the mortality was under one
11 percent for groups one and two and it may have
12 been two percent or two and a half percent for
13 group three.

14 The point is that you are dealing
15 with a very tiny percentage. So you have to
16 have a vast study to make those different and
17 that is why you have to look at these other
18 things.

19 DR. TEMPLE: But is what you are
20 describing how they do with treatment?

21 DR. MUSHER: Yes, that is exactly
22 right.

1 DR. TEMPLE: That is okay. What we
2 are comparing how they do on treatment with is
3 how they would have done if you didn't treat
4 them.

5 DR. MUSHER: Well that is what you
6 are comparing them to but I keep saying those
7 data aren't relevant.

8 DR. TEMPLE: Well, if they are not
9 relevant then you cannot say yes.

10 DR. MUSHER: That is why I agonized
11 over it.

12 DR. TEMPLE: But fortunately,
13 everybody else thinks they might be relevant.

14 DR. MUSHER: Yes, well, remember I
15 began by saying I disclaim the opening
16 paragraph --

17 DR. TEMPLE: Well, I know.

18 DR. MUSHER: -- because I think you
19 can't say it and I think all of this
20 discussion shows that you really honest to God
21 can't tell. So, it is wishful thinking to
22 think we can make some statement about our

1 patients now and how that relates to the
2 1930's. You can't tell.

3 ACTING CHAIR TOWNSEND: Which makes
4 a very nice segue into --

5 DR. TEMPLE: Well, that is always
6 possible.

7 ACTING CHAIR TOWNSEND: -- the next
8 question, if there is no more discussion on
9 that one. "What is the proposed non-
10 inferiority margin and what data support the
11 proposed non-inferiority margin?"

12 Dr. Venitz?

13 DR. VENITZ: I do think we can use
14 some of the information that Dr. Fleming
15 presented, breaking down the mortality
16 differences by severity and I think age, in
17 particular.

18 And then I like Dr. Calhoun's
19 suggestion to use the relative risk to scale
20 it down, depending on the outcome and severity
21 of the population that is being studied. So,
22 I do, again, to answer your question directly,

1 I do think this is going to be a more severe
2 population than has been studied in the past.
3 But I still think it is going to be
4 predominately on the mild side. So you do
5 have to scale it down. It won't be the same,
6 I don't believe so, as it would be for the
7 inpatients. And as a scaling factor, as I
8 said, I would use a relative risk.

9 ACTING CHAIR TOWNSEND: Dr. Rex?

10 DR. REX: So, the exam question.
11 Remember my benchmark statistical thing, 250
12 patients per arm, control has a 90 percent
13 response rate. And so let's pretend we do a
14 study of outpatient CAP, where there is a good
15 clinical syndrome and I get a good
16 microbiologic footprint a third of the time.

17 So, it is, we have some enrollment
18 criteria that sound a lot like a typical
19 bacterial pneumonia. I do my study, 250
20 patients per arm. I have microbiologic proof
21 in let's say a third, let's be specific. And
22 the active control, I used a quinolone, gave

1 a 90 percent response rate. And new drug
2 gives, let's say an 87 percent response rate.
3 And that is 87 percent plus or minus six.
4 Excuse me, it is a three percent difference,
5 plus or minus six. So, it is within minus ten
6 percent. Is this, and it is not the only
7 trial you do, but it is one of your trials and
8 it is done in outpatients as described.

9 Do we pass? Is that trial, as a
10 piece of the totality, are you feeling good?

11 DR. FLEMING: Is your question
12 well-defined?

13 DR. REX: The outcome --

14 DR. FLEMING: The outcome --

15 DR. REX: Yes, I'm sorry. Excuse
16 me. The outcome is the clinical response
17 outcome that we have been using all along,
18 which is the collected sense, let's take it
19 exactly like out of the Pertel paper. You got
20 better. There is no further requirement for
21 other therapy. You didn't have to switch
22 drugs. I mean, it is the typical historical,

1 it is the typical clinical response that we
2 have been using all along. And it is very
3 much the same for inpatients as it is --
4 actually it is. It is the same for inpatients
5 as it is for outpatients. And I am posing to
6 you the exam question for the outpatients I am
7 describing because that is kind of the results
8 you would expect to get.

9 And I forgot to say, there is a one
10 and a half percent, you know, a one or two
11 percent mortality rate in both arms. There
12 was a tiny, maybe it one percent, one person,
13 two people died.

14 So, essentially no mortality. It
15 is all based on clinical response. You have
16 got a 90 and an 87 and it is the same kinds of
17 clinical endpoints we have been using. That
18 is what the exam question is going to look
19 like when it comes in. Right? That is the
20 exam question.

21 And I guess I will say that I think
22 that given what we know, if those people,

1 really young people even, with pneumococcus,
2 I know that they were going die if they didn't
3 get treated, so there is a huge treatment
4 effect that is hidden on the other side of my
5 quinolone comparator. And I have come very
6 close to my quinolone comparator, so I am
7 going to argue that that looks pretty good to
8 me.

9 DR. FLEMING: But you are saying in
10 this cohort that is going to have a 90 percent
11 success rate, now you have just said I know
12 they are going to die. They are not going to
13 die.

14 DR. REX: Untreated.

15 DR. FLEMING: Untreated, they are
16 not going to die. Not certainly all of these
17 patients or even the majority of these
18 patients aren't going to die in the absence of
19 therapy. A lot depends on exactly who they
20 are and how well they are selected. But when
21 you define a 90 percent success rate, of
22 course, that also depends on the exact nature

1 of the measure. Is this a sensitive measure
2 for any unresolved disease and a success-only
3 complete resolution? I mean, what is --
4 again, the devil is in the details. What is
5 the outcome measure you are using here?

6 DR. REX: Yes, success is --

7 DR. FLEMING: And is it 14 days
8 after the end of therapy, in which case there
9 is lesser ability to really talk about whether
10 what you are doing is hastening or shortening
11 the time to resolution?

12 Again, there is a lot of issues
13 here that you are presuming are still
14 perfectly appropriately addressed using the
15 old measures of the past, which I didn't hear
16 the IDSA endorsing the old measures of the
17 past. So, when you talk about the non-
18 inferiority margin, it depends on what the
19 measure is. If the measure is going to be, as
20 IDSA had indicated a measure that is looking
21 at fever, and fatigue, and cough, and pain,
22 and dyspnea and those are measure that when

1 you look at them don't go away in a reasonably
2 short time period in all patients, then I
3 don't know that the answer is 90 percent is
4 what the success rate will be in the control
5 arm. If we use what has been done in the past
6 and we select patients that are pretty
7 pristine and we have the bias that what is
8 going to make things look good is when in
9 doubt call someone a success, then we would be
10 translating to the 90 percent.

11 But I am not hearing the IDSA and
12 at least a number of the rest of us saying, we
13 are advocating just using the same measures we
14 have had in the past. Therefore, the margin
15 depends on the measure.

16 DR. WHITNEY: So just to get back
17 to my earlier thought, I think the idea is
18 that we would choose the margin that is as
19 small as possible and you can sort of really
20 ignore the historical stuff, if that is your
21 goal, use the margin that is as small as
22 possible, it gets you away from that

1 historical uncertainty.

2 I think if are looking at an effect
3 that is 90 percent in the control arm, you can
4 use that sample size and get that ten percent
5 margin. And I think that if you are looking
6 at an effect that is probably closer to 50 to
7 65 percent, and there is some in this IDSA
8 table, that is when the margin has to get
9 bigger.

10 Again, it is based on, you know,
11 what can we do that is feasible that drives
12 that margin as small as possible but yet
13 doesn't delay the licensure of these drugs?

14 ACTING CHAIR TOWNSEND: Dr. Temple?

15 DR. TEMPLE: You can't ignore the
16 historical experience. If you manage to put
17 into your population 100 percent of people who
18 would do well no matter what you did, then
19 your trial is uninformative, no matter how
20 small you make the margin. You have to
21 believe that some of them wouldn't have done
22 well. And your best estimate comes from the

1 early unpleasant era where we didn't have good
2 treatments.

3 So, how that needs to be modified
4 for these people who obviously are not as sick
5 as all of the people who were studied, is a
6 great debate. But what I heard early, which
7 is probably what I wanted to hear, is that you
8 are picking people who are relatively sick so
9 that those old data will appear to be
10 reasonably applicable, that there would have
11 been a substantial number of people who didn't
12 do well and the treatment improved them by, I
13 don't know, 15, 20 percent, the same numbers
14 we are all working on. But if you really put
15 in people who didn't have any disease, that
16 would defeat the whole purpose of this. You
17 wouldn't learn anything. You couldn't.

18 ACTING CHAIR TOWNSEND: Dr.
19 Whitney.

20 DR. WHITNEY: Yes, no, I am saying
21 you need to enhance for those people that
22 would do poorly if they were not treated. But

1 you still can't use that historical thing as
2 being anything that is directly translatable
3 and quantifiable with any sort of certainty,
4 given the different conditions today.

5 So, I think again, we should try to
6 shoot for the margin that is as small as
7 possible, taking into account that you have
8 enhanced for patients that really need
9 antibiotics.

10 DR. TEMPLE: So are you suggesting
11 that in this setting, since we are not sure
12 how big the effect is, we should be going for
13 like five percent as the M2? That starts to
14 get to be a very big trial.

15 DR. WHITNEY: Well, no.

16 DR. TEMPLE: But maybe that is the
17 right thing to do.

18 DR. WHITNEY: No, just, I think you
19 know, we have batted around 10 percent when
20 you have got an effect size around 90. But
21 when you are looking at some of these other
22 effect sizes that are closer to 65, 50

1 percent, I think your margin has to get above
2 ten.

3 DR. TEMPLE: Well, yes, the margin
4 here is the difference between a treated and
5 an untreated group. So the stuff Tom showed
6 was that this was on mortality. It differed
7 by about 20, actually some estimates were
8 larger.

9 If these people aren't quite as
10 sick, you could imagine that the difference
11 will be not quite as big as that. But I
12 thought the idea was to pick people who, even
13 though you are going to let them walk home,
14 are plenty sick, have a high likelihood of
15 pneumococcal pneumonia and have a reasonable
16 chance of not doing very well. Not so
17 different from the historical experience.

18 In that case, if you believe all
19 that, that could make the argument for using
20 the same roughly 10 percent non-inferiority
21 margin as your M2.

22 ACTING CHAIR TOWNSEND: Dr.

1 Wiedermann?

2 DR. WIEDERMANN: Well, and that
3 said, I am very confused. And I think I heard
4 Dr. Temple, especially just now in the
5 discussion with Dr. Musher, if the decision is
6 that there are plenty of patients in those
7 historical trials who would have been treated
8 as outpatients today and, therefore, we can
9 use that database to inform our current
10 studies. But, we don't know within those
11 groups who was mild moderate versus moderate
12 severe, then we have to use the same M1 and M2
13 that we are using for our moderate to severe
14 group. Because nothing else makes sense to
15 me.

16 DR. TEMPLE: Yes, that is what I
17 thought people were saying before, that that
18 is our best guess and we are going to try to
19 get sick people a lot like, I mean, Dr. Venitz
20 said it, it is clearly a lot like those people
21 who were in those other trials but this is now
22 and so we don't put them in the hospital. But

1 they are still sick. They still do badly.
2 They have a high likelihood of growing a
3 pneumococcus. So, those old estimates are
4 reasonable to apply to them. You know,
5 perfect knowledge, we don't expect.

6 ACTING CHAIR TOWNSEND: Dr.
7 Patterson?

8 DR. PATTERSON: Well, I was going
9 to say 10 to 20 percent, based on what I said
10 for the first series of questions. But
11 because this group would look at severity of
12 one through three or perhaps four, instead of
13 two through five, which would be in the other,
14 the severe group that we were talking about
15 before, you know, it may be closer to the ten
16 percent but I would still say 10 to 20
17 percent.

18 ACTING CHAIR TOWNSEND: Dr. Musher.

19 DR. MUSHER: I am still sorry that
20 all we are looking at is some final decision
21 on clinical outcome. I still think that we
22 should be able to design studies that look at

1 the rate of the improvement in the various
2 parameters that are being followed. And I
3 just think that needs to be emphasized.

4 ACTING CHAIR TOWNSEND: Dr.
5 Kauffman?

6 DR. KAUFFMAN: I think that is
7 still legitimate, Dan, but I think the 10
8 percent makes sense. You know, if you are
9 going with the same database, then I --

10 DR. MUSHER: My comment didn't deal
11 with the 10 percent.

12 ACTING CHAIR TOWNSEND: Dr. Rex,
13 did you have something to say?

14 DR. REX: I always have something
15 to say. The question is whether it is worth
16 listening to or not.

17 Bob Temple has, you put your finger
18 on it. It has got to be a good syndrome. You
19 have got to have a reasonable degree of
20 microbiology and that is then, by definition,
21 not a trivial disease. A pneumococcus in your
22 lung, airspace filling, bronchial pneumonia,

1 no matter how you feel right now, that is a
2 bad thing. And I think that is the biologic
3 perspective from which I argue. You know, you
4 might look pretty good right now and I am glad
5 you look good and I am glad you continue to
6 look good. And I can capture that with the
7 clinical, with the kind of clinical endpoint
8 we have been using for the past 15 years.

9 Now, can I do better? I can do
10 better, Dr. Fleming. I am going to work on
11 doing better. But can I do better this
12 afternoon for the purposes of starting a
13 clinical trial program or for telling people
14 how they could plan to start a clinical trial
15 program? No. I am going to have to work from
16 within existing paradigms. We will gladly
17 work incrementally over time to improve our
18 ability to measure more accurately or more
19 precisely.

20 But we do need to start from
21 existing paradigms and I think we have enough
22 data to support the concept, basically, as Dr.

1 Temple laid out, of a clinical response
2 measure with, it is about a ten percent
3 margin. And that is well reasoned from the
4 historical database.

5 ACTING CHAIR TOWNSEND: I think,
6 unless there is -- go ahead.

7 DR. FLEMING: Well, I just, I
8 struggle here because, obviously, there has to
9 be a clear formulation of what the exact
10 measure is to begin to talk about what the
11 non-inferiority margin is.

12 I endorse Dr. Musher's point that
13 there is a lot of information here beyond at
14 test of cure, some extended period of time
15 later. There is a lot of information here
16 about the timing of resolution. And as long
17 as one is looking at this in a fairly
18 comprehensive way across the various symptoms
19 that matter to patients and look at it across
20 time, as Dr. Musher has advocated, that to me
21 makes a lot of sense.

22 Now, my concern is, that is very

1 different from the clinical test of cure
2 assessment that we are doing at this point.
3 And it isn't going to be as simple as 90
4 percent of the people are going to be success
5 and now we can have a 10 percent margin to
6 rule out a doubling in that failure rate. And
7 once we have such a measure that is more
8 comprehensive, is it possible to formulate,
9 and I don't know how to call a margin here
10 because I don't know what the statistic is
11 that you are using to aggregate that event,
12 that evidence. It is probably not
13 success/failure. It could be success/failure
14 but probably isn't.

15 And so we have to get there first
16 before we can really start talking about what
17 the margin would be. But let me just throw
18 out a concept. If we could get there with
19 something that really is more comprehensive
20 and valid in assessing how we are impacting
21 patients' symptoms, then is it possible to ask
22 for something a little less than superiority?

1 Now, if I knew a dichotomous outcome, that
2 would be sort of what Bob Temple is talking
3 about. Maybe it is a five percent margin.
4 But that is a real accommodation in the
5 absence of historical data to truly validate
6 what is the effect of the active comparator on
7 that measure.

8 But it is also entirely possible
9 that with an enhanced sensitivity that you are
10 going to get when you are looking over time,
11 as Dr. Musher says, and when you are looking
12 in a more comprehensive way, we may have a lot
13 more sensitivity discerning differences
14 between these interventions. We may well be
15 able to actually to have some sensitivity to
16 something that is a little bit better. And
17 when you are a little bit better, you can rule
18 out you are a little bit worse, without an
19 extraordinary sample size.

20 ACTING CHAIR TOWNSEND: Thank you.

21 Dr. Rex?

22 DR. REX: I agree with what you

1 say. I do. And as a sponsor, I am motivated
2 to try to develop those tools. But I also
3 live in the real business world and I need a
4 path that I understand now. The industry
5 needs a path now that it understands and a
6 path that is not foolish. A path that is
7 supported reasonably well. Life, you know, is
8 such that I need to be able to --

9 Yesterday, we had a wonderful slide
10 about predictable. Predictability is not
11 stacking the deck in your favor.

12 Predictability is knowing a set of rules that
13 I need to work against and knowing that they
14 are not going to change too -- knowing that
15 they are either going to remain stable or how
16 they are going to change, but being able to
17 work within that framework over time.

18 That is really what we are asking
19 for. And we have had a system up until now
20 that has got some issues, clearly, but it is
21 not grossly broken. And we have actually dug
22 deeply into the data and, to our delight and

1 surprise, we find that what we have been doing
2 is actually better supported than we thought.
3 I mean, there is a lot of deep rationale
4 behind this. And so, you know, however we
5 came about these things before, it was pretty
6 good work.

7 And now that we have beaten through
8 it, we do find that there is, you have got to
9 take everything, though. And it is not any
10 one piece. You have got to take the
11 biological plausibility. You have got to take
12 some statistical thinking. You have got to,
13 you know, all we have learned about
14 pharmacokinetics, it is all wrapped up
15 together.

16 There is no one -- if all I showed
17 up with was one bit of data, you should throw
18 me out on my ear. But I am not showing up
19 with one bit of data. I am showing up with an
20 entire very dense package.

21 DR. FLEMING: There isn't consensus
22 but I guess that is obvious now. There is not

1 consensus on what you just said.

2 ACTING CHAIR TOWNSEND: Thank you.

3 Let's go ahead and move on to 2(b). And
4 again, this is a yes or no question. So I
5 will ask the question and then we will go
6 around and ask for the yes votes and then the
7 no votes.

8 "Can placebo-controlled trials be
9 carried out in less severely ill patients with
10 community-acquired pneumonia? If yes, how can
11 risk to patients be minimized? What patient
12 population could be enrolled and what
13 endpoints should be evaluated?"

14 We will start off with Dr. Whitney.
15 Raise your hand if you say yes to this and
16 then we will start with Dr. Whitney, if you
17 are a yes vote. If not, we will move on. Do
18 we have any yeses?

19 (Show of hands.)

20 DR. MUSHER: I move that we move
21 on.

22 (Laughter.)

1 ACTING CHAIR TOWNSEND: Raise your
2 hand if you vote no.

3 (Show of hands.)

4 ACTING CHAIR TOWNSEND: All right.
5 And if everybody could go around. Dr.
6 Whitney, state your name.

7 DR. WHITNEY: Cindy Whitney, no.

8 DR. FOLLMANN: Dean Follmann, no.

9 DR. WIEDERMANN: Bud Wiedermann,
10 no.

11 DR. FLEMING: Tom Fleming, no.

12 ACTING CHAIR TOWNSEND: Greg
13 Townsend, no.

14 DR. KAUFFMAN: Carol Kauffman, no.

15 DR. CALHOUN: Bill Calhoun, no.

16 DR. VENITZ: Jurgen Venitz, no.

17 DR. PATTERSON: Jan Patterson, no.

18 DR. MUSER: Daniel Muser, no.

19 DR. DOWELL: Scott Dowell, no.

20 MR. MAKOWKA: Ken Makowka, no.

21 DR. WONG-BERINGER: Annie Wong-
22 Beringer, no.

1 ACTING CHAIR TOWNSEND: Thank you.

2 EXECUTIVE SECRETARY MOSADDEGH: All
3 right, it is unanimous 13 nos. Thank you.

4 ACTING CHAIR TOWNSEND: Good. C,
5 this is again yes/no. The same procedure.

6 Can you suggest any alternative --
7 actually this is not a yes/no. This is just
8 not a vote question, just comments if you have
9 any. "Can you suggest any alternative study
10 designs that could be utilized which would
11 allow for an informative trial of outpatient
12 CAP that is an oral drug to be conducted? If
13 so, please describe."

14 So, if you have any alternative
15 study designs.

16 DR. MUSHER: Just in one sentence.
17 I have said that we should follow the rate of
18 improvement using several parameters. Dr.
19 Fleming echoed it a few moments ago and I
20 think that those should be studied and
21 developed.

22 ACTING CHAIR TOWNSEND: Dr. Venitz?

1 DR. VENITZ: I was just intrigued
2 by one of the backup slides in Dr.
3 Goldhammer's presentation where he talked
4 about effect retention likelihood as an
5 alternative to the non-inferiority trial. So,
6 I throw this out. I have no clue what it is,
7 but it sounds like it is something that is
8 used in oncology dealing with the same issue,
9 not being able to use placebo controls.

10 ACTING CHAIR TOWNSEND: Dr.
11 Follmann?

12 DR. FOLLMANN: I would like just to
13 talk briefly about the Lade initiation trials.
14 And so one thing you could conceive of doing
15 would be to have a standard non-inferiority
16 trial where you compare a standard to
17 experimental. But within that also randomize
18 another factor, which would be immediate
19 treatment versus delayed treatment of maybe
20 four hours or so.

21 I don't know if the stars will
22 align and if you use rapidity of response or

1 defervescence as an endpoint for the delayed
2 question or not. It is just something that I
3 would suggest we consider and run the numbers
4 on.

5 But getting back to that design,
6 you could compare the two groups in terms of
7 non-inferiority on your mortality or clinical
8 failure endpoint. And after you had done
9 that, you could look within the experimental
10 arm and to see is there superiority in terms
11 of time to defervescence or rapidity of
12 symptom relief within the new arm, early
13 versus delayed. So, we will see what the
14 numbers -- whether that can in fact happen.

15 In the last two days, one thing
16 that sort of dampened my enthusiasm for such
17 a study is that really a delayed response
18 trial is asking the question really of what is
19 the effect of the drug compared to placebo,
20 when we are not actually doing a placebo, we
21 are just withholding therapy for a few hours.
22 And so, if that is a clinically relevant

1 question, this design might have some merit.

2 ACTING CHAIR TOWNSEND: Bob?

3 DR. TEMPLE: Well, Dr. Musher would
4 say that during that first four hours, the
5 ones who don't get the drug should get a
6 placebo. But leaving that aside, so it really
7 is --

8 DR. FOLLMANN: Well, I would agree
9 with that.

10 DR. TEMPLE: -- a placebo
11 controlled trial. We strongly, me, in
12 particular, have advocated that in some of the
13 areas where people thought it was ethical to
14 delay therapy, you know, maybe otitis, things
15 like that, wait for a couple, compare early
16 and late.

17 I have to say, I got the impression
18 from most people here that they would not
19 countenance such a delay in somebody who was
20 thought to have, you know, bacterial
21 pneumonia. And so, I don't know. Does
22 everybody think we could actually do that?

1 That anybody could do that?

2 DR. FOLLMANN: Well, let me just,
3 before the other people speak, and I was
4 imagining this in a population that had a one
5 percent mortality rate or something like that.

6 And I would also mention that I
7 used to work at the Heart Lung and Blood
8 Institute where there would be trials which
9 would look at different targets of systolic
10 blood pressure or cholesterol level. And
11 sometimes these targets had been not on
12 clinical trials, but on expert consent, sort
13 of the view of the community and that is not
14 really randomized trial evidence. And so you
15 could make an argument there if you have non-
16 evidenced based guidelines, maybe delayed or
17 immediate treatment or an LEL or whatever, you
18 could legitimately look at that in a
19 randomized trial.

20 ACTING CHAIR TOWNSEND: Dr. Rex.

21 DR. REX: The problem with delayed
22 therapy, from a physician standpoint, is that

1 it is really hard not to get somebody to
2 therapy when they could be sitting there being
3 bacteremic and there is a lot of impetus in
4 the Medicare reimbursement, the rules in
5 hospitals to start therapy. Probably it would
6 be really hard to go up hill against that. In
7 the sponsor community, where we look
8 worldwide, we are faced with just a great
9 antagonism against any kind of a delay in
10 therapy.

11 Because I am sensitive to what you
12 are saying. It would be a nice thing to do if
13 we were talking about toenail infection. We
14 are actually talking about, we are talking
15 about the lung, which is a place where if the
16 pneumonia gets out of control, you can quickly
17 progress into sepsis, particularly if you are
18 talking about Dr. Kauffman's older patients at
19 the VA.

20 So I think it is really, it is not
21 -- to ask the sponsor community to do that is,
22 as Dr. Talbot said, that is not the kind of

1 study that we can drive forward. That might
2 be an investigation that could be done in
3 another setting, but that is not something
4 that we can deal with. So, that is my comment
5 on that.

6 My suggestion for a design is to be
7 sure you collect some good PK data to see if
8 we can get some more exposure response stuff
9 for Dr. Tourneau.

10 ACTING CHAIR TOWNSEND: Dr.
11 Venitz?

12 DR. VENITZ: I serve on an IRB and
13 I doubt that you will be able to get
14 permission for a study like that. A
15 potentially fatal disease, even at less than
16 a one percent or two percent mortality and you
17 are delaying effective treatment for four
18 hours, I don't think that is ethical.

19 ACTING CHAIR TOWNSEND: Dr.
20 Kauffman.

21 DR. KAUFFMAN: I would just make
22 the comment, the patient wouldn't understand

1 what you were doing. Please sit here for four
2 hours and then I will give you your medicine.
3 So, they won't agree either.

4 ACTING CHAIR TOWNSEND: Any other
5 comments on that question? Oh, Dr. Calhoun.
6 Sorry.

7 DR. CALHOUN: Well, the other thing
8 is that there actually is some evidence that
9 early implementation of antibiotics has a
10 beneficial effect on outcome. And so to ask
11 that question with a four hour delay or a six
12 hour delay, I am not sure that we would get
13 information that would be particularly helpful
14 in understanding what the versus placebo
15 response actually would be. I think it is
16 predictable that there either would be no
17 effect if the tool weren't sharp enough, so to
18 speak, or that you would see a negative effect
19 of delay of therapy, based on the evidence
20 that we have got so far.

21 ACTING CHAIR TOWNSEND: Thank you.
22 All right, we will go ahead and move on to

1 question three. Again, this is not a yes or
2 no question, so we will just go around.

3 "In a setting of hospitalized
4 community-acquired pneumonia, as described in
5 question one, one could study therapy with an
6 intravenous formulation administered initially
7 with subsequent 'step down' therapy to an oral
8 formulation as a means to support the use of
9 the oral and IV formulations for a severe
10 disease. This leaves the question of whether
11 the finding of efficacy for severe CAP would
12 provide evidence of efficacy that could be
13 used to support efficacy of the oral
14 formulation for less severe, that is, mild to
15 moderate CAP." I guess this is, actually, a
16 yes or no question. "Do you believe the
17 finding of efficacy in more severe CAP
18 supports the drug's effect in less severe CAP,
19 even though the drug has not been directly
20 studied in less severe CAP?"

21 This is again, a yes or no
22 question. If you believe that you can

1 interpret studies in more severe CAP to apply
2 to less severe CAP, the answer is yes.
3 Otherwise, it is no. Raise your hand, please,
4 if your answer is yes.

5 DR. WIEDERMANN: Can I get a
6 clarification?

7 ACTING CHAIR TOWNSEND: Certainly.

8 DR. WIEDERMANN: I just want to
9 make sure we are talking about the same drug,
10 just different formulations.

11 ACTING CHAIR TOWNSEND: That is
12 yes. Again, if your answer is yes, please
13 raise your hand.

14 (Unanimous show of hands.)

15 ACTING CHAIR TOWNSEND: If we can
16 go around, Dr. Wong-Beringer?

17 DR. WONG-BERINGER: My answer is
18 yes, I think mainly this, if for nothing else,
19 it should be an incentive for industry to
20 conduct trials in enriching the population
21 that we really need to know information in.
22 And those are the severe pneumonia patients.

1 ACTING CHAIR TOWNSEND: Mr.
2 Makowka?

3 MR. MAKOWKA: I voted yes but I
4 would also like to ask, if it is the same
5 drug, why isn't it available for the severe
6 patients in an oral form?

7 ACTING CHAIR TOWNSEND: Can you
8 restate your question please? I'm sorry.

9 MR. MAKOWKA: Why aren't we waiting
10 to test the effect of the oral form of the
11 same drug on the less severe? Why not use it
12 up front?

13 DR. COX: I can try and clarify.
14 Do you want me to do it now or do you want me
15 to wait?

16 ACTING CHAIR TOWNSEND: Go ahead.

17 DR. COX: So when we write these
18 questions, we don't know quite where all the
19 answers are going to fall out. So this
20 provides another way to try and look at an
21 oral drug and try and understand how we might
22 assess efficacy in an oral drug. And if there

1 is efficacy data from the IV formulation, we
2 are just wondering, is that beneficial to us
3 in understanding the effects of the oral drug.

4 So, I don't think that the
5 particular scenario that you are describing is
6 really what we are trying address here. We
7 are just trying to understand how the efficacy
8 data from an IV formulation could be
9 translated down to an oral formulation of the
10 same drug. So, they would both be available.

11 DR. TEMPLE: So you do the study in
12 the very severe hospitalized people using both
13 IV and then oral, but it would apply to less
14 severe illness that might be treated with just
15 oral, doesn't solve the I am only an oral drug
16 problem.

17 ACTING CHAIR TOWNSEND: Dr. Dowell?

18 DR. DOWELL: Yes. So I said yes
19 even though I don't believe it is completely
20 true. By that I mean that I don't believe the
21 etiologic agents are the same in severe
22 hospitalized pneumonia as they are in

1 outpatient pneumonia. I think it is almost
2 certainly not going to be true and that the
3 distribution of agents is different and,
4 therefore, the effectiveness of the
5 antibiotics is likely to be somewhat
6 different.

7 But I am comfortable with a yes
8 because I figured that if you are treating the
9 things that are going to cause severe disease,
10 you are preventing most of those outpatients
11 from getting into bad trouble with the severe
12 disease, even though you are acknowledging
13 that you are letting slide a little bit the
14 milder disease.

15 ACTING CHAIR TOWNSEND: Dr. Musher.

16 DR. MUSER: Daniel Musher, I voted
17 yes.

18 DR. PATTERSON: Jan Patterson, yes.

19 And I think that as long as your severe
20 population that you have studied has a broad
21 spectrum of microbiological diagnosis that
22 includes mycoplasma and MRSA, perhaps.

1 DR. VENITZ: I voted yes and my
2 concern is the same as stated before. The
3 microbial composition has to be the same or
4 similar between the two populations.

5 DR. CALHOUN: This is Bill Calhoun.
6 I voted yes. I had the concern that was
7 articulated first by Dr. Dowell and shared by
8 several others. The microbiome is pretty
9 clearly different based on the severity. And
10 so, to the extent that the microbiome is
11 somewhat different, the applicability and the
12 efficacy rates and so forth may be somewhat
13 different.

14 But mitigating that concern is the
15 fact that in contrast to some other disorders,
16 hypertension being one which has many many
17 many pathogeneses underlying, we understand
18 the pathogenesis of infectious disease, an
19 organism interacting with host defenses,
20 etcetera, etcetera. So, although there is
21 concern that the microbiome is different, I
22 think that is mitigated a little bit by your

1 understanding that it is an organism that
2 requires killing on the part of an
3 antimicrobial agent.

4 DR. KAUFFMAN: Carol Kauffman. I
5 voted yes. And the only thing I would want to
6 see for sure is that the company studied the
7 PK characteristics of the drug, so they are
8 sure about absorption and serum levels and
9 that sort of thing.

10 ACTING CHAIR TOWNSEND: Dr.
11 Townsend. I also vote yes, with the same
12 reservations as about possible differences in
13 microbiology.

14 DR. FLEMING: Fleming. I voted yes
15 and had similar thoughts to Dr. Dowell that I
16 don't believe it is completely true. But,
17 i.e., that it is completely appropriate to say
18 we know that the effect can be extrapolated.
19 But I do see it as an incentive to study more
20 severe disease, which is critical that we do
21 so. And I think it is a way forward to
22 addressing the unmet need in less severe

1 disease before we have a more rigorous and
2 appropriate way to do so with valid PROs.

3 Now, my interpretation of the yes
4 is, if you have, for example, I don't care
5 what it is, if I studied IV or oral, if I
6 studied of the formulation of the agent in
7 severe disease and showed non-inferiority on
8 mortality, then I would support labeling that
9 formulation in CAP for the reasons that were
10 indicated.

11 Then for the opposite or
12 alternative formulation which frequently would
13 be going from IV to oral, then we would need
14 a bioequivalence assessment to validate that
15 other formulation. Now, again, I have a
16 reservation about this but I see this as a
17 tradeoff, as Dr. Dowell had pointed out.

18 But one of my additional
19 reservations is safety. We have gone through
20 two full days and we have not talked a lot
21 about what is an adequate assessment of
22 safety. And not all antibiotics are fully

1 safe. And Dr. Talbot's list of antibiotics in
2 trouble yesterday certainly include
3 antibiotics that are in trouble due to safety
4 issues. And we need to ensure that in our
5 pre-marketing studies, as well as our post-
6 marketing studies, that we are doing adequate
7 assessments to ensure that we are identifying
8 safety issues.

9 And I have a little bit of concern
10 then, if we are only studying severe disease,
11 that we are going to extrapolate that to mild
12 disease because everything is benefit to risk.
13 The bar, in my view, is more lenient or higher
14 as to what I would accept in a safety profile
15 when I am doing something about mortality. In
16 mild disease, if I am doing something about
17 symptoms, while that is important, the bar
18 becomes much lower in terms of what I am going
19 to accept in terms of safety.

20 So, the FDA should ensure that the
21 strategies that we are using are appropriately
22 sensitive not only to establishing efficacy,

1 but ensuring safety.

2 DR. WIEDERMANN: Bud Wiedermann,
3 yes. And I might suggest at least to consider
4 with regard to the PK bioequivalent sort of
5 argument, whether more stringent demonstration
6 ought to be required, if a product pursues
7 approval for milder disease with this kind of
8 study design.

9 DR. FOLLMANN: Dean Follmann, I
10 voted yes and I really have little to add.

11 DR. WHITNEY: Cindy Whitney, yes.

12 ACTING CHAIR TOWNSEND: Thank you.

13 Question four --

14 EXECUTIVE SECRETARY MOSADDEGH:
15 Just for the record, it is 13 yeses,
16 unanimous. Thank you.

17 ACTING CHAIR TOWNSEND: Again,
18 yes/no question.

19 "If the available evidence for
20 settling a non-inferiority margin in current
21 CAP trials is derived primarily from studies
22 of patients with CAP due to Streptococcus

1 pneumoniae, should non-inferiority studies
2 include patients with other etiologies of
3 CAP?"

4 Please raise your hand if your
5 answer is yes.

6 (Show of hands.)

7 ACTING CHAIR TOWNSEND: All right,
8 Dr. Whitney, if you could?

9 DR. WHITNEY: Yes, I voted yes
10 because I think we have already talked about
11 this. I think we talked about using pneumonia
12 syndrome but trying to enhance for the
13 bacterial components. So that would include
14 strep pneumo but it would include some other
15 things in there.

16 DR. FOLLMANN: Dean Follmann, I
17 voted yes.

18 DR. WIEDERMANN: Bud Wiedermann,
19 yes, with the same caveats. We need to break
20 it down by agent.

21 DR. FLEMING: Fleming, yes.

22 ACTING CHAIR TOWNSEND: Greg

1 Townsend, yes.

2 DR. KAUFFMAN: Carol Kauffman, yes.

3 Again, similar to what Cindy said, when you
4 enroll patients, you don't know what they
5 have. We try to select for pneumococcal
6 disease and we will get mostly that. But
7 there is no way I think you can study these
8 other entities under themselves. And I think
9 wrapping them into a CAP study is appropriate.

10 DR. CALHOUN: Bill Calhoun, yes,
11 same reasons.

12 DR. VENITZ: Jurgen Venitz, yes.

13 DR. PATTERSON: Jan Patterson, yes.

14 DR. MUSHER: I abstained, but I
15 would like to comment. I don't understand the
16 question, interestingly.

17 Let's say we have a patient whom we
18 are starting on a certain, let's say it is a
19 quinolone which is not effective against staph
20 aureus and we are comparing it to ceftriaxone
21 and azithromycin. And let's say that the
22 laboratory six hours later reports that the

1 sputum contains lots of gram positive cocci
2 clusters and I don't know what we are supposed
3 to do. And that is why I didn't vote. And I
4 do think it is an issue you have got to deal
5 with.

6 DR. DOWELL: Scott Dowell, I voted
7 yes. I had the same concern Dan is raising
8 about staph aureus. I think this has been
9 touched on a couple of times in this
10 discussion. But you know, in pediatric
11 pneumonia, this is the big story right now.
12 And that is what is being treated and we are
13 really, a lot of this discussion has been
14 directed at pneumococcal pneumonia but I think
15 staph aureus is a big issue that hasn't fully
16 been explored in these last two days.

17 MR. MAKOWKA: Ken Makowka, yes.

18 DR. WONG-BERINGER: Annie Wong-
19 Beringer, yes. And I have the same concerns
20 as Dr. Dowell and Dr. Musher.

21 ACTING CHAIR TOWNSEND: I think
22 that was unanimous. Was it 12 yeses, one

1 abstention?

2 EXECUTIVE SECRETARY MOSADDEGH:

3 Twelve yeses, one abstain. Thank you.

4 DR. MUSHER: Mr. Chairman, does
5 that mean that others do not share the
6 reservation that I have? A couple did.

7 ACTING CHAIR TOWNSEND: Certainly
8 some did.

9 DR. MUSHER: I think it is a big
10 problem.

11 ACTING CHAIR TOWNSEND: So we
12 certainly had discussion.

13 DR. MUSHER: So that is part of the
14 discussion part of it. The discussion part of
15 the record shows it is a concern.

16 DR. FLEMING: Well we need, in
17 fact, we still need to address the bottom part
18 of this.

19 My answer for yes was simply that
20 it isn't necessary that it be exclusively
21 pneumococcal. That is what I understood we
22 were asking. The question formally says,

1 could you include other etiologies? Now,
2 there needs to be discussion, I would hope,
3 about the nature of what those other
4 etiologies are.

5 My general sense about that is what
6 I would hope is that we would have a
7 preponderance of pneumococcal-like or at least
8 a collection that would have similar
9 pathophysiology, i.e., so if the intervention
10 is one that we are using that has been studied
11 showing an effect in a certain spectrum of
12 bugs, that we would have some sense as to the
13 degree to which this trial is being conducted
14 in an overlapping scenario.

15 And I think, my sense is, there is
16 a lot of flexibilities here in Haemophilus
17 influenzae and Klebsiella but Mycoplasma,
18 certainly Legionella are different and
19 Chlamydia are different. I.e., my sense
20 is we are somewhat looking at what I might
21 call a more purely bacterial infection as
22 opposed to something that is more viral like.

1 And Legionella would be a concern
2 because certainly we understand there is a
3 different spectrum of activity there. So, my
4 sense, my answer being yes was that we
5 wouldn't have to be exclusively looking a
6 pneumococcal, but it matters what the other
7 components would be. And some of them need to
8 be considered differently.

9 ACTING CHAIR TOWNSEND: Dr.
10 Calhoun?

11 DR. CALHOUN: Thanks. Yes,
12 relative to Dr. Musher's concern, clinical
13 trials have to be conducted under the rubric
14 of good clinical practice. And so I think
15 that any trial, were information to come to
16 light to the investigator that suggested that
17 the regimen or regimens that had been
18 prescribed for that particular subject was
19 inappropriate, were there a suggestion that
20 MRSA was a particular concern, I think then
21 the investigator and the protocol would have
22 to allow that that patient be put on

1 appropriate therapy, that subject be put on
2 appropriate therapy.

3 So, I think that GCP gets us a
4 little room to work here, when you get data
5 that suggests that what you have done
6 empirically is not, in fact, appropriate.

7 DR. MUSHER: And similarly, if you
8 are studying a drug like, I take an example,
9 ceftobiprole, which is some advanced sort of
10 a cephalosporin and the gram stain comes back
11 showing no organisms and loads of PMNs, then
12 you might say to yourself, that does look like
13 a Legionella and I am going to go ahead and
14 add something in the treatment of that, just
15 as an example.

16 And if that is all covered, then I
17 am perfectly fine just as it is. If we are
18 all understanding it, that is fine.

19 ACTING CHAIR TOWNSEND: Dr.
20 Kauffman?

21 DR. KAUFFMAN: You know, I was just
22 going to add that community-acquired MRSA

1 trumps everything and it speaks to diagnostics
2 right up front as best as you can. You don't
3 put those patients in the study.

4 ACTING CHAIR TOWNSEND: Except as
5 someone mentioned earlier, if you have a drug
6 that is effective against MRSA, you probably
7 should have a comparator agent that is also
8 effective against MRSA.

9 Dr. Rex?

10 DR. REX: Just very briefly, the
11 other thing that would help you be comfortable
12 with these organisms would be knowing that
13 your preclinical data suggested that you ought
14 to be able to treat them and that the PK
15 target for them is the same as it is, say for
16 the pneumococcus. And that would be something
17 else that would help you be comfortable that
18 the result was meaningful.

19 ACTING CHAIR TOWNSEND: Dr. Cox.

20 DR. COX: And just so folks know.
21 We haven't talked about this too much, but
22 typically the inclusion/exclusion criteria

1 would appropriately define patients who would
2 be appropriate for the study. If the
3 antimicrobial spectrum doesn't cover a
4 particular agent, then obviously, there would
5 need to be provisions to address that.

6 The other issue is that typically
7 what we are doing now is, we are looking at
8 the syndrome. But then we also look at the
9 microbiological data that we have from within
10 the clinical trial to see the spectrum of
11 organisms that patients within the trial had,
12 looking for some minimum number of patients
13 with each of the different types of organisms
14 in order to include that in the indications
15 and usage section. And that information is
16 also then paired with what we know from
17 preclinical data, whether that be in vitro
18 studies or animal models of infection to get
19 an understanding of how the drug works against
20 particular genus of species.

21 DR. FLEMING: So just to come back
22 to something I was alluding to, if we were

1 looking at Chlamydophila or Mycoplasma, I am
2 more concerned about in those just showing
3 something that looks non-inferior. If in fact
4 we have less confidence that our agents would
5 in fact, our active comparator agents would be
6 providing benefit there.

7 In Legionella, if we were using a
8 beta-lactam, then clearly I wouldn't be
9 looking for non-inferiority, I would be
10 looking for superiority. But with Legionella
11 if we have arithromycin or azithromycin as the
12 active comparator, then we probably could come
13 up with a non-inferiority margin for
14 Legionella.

15 So, I think there needs to be that
16 level of attention given, particularly if you
17 are talking about trying to make a non-
18 inferiority assessment for efficacy.

19 ACTING CHAIR TOWNSEND: Other
20 comments? I know that many of the panel
21 members have a taxi to catch at 4:30. I think
22 if there is nothing more to be said, unless

1 Dr. Cox has something to add?

2 DR. COX: I just wanted to thank
3 everyone for all their participation. You
4 know, it has been a very helpful two day
5 meeting to us and I appreciate all of the
6 discussion and all of the advice you have
7 provided us.

8 Thank you very much.

9 ACTING CHAIR TOWNSEND: Thanks
10 again. The meeting is adjourned.

11 (Whereupon, at 4:36 p.m., the
12 foregoing meeting was adjourned.)

13

14

15

16

17

18

19

20

21

22