

1 clinicians are asking, anyways -- is, what do
2 we do with these individuals that are
3 potentially high-risk to begin with.

4 You know, the Rosi experience has
5 actually been interesting from a
6 post-marketing experience, because when we've
7 had to discuss rosiglitazone with our
8 patients, we present their data about
9 potential cardiovascular risk and then we ask
10 them if they are willing to take that risk
11 even though their hyperglycemia has been
12 well-controlled with this agent. And I think
13 that -- those are the kind of questions that
14 we need to begin to address, and this is the
15 time for this type of process.

16 I would use active comparators, and
17 this goes along with the theme that we can't
18 use placebo controlled trials. It's
19 conceivable that you may have some subjects
20 with early diabetes that would go on a
21 placebo control trial for a short-term, and
22 that would be part of a Phase 2 and maybe a

1 small part of a Phase 3 trial. But I think
2 for the most part, we really need an active
3 comparator.

4 So I would say that that would be
5 important and I would say it would be
6 standardized to either an oral agent and or
7 insulin with some pre-defined goals for
8 hemoglobin Alc.

9 In accordance with that, in the
10 next part of the question, how would glycemic
11 control be included, I'm not as familiar with
12 some of the trials. There's Judith and Peter
13 and the other people who have sort of
14 overseen those studies. But I know that
15 there are ways in which that can be
16 standardized so that we try to get to the
17 best reasonable level of control. So I'm not
18 sure I can answer that as well. Peter
19 suggested a stepwise approach. I'd like to
20 see the details of that, but I think that
21 that's the approach I would use.

22 And I do believe that it would be

1 really critical to encourage all the
2 investigators to use some sort of algorithm
3 to ensure that risk factors are equalized.
4 Because I think that is one area where I
5 think there's so much heterogeneity that it
6 makes it very difficult to interpret the
7 studies.

8 DR. BURMAN: Thank you, Dr. Rosen.

9 Dr. Day.

10 DR. DAY: As a cognitive scientist, I
11 have an initial comment about this question. It
12 is wonderful in that it puts everything together
13 in terms of what would be needed for long-term
14 trials. On the other hand, there are seven
15 different bullet points and it is very difficult
16 to listen to colleagues with different types of
17 specialization respond to each and keep all in
18 memory, and then compare to your own opinions
19 and make adjustments and so on.

20 Mr. Chair, you've done a wonderful
21 job in guiding us through all this. I think
22 I would have preferred to take these in

1 chunks. It's well-known in cognitive science
2 if you have a long list of things and you've
3 got to deal with all of them, it's very
4 difficult. But if you take a subset that
5 goes together and everybody talks and then
6 another and then another, it can be more
7 beneficial.

8 So I might have suggested that
9 there is an initial chunk, which is what's
10 the purpose of such a study to demonstrate
11 the CV benefit versus rule out the risk. And
12 then there's a chunk or package that goes
13 together about the primary endpoint, size,
14 duration, patient type may be the comparative
15 group. And then the last chunk is, how do
16 you manage people along the way. And I think
17 that hearing each of -- something about each
18 of the chunks along the way would have been
19 very useful to some of us, especially to me
20 to then go on to what the next ones are.

21 So I'm having difficulty in going
22 through all of these for you at this time.

1 But, I'll do the best that I can.

2 I don't know if anyone else would
3 agree with breaking this up. I see some
4 heads nodding, and they're next to you, so if
5 you'd look around and just notice --

6 DR. BURMAN: Well, thank you. As a
7 cognitive endocrinologist, I take these very
8 appropriately. And I'm happy to -- since we
9 want everybody's opinion, it's difficult to have
10 each chunk talked about and I would ask --

11 DR. DAY: Well, not all seven. But,
12 there's three kinds of chunks --

13 DR. BURMAN: Well --

14 DR. DAY: And if we --

15 DR. BURMAN: That gets into how the
16 questions were made in the first place, and
17 that's a separate issue. So I think however you
18 want to respond. Your comments are certainly
19 appreciated.

20 DR. DAY: All right. I'll proceed and
21 decline to comment on some along the way.

22 So what should the study be about?

1 It would be nice if we could show a CV
2 benefit, but it's never been demonstrated
3 before. So then that impacts one of the
4 later questions, how long should the trial go
5 on. So if it's not been demonstrated, it's
6 been approached once, maybe. It might have
7 to go into perpetuity. So if everybody
8 decided that they wanted a trial for that,
9 then the duration might be exceedingly long.
10 So all of these decisions impact each other.

11 So given that it sounds like most
12 people are interested in ruling out CV risk,
13 that does seem to be the most important thing
14 before us right now.

15 And I would agree with considering
16 the confidence interval as well as the hazard
17 ratio. I mean, these wide confidence
18 intervals that also overlap each other are
19 very difficult to deal with.

20 In terms of primary endpoints, I
21 think there's some agreement about a
22 composite endpoint with real clinical events.

1 It looks like the duration should be at least
2 three to five years, all other things being
3 taken into account. Impossible to say size
4 without deciding some of these other things
5 as well.

6 I do have a recommendation with
7 respect to patient type. I think the
8 arguments about patients with increased CV
9 risk has been well made, but how are these
10 drugs going to be used? There's going to be
11 new patients coming in and I'd like to see
12 two sub-sets, not just included but
13 specifically considered as sub-groups and
14 perhaps analyzed separately. And that would
15 be recent onsets and then those with
16 increased risk. Because although you cannot
17 get enough events out of recent onsets, I
18 think it would be important to know about
19 them, since the number of new onsets is
20 increasing all the time. So I would like to
21 see both sub-groups addressed.

22 As for comparative groups, that's

1 very difficult and I decline on that one.

2 And glycemic control, I didn't hear
3 exactly from people what the escape criteria
4 might be. And managing other factors along
5 the way, I think both of those go together in
6 terms of how do we balance the real world use
7 and ethical treatment of patients in the
8 trials with the purity of the scientific
9 analyses we can get afterwards. And I think
10 that sadly enough, in 5 or 10 years, this
11 Committee may meet again and say yes, and we
12 had all these recommendations to do all of
13 these but then these factors are confounding
14 what we got and so on.

15 So I don't think that there is a
16 true path to conducting these studies in a
17 real world context enough that does not
18 compromise the clarity of the scientific
19 outcomes without confounding, and vice versa.
20 And I don't know what the balance is between
21 the two of those.

22 DR. BURMAN: And let me say thank you

1 for your comments, and I certainly -- I know
2 you're really an expert in developing questions.
3 We talked about that before. And it certainly
4 would be in favor of you being involved of the
5 process in the future. So thank you for your
6 comments.

7 Dr. Felner.

8 DR. FELNER: Yeah, I'm going to take
9 this -- I mean, I think you could look at -- I'm
10 going to answer the questions a little
11 differently than they're -- at least in a
12 different order. Because I think the important
13 piece is really the which patient population you
14 want to look at. And you could actually answer,
15 I think, all of these questions for each group
16 of patients. Whether you want to look at
17 pre-diabetes, glucose intolerant, early
18 diabetes, late diabetes, those who have
19 cardiovascular events or high-risk.

20 I mean, I'm a pediatric
21 endocrinologist, so I don't see, obviously,
22 the type 2 diabetes that most of you guys

1 see. Although I see a tremendous amount of
2 obesity in kids who I know are going to have
3 diabetes at some point in time. And the
4 way -- after looking at some of the DCCT and
5 UKPDS slides that we've seen and just
6 reviewing that -- I mean, I like to believe
7 that the rosiglitazone information and some
8 of this -- some of the data that the drugs
9 are getting are not necessarily related to
10 the drug.

11 I think these patients have
12 something going on well before they're
13 actually diagnosed with diabetes, and if
14 they're picked up early enough then it may be
15 much more beneficial from a cardiovascular
16 standpoint to at least help them if they're
17 started much earlier.

18 We know it's pretty easy to help
19 their glucose, whether they've been walking
20 around for 5 or 10 years with diabetes. You
21 can get that in decent shape for many of the
22 patients. With one of the many drugs that we

1 have.

2 But as far as the cardiovascular
3 effect, I think the real look needs to be
4 done early in the disease really in your
5 glucose intolerant patients.

6 So I would start with that as
7 really the answer to this first question as
8 looking at the impaired glucose or the
9 intolerant patients, starting with them. And
10 then as far as an objective to show a
11 cardiovascular benefit or a
12 pre-specified -- or to rule out a
13 pre-specified increase, I mean -- the fact
14 that if you can show that a drug is not going
15 to cause cardiovascular harm, then I think
16 that would be the beneficial route.

17 Is it a problem to look for
18 cardiovascular benefit? I mean, I kind of
19 agree with both of these options. And maybe
20 you're not supposed to. But I could see
21 taking both of these sides. And if I chose
22 for the cardiovascular benefit, that really

1 is looking for a new drug. If you're looking
2 for to rule out a pre-specified increase, a
3 hazard ratio, I think what Dr. Nissen had
4 gone through was very acceptable. Looking at
5 a hazard ratio somewhere in this 1.2, 1.3
6 range.

7 As far as endpoints go, I think
8 most people are really on the same page at
9 least that have spoken before me with this
10 composite -- really the primary being
11 composite clinical endpoint. And making the
12 individual more secondary.

13 As far as size and duration, it
14 should take at least three or more years.
15 And somebody had commented that if you look
16 at the impaired glucose group, it's going to
17 take forever to really find events. Well,
18 this is a progressive disease. And if you
19 pick these patients up early enough -- which
20 you should -- in their teens, in their
21 twenties, you'll have the data. And yeah,
22 it'll take time but that's the whole point of

1 this whole idea behind this disease is it's a
2 progressive disease. And I think you'll have
3 the three- to five-year -- you could use
4 three to five years and probably looking for
5 for this adequate benefit you're looking
6 about 10 to 15 percent better than the
7 standard of care. So I think that answers.

8 And then since we're -- since I
9 would really study this impaired glucose
10 group, I think you could simply do a drug X
11 versus placebo or a drug X versus drug Y. I
12 think that would be a very simple way to
13 start. Obviously if you're taking patients
14 that are already have established diabetes,
15 then you'd need to look at obviously a more
16 complicated comparative -- comparison.

17 As far as deteriorating glycemc
18 control, there's pre-defied goals. But
19 really you want to have your glucose
20 optimized, your Alc is best shape as you can.
21 And if they fail in that sense, you have
22 either insulin or some other algorithm with

1 an oral agent to use to help normalize that.

2 And then as far as the blood
3 pressure, lipids, aspirin use, I think you
4 want to equalize the risk factors. So
5 obviously, I think we should be doing both of
6 those jobs.

7 But, I mean, in looking at the
8 whole thing as an endocrinologist, you know
9 we're being asked here to look at a big
10 cardiovascular part. And I think maybe it
11 was Dr. Nathan who said that most of the
12 endocrinologists don't have anything left to
13 do if this becomes a big piece. Because the
14 cardiologists are wanting to take it over.
15 But, I look at it from the opposite is, I
16 don't want to do any of the cardiology stuff.
17 I don't want to have anything to do with it.

18 So if we start our job early enough
19 and we get on these patients who are
20 overweight, who have impaired glucose
21 intolerance, who have -- who are going to get
22 diabetes, then we'll prevent most of this

1 well down the line. And I think to put a
2 drug onto somebody or to give somebody a drug
3 well into their disease of diabetes and then
4 say, oh great, it caused a cardiovascular
5 abnormality, when that abnormality probably
6 existed 10, 20 years before. That's my
7 opinion on it. I think it at least has some
8 substance to it. But I think most of this
9 should be looked at well before they get into
10 the disease. Because you really don't know
11 what's causing that cardiovascular effect.

12 DR. BURMAN: Thank you very much.
13 Dr. Fleming. And let me just get you an
14 outline -- it's about 10 to 12:00, and we're
15 going to take a lunch break at noon. You know,
16 feel free to make your comments, if we want to
17 continue later we're happy to do that. Then
18 we'll go around and go to Question 3 and the
19 vote later.

20 DR. FLEMING: Great, thank you. Just
21 to begin, general comments. We certainly do
22 need clinical trials, including cardiovascular

1 safety trials, in order to allow patients an
2 informed choice. Not just a choice, but an
3 informed choice about interventions. And to
4 allow timely and reliable identification of
5 interventions that do have unacceptable safety
6 risks. And this can't just be done
7 post-marketing.

8 And it's not sufficient to be done
9 through post-marketing surveillance from
10 pharmacovigilance.

11 Dr. Califf made a good point that
12 it's especially important for these insights,
13 safety insights -- reliable safety
14 insights -- to be in hand for agents that are
15 chronically used in large-scale settings.

16 There is additional particular
17 motivation for a substantial amount of this
18 insight to be obtained pre-marketing based on
19 the experience I've had of being on many data
20 monitoring committees that have been doing
21 major safety trials, and there isn't the same
22 sense of urgency in the conduct of those

1 trials post-marketing that exists
2 pre-marketing. The quality and sense of
3 urgency is enhanced when they're done in a
4 pre-marketing setting.

5 So to get at the specific bullet
6 point questions. Regarding the first
7 question, as my colleagues have said, I agree
8 that based on efficacy -- specifically the
9 evidence for benefit on microvascular
10 complications -- it's adequate to rule out
11 cardiovascular harm rather than requiring
12 that these trials actually establish
13 cardiovascular benefit.

14 Of course, by conducting these
15 trials to rule out unacceptable
16 cardiovascular risk, it's possible these
17 studies could actually show cardiovascular
18 benefit. And if in fact they do, we talk
19 about the burden to developers. If in fact
20 you show that, there's a major reward when
21 you in fact have an agent that has been
22 established to not only provide the

1 microvascular, but macrovascular
2 complications, certainly for that agent as
3 well as for the overall use of such agents in
4 the field.

5 Thinking back to lipid-lowering
6 agents. When the statin trials were
7 establishing definitively benefit on MI and
8 death, the overall volume use of such agents
9 became much greater. So it's certainly to
10 the benefit of developers to be able to
11 reliably establish when there are benefits
12 beyond -- in this case, beyond microvascular
13 benefits.

14 What should the end point be? I
15 agree with my colleagues, who have advocated
16 myocardial infarction, cardiovascular death,
17 and stroke. These are the most clinically
18 compelling. But furthermore, these are where
19 the signals are. A cardiovascular safety
20 trial needs to rule out what it is that you
21 are worried you've seen before. So these
22 are -- this composite is what was seen in

1 muraglitazar, at least suggested -- the MI
2 suggested rosiglitazone death in ACCORD. So
3 we aren't ruling out the concern if we don't
4 specifically use as the composite endpoint
5 those measures that in fact have been
6 suggested to be potentially harm.

7 What about the size and duration of
8 these trials? And this relates to the margin
9 issue. And this, as my colleagues have said,
10 is a difficult question. But it's one that
11 we need to do the best we can to address.
12 And we can address it in an evidence-based
13 manner. The question that was raised here
14 is, do the margins have to be 1.2 to 1.4.
15 Again, I suggest this needs to be handled on
16 a case by case basis. But, in general I
17 would think that possibly somewhat larger
18 margins could be justified.

19 Something in the range of 1.33 to
20 1.5 for this definitive cardiovascular safety
21 trial.

22 So what's the rationale for that?

1 Well, suppose we are enrolling a population
2 that would have about a 2 percent annual risk
3 of our composite endpoint -- cardiovascular
4 death, stroke, and MI. If you had a 1/3rd
5 increase, that would translate to about 6 to
6 7 additional events per thousand person
7 years. If you had -- if you were ruling out
8 a 1.5, a 50 percent increase would be 10
9 additional events.

10 Now to put this into context, the
11 precision trial that we talked a lot about
12 yesterday that was looking at celacoxib
13 against naproxen was ruling out
14 1.33 -- 33 percent increase when you had a
15 baseline rate of 1 percent. So that was
16 ruling out three additional events per
17 thousand, saying a positive trial would have
18 to have an estimate of no more than one
19 additional event.

20 That was based on careful
21 consideration against the benefits. The
22 benefits there being, widespread analgesic

1 benefit -- although, you could still get that
2 with other agents but maybe not as thoroughly
3 in all cases. And reduction in GI
4 ulceration.

5 Here, what we're talking about as
6 benefits are microvascular complications.
7 Well, we need to do some numbers here. Let's
8 project what is, in fact, the expected
9 benefit that you're seeing here in terms of
10 preventing microvascular complications.

11 So the size of this margin may well
12 be specific to the agent. May well be
13 specific to how compelling is the evidence
14 that this specific agent provides benefit in
15 these other domains, such as microvascular
16 complications.

17 But, my general sense is, when such
18 analyses are done you may well be in a
19 position to say, it's adequate to rule out a
20 one-third increase or the Lipicky-Temple rule
21 of a 50 percent increase.

22 Now, what does that translate into

1 in terms of trial size? A one-third
2 increase -- we've already -- these exact
3 calculations with the precision trial. It
4 would take 508 events, or roughly 500 events.
5 If we were doing a five-year trial, it would
6 take 5,000 people: 2,500 treated, 2,500
7 controls.

8 On the other hand, if we could say
9 it's adequate to rule out a 50 percent
10 increase, it'd be half that size: 256 events
11 or 2,500 people. Just to put this into
12 context, the PROactive trial had more than
13 500 events. The ACCORD and ADVANCE trials
14 are twice the size of the 5,000-person trial,
15 four times the size of the 2,560 person
16 trial. So we're talking about the definitive
17 trial being one-fourth to one-half other
18 trials that have already been conducted.

19 I agree with others. We should
20 pursue pragmatic trials to make this more
21 achievable and more affordable. The burden
22 will be less if we pursue pragmatic trials.

1 Such studies would be positive if you had
2 some excess. If the estimated excess was no
3 more than about 12 to 17 percent.

4 That translates to an estimate of
5 three excess events per 1,000 person years.
6 That meets the Califf cut-off that Califf was
7 talking about yesterday, a 10 to 15 percent
8 increase being clinically relevant.

9 These studies would only be
10 positive if your estimate was no higher than
11 that. And again, its justification for
12 allowing that is the microvascular benefits.

13 Now, as achievable as these trials
14 are, I think Dr. Nissen made a key
15 observation yesterday that while it's
16 important to have insights pre-marketing, it
17 is a burden to do this entirely
18 pre-marketing. And so a compromised strategy
19 of saying that a screening assessment could
20 be done pre-marketing and this trial could be
21 done post-marketing is rational.

22 So just to quickly touch on the

1 size of that -- from these numbers, the
2 smallest that I can see justifying would
3 be the second to the last line in the Nissen
4 slide, which would be 125 events.

5 A 125-event trial. By the way,
6 that's one-fourth to 1/8th the size of an
7 ACCORD or an ADVANCE study.

8 If this were a 2-1/2-year
9 trial -- so if you followed these people for
10 2-1/2 years, it would take 2,500 people, or
11 1,250 treated patients. A positive result
12 would be an estimate of no more than
13 25 percent increase. Now, that is ruling out
14 an 80 percent increase. So that's not a
15 definitive answer, but it's at least some
16 reassurance that it's not more than an
17 80 percent increase.

18 And it has the property that, if
19 you had a percent increase, you only have 1
20 chance in 7 that you'd see an estimate
21 of -- as favorable as 25 percent increase or
22 better. So that's the rationale for saying,

1 this is a screening assessment, doesn't give
2 you the final answer but gives you sufficient
3 encouragement to go on.

4 Now, how burdensome is this? A
5 2,500-person, 1,250 of which are treated,
6 contrasts with what we saw from Dr. Parks
7 that pre-marketing we're seeing 3,300 to
8 4,400 people have been treated. So it's a
9 fraction of that. However, the person years
10 that she referred to as 1,300 to 2,600, the
11 person years here is 3,000. And so in
12 essence, the difference is those experiences
13 have typically been following people 6, 9
14 months. This is following people for 2-1/2
15 years. But still the total person years of
16 3,000 in treated patients is not that
17 dissimilar from what is currently the
18 experience.

19 Mary, did you want to interrupt?

20 DR. PARKS: I'm sorry. A point of
21 clarification on the total number of patients
22 exposed in that slide that I provided you.

1 That's including Phase 1 trials as
2 well.

3 DR. FELNER: Okay, that's fine.

4 DR. PARKS: So just to make it clear,
5 it's not 3,000 patients --

6 DR. FELNER: Understood. And that's
7 the point that I was just making, is that the
8 total treated patients of 3,300 to 4,400 is
9 giving rise to 1,300 to 2,600 person years,
10 whereas this study, which would be 1,250 treated
11 patients, would be giving rise to 3,000 person
12 years. So that here you would be doing a more
13 extended follow-up.

14 That more extended follow-up has
15 substantial advantages to the sponsor,
16 advocacy for the product, because if it is in
17 fact true that the longer you're following
18 these patients the more likely you would be
19 seeing evolving beneficial mechanisms for
20 affecting cardiovascular death, stroke, and
21 MI to offset shorter-term adverse, than it
22 actually has a better chance of being more

1 favorable when you have somewhat more
2 follow-up.

3 One point that was touched on, it's
4 related to Dr. Temple's point. This study,
5 when it's completed, is intended at a minimum
6 to rule out an 80 percent increase. And it
7 has, however, the possibility that your
8 estimate is much better than a 25 percent
9 increase. Your estimate could actually be
10 neutral to favorable.

11 If you're estimating a 30 percent
12 reduction in this trial, that's superiority.
13 You're done. There's no need for that
14 confirmatory trial post-marketing. In my
15 view, you've proven superiority on this
16 point.

17 But even if it's less favorable,
18 even if it's just slightly favorable, a
19 5 percent reduction, that rules out a
20 one-third increase. I think it's relevant to
21 discuss whether that could be sufficient to
22 then not just -- to justify that you've ruled

1 out an unacceptable increase and you wouldn't
2 need to do the post-marketing, large-scale
3 study.

4 So let me close here by quickly
5 touching on the last four questions. Very
6 quick comments on the last four components to
7 this. In terms of populations, I'm looking
8 for comprehensive assessments here. If this
9 is an intervention that would be used in
10 pre-diabetics and diabetics, et cetera. This
11 needs to be assessed. Whether we can pool
12 pre-diabetics and diabetics is an interesting
13 discussion. But, in the diabetic's
14 assessment certainly we should be looking at
15 some patients that are high-risk. And in
16 fact, obviously those high-risk patients will
17 contribute a larger fraction of events.

18 In terms of the design, I would
19 favor a real-world design. I would like
20 designs to represent what the affect would be
21 in a real-world setting, so I very much like
22 the drug X plus standard of care against drug

1 Y plus standard of care, where drug Y would
2 be restricted only to be an agent without a
3 cardiovascular signal. Because we want to,
4 in this comparison, be able to say if you're
5 comparable, you're comparable safe not
6 comparable unsafe.

7 Regarding the deteriorating
8 glycemic control, patients should be managed
9 per current guidelines. But everybody
10 counts. I favor the principal analysis of
11 intention to treat. So if there's
12 deteriorating control, then add insulin or
13 add whatever would be appropriate real-world
14 standard of care. And everybody should be
15 followed.

16 Now, because everything counts,
17 though -- and these are the issues I was
18 talking about yesterday -- there are some key
19 performance standards that have to be met.
20 The first is, you need to have good adherence
21 to the experimental intervention. We're
22 trying to rule out whether there's an excess

1 cardiovascular risk, and this experimental
2 agent needs to be adhered to at least at a
3 level that would represent best achievable in
4 the real world.

5 The control arm needs to be
6 provided a standard of care, but first of all
7 there should be no access to the
8 experimental. You shouldn't be able to cross
9 the patients into the experimental. You're
10 nullifying the ability to interpret the data
11 from a safety perspective. And there should
12 be no, or at least very limited access, to
13 standard of care agents that themselves have
14 a suggested increased cardiovascular risk.
15 So wouldn't want a lot of rosiglitazone use
16 or use of agents that might be suggested to
17 potentially have an increased risk in that
18 control.

19 Last point. In terms of managing
20 the blood pressure, lipid levels, aspirin
21 use, et cetera. My overall philosophy is, I
22 want a real world answer. And therefore,

1 yes, we want to manage these according to, in
2 my words, the best achievable real world
3 adherence to current guidelines. So what are
4 current guidelines for managing these risk
5 factors? Then we should be getting the best
6 achievable real world adherence to those
7 guidelines.

8 That might yield, in the end, some
9 difference. But that's inherently part of
10 the regimen. It's part of the impact of that
11 intervention. But, this is not -- this needs
12 to be done with rigor. This needs to be
13 monitored during the course of the trial and
14 there should be pre-specified performance
15 standards as to what would be best achievable
16 real world implementation of the supportive
17 interventions. And that should be what we
18 would strive to achieve.

19 DR. BURMAN: Thank you very much.
20 We're getting a lot of very good information,
21 and eloquently and quickly. And with that,
22 we're going to adjourn for lunch and then we'll

1 reconvene at 1:00 in this room.

2 Please take any personal belongings
3 you may want with you. The ballroom will be
4 secured by FDA staff. You won't be allowed
5 back into the room until we convene. And
6 remember, there should be no discussion of
7 the meeting during lunch among yourselves or
8 other members of the audience.

9 Thank you.

10 (Whereupon, at approximately
11 12:04 p.m., a luncheon recess was
12 taken.)

13

14

15

16

17

18

19

20

21

22

1 Then we'll go to Question No. 3 and
2 vote on that and give specific -- and
3 everyone will give their specific reasons for
4 voting. And then we'll end up with Question
5 No. 4, which I don't think will take as long
6 as some of the other questions. And I
7 realize that Question No. 2 is the most
8 comprehensive question, so it will take the
9 longest.

10 Dr. Goldfine, are you ready?

11 DR. GOLDFINE: Welcome back from
12 lunch. I'm going to take these questions in a
13 slightly different order than which they are
14 presented, because I think they actually address
15 different questions. And I'd like to begin with
16 what type of patient population should be
17 enrolled.

18 And I think, when you look at the
19 different patient populations who enroll,
20 you're actually asking very different
21 questions. So I think I'm going to start by
22 saying that any study to look at those with

1 acute coronary syndrome are going to have the
2 greatest event rate. But you have to have a
3 premise that the drug is actually going to be
4 beneficial in that setting to ask that kind
5 of question. And it is very plausible that a
6 drug could be developed that is felt to have
7 an important indication to health and acute
8 event, that then may show to be found to be
9 beneficial from a cardiovascular point of
10 view.

11 An example might be an ACE
12 inhibitor that will do afterload reduction;
13 therefore it's a plausible reason to be using
14 it in this population -- and yet may
15 ultimately have been shown to have benefit in
16 diabetes or diabetes prevention.

17 I think if you move to
18 pre-diabetes, however, there's no potential
19 benefit of lowering the blood sugars at this
20 point in these people, for protecting them
21 from microvascular disease complications
22 that's been very well-established. And the

1 whole reason to treat pre-diabetes is that
2 you actually are going to be either
3 significantly delaying the onset of
4 development of diabetes, and or its
5 complications. And we're not there yet. And
6 the trial size would need to be much, much
7 larger, and as Dr. Ratner pointed out from
8 the DPP, the incident rate of events in that
9 particular population is extremely low, and
10 so this would be part of a staged program
11 development.

12 So I think we get into patients
13 with diabetes and we must consider those who
14 have a high-risk, which are the patients with
15 diabetes and pre-existing cardiovascular
16 disease, but who are otherwise stable and not
17 in an acute event setting.

18 So once we say that that would be
19 an initial group to study, we can then extend
20 into the other populations in the logical
21 manner. I think the question, then, as we go
22 up to the beginning of it, should the trial

1 be to show cardiovascular benefit of a new
2 drug or to rule out unacceptable increase?

3 I think that it is possible to do a
4 non-inferiority trial and actually
5 demonstrate that you actually have benefit,
6 and I think that would be a wonderful
7 blessing. But I think, again, what we
8 actually feel that we need after yesterday is
9 a neutrality in this, or at least a margin
10 that we would find excludes intolerable risk.

11 And I think that there, again, as
12 Dr. Fleming said -- suggested that it might
13 also be possible to modulate what the amount
14 of the risk that we're willing to tolerate
15 is. And that, at this moment in time, would
16 be willing to how beneficial or efficacious
17 it is for our glucose lowering and our
18 presumed other benefits of actually lowering
19 the blood sugar.

20 So the objective of the trial
21 really should be to demonstrate safety and
22 the duration of it, then, needs to be

1 modulated based on whether or not you're
2 preventing an acute event in a rich
3 population versus doing primary and secondary
4 preventions. And it may take much longer to
5 go from the endothelial dysfunction early
6 atherosclerosis to form plaque and that maybe
7 a very different question than actually
8 preventing the person who's gotten
9 established and formed lesions.

10 So then, the next question about
11 what the ratio is, I think -- that I think it
12 actually may slide, based on the drug. But I
13 also just want to point out the conundrum
14 from the clinician -- that to say that you
15 could accept a drug with a margin of 1.4
16 risk, yet you would approve a drug that had a
17 20 percent, or 1.2.

18 You know, a 20 percent benefit is
19 actually a conundrum, and so I think it's
20 very clear that these might be -- in staged
21 ways -- to allow a drug to go forward, but
22 may not be acceptable limits as you move into

1 the larger trial designs, that I think are
2 going to be absolutely necessary. So I think
3 it's very important to say when you have a
4 margin of risk that acceptable for moving
5 forward into a more definitive trial from
6 what that limit is going to be when you're
7 actually going to be in a definitive trial.

8 I think that for adding drugs or
9 controlling diabetes, we certainly have to
10 have safety limits, and I think these safety
11 limits may actually slide with out current
12 understanding of diabetes. And so if you
13 look at trials that were conducted at the
14 time of the DCCT, the limits and control
15 groups are much higher than any of us would
16 be comfortable with now. And we might have
17 suggested that they needed to be lower than
18 our current rates, but the ACCORD data
19 suggests that that may not be the case.

20 So I think that the -- when the
21 trial is designed, you have to use the
22 information available at that time and we

1 have to have a little bit of flexibility as
2 to what these cut-offs, these safety
3 cut-offs, are for adding drugs. As the
4 armamentarium grows, the complexity of
5 interpreting your results will become much
6 more complicated if everybody is allowed to
7 add whatever they want, in whatever order
8 they want.

9 And while that may be most real
10 world, it will also be most difficult to
11 interpret. And therefore, I actually do like
12 the staged or step-wise edition of agents,
13 following some of the cardiovascular trials
14 that have been underway. Because at least
15 those will be able to be interpreted to a
16 best way -- and I think there is a stage way
17 in which most clinicians would be recommended
18 to be adding drugs. And so I don't think it
19 should be terribly off from real world.

20 And I think that at this point in
21 time then, the final question really had to
22 do with the management of the -- aggressive

1 or appropriate management of blood pressure,
2 lipids, aspirin, and other cardiovascular
3 risks. And I think at this point, this is
4 standard of care and should be enforced
5 standard of care across our country. And
6 therefore, we need to talk about additive
7 benefit or additive risk to what is already a
8 clearly lowering our incident in disease in
9 our patients.

10 DR. BURMAN: Thank you. I'll just
11 summarize my views briefly here. I agree that
12 the objective for long-term studies should be to
13 show no unacceptable adverse cardiovascular
14 effects, and should not be primarily to show
15 cardiovascular benefit.

16 Diabetes is a complex disorder with
17 multiple confounding issues, including
18 progression of disease, use of multiple
19 agents, and varying genetic background.

20 Treatment of microvascular events is
21 extremely beneficial to patients in
22 cardiovascular diseases, of course,

1 correlated with diabetes mellitus. I think
2 it is impossible to demonstrate no
3 unacceptable -- I think it is important to
4 demonstrate no unacceptable adverse effects
5 of the anti-diabetic agents.

6 The hazard ratios, where we'll -- I
7 recommend that we'll be discussing shortly in
8 the group discussions. I think the endpoint
9 should be the composite endpoints and the
10 size and duration of the trial should be
11 similar to what has been mentioned earlier.
12 I think the high-risk -- that patients with
13 diabetes should be studied primarily,
14 especially those with high-risk disease. And
15 I think, as I already mentioned, add-on
16 therapy with comparator agents seems to be
17 the most appropriate for these groups of
18 patients.

19 And lastly, the parameters for
20 treating blood pressure, lipid profile, and
21 aspirin, all should be managed to goal in
22 both groups, so they can be comparable.

1 DR. HENDERSON: The first bulleted
2 question is an either/or scenario. We don't
3 have the choice of both of the above. So given
4 either/or, I would say that showing
5 cardiovascular benefit would be nice to know,
6 but the second one, being able to rule out risk
7 is a need to know. So I vote for ruling out the
8 cardiovascular risk as a need to know basis.

9 As far as relative risk, my main
10 mantra is that we need subgroup information.
11 We need definitive data for subgroups. And
12 to me, it's even an ethical issue that we
13 come up with a 1.0 estimate for all
14 diabetics. Like a diabetic is a diabetic is
15 a diabetic is saying even if we agreed on a
16 1.3 point estimate, will Dr. Felner's
17 pediatric patient be a 1.3, such as a
18 40-year-old man, overweight, newly diagnosed?

19 Such as a 65-year-old woman being
20 diagnosed with diabetes for 30 years? We
21 just can't say that it's a 1.3 risk for all
22 of those types of patients.

1 And yesterday, Dr. Califf talked
2 about truth -- about uncertainty on the
3 label. And I think that is an admirable,
4 noble goal. It's not truth in labeling if we
5 have information that some people are more at
6 risk than others, and we don't put that on
7 the label. And I'm thinking about the Rosi
8 study last year. From the preliminary data,
9 it was very obvious that some people should
10 not be taking Rosi, because of the different
11 subgroups. But we didn't have enough data
12 for it to be definitive. And so then that
13 couldn't be put on the label. And again, I
14 just think that we need to account for that
15 variability.

16 So wanting this data by subgroups
17 characterizes the rest of my answers. I
18 do -- the next bullet point, Wanting a
19 Standardized Definition, and look at total
20 mortality, CV deaths, strokes, and MIs.

21 The third bullet point, Comment on
22 the Size, again, I think we would need a

1 larger size if we're going to be able to do a
2 power analysis among the subgroups. Such as
3 those by age, it looked like, in other data,
4 that people were at varying risks by age.
5 Also whether or not they're taking insulin.
6 Male/female groups, for example. Maybe
7 overweight/non-overweight. Different groups,
8 so that we can not have just one estimate for
9 everybody. And I think it should be a
10 minimum of five years.

11 And the next bullet point, again,
12 What Types of Population, I want a large
13 enough study so that we can have power among
14 those subgroups. I agree with what's
15 previously been said for the next bullet
16 point, that we need real-life active
17 comparators.

18 The next bullet -- my main concern
19 is that when someone is withdrawn from this
20 study, that we do follow them for a little
21 while, even after they're withdrawn from the
22 study, so that we can look at are there any

1 lingering side effects or prolonged effects.

2 And for this, I'm referring to a
3 couple of years ago, we had a study on a
4 weight control drug, and over half of the
5 people had withdrawn from the study, and our
6 main concern two years ago was, like, what
7 happened to those people once they
8 discontinued the drug? And that was a huge
9 piece of missing data. And if it turns out
10 in these clinical trials, we have a
11 substantial number of people withdrawing, it
12 is a good question to ask, what happened to
13 them?

14 Maybe six months to a year, at
15 least, after withdrawal.

16 And the last bullet, I think we are
17 ethically bound to have optimal therapy for
18 the clients.

19 DR. BERSOT: Well, I think that the
20 purpose of these drugs is to control glycemia
21 and not to prove cardiovascular disease benefit.
22 But I think if the drug companies think that

1 they have a drug that will provide
2 cardiovascular disease benefit, they should be
3 encouraged to have a trial that proves that.

4 But in most of the cases, we're
5 going to be looking at a non-inferiority
6 trial result. And I think, practically
7 speaking, to be able to have a study that has
8 enough people in it and enough events, we're
9 going to be talking about adults, probably
10 middle-aged people who have a prior history
11 of some kind of coronary disease or
12 cardiovascular disease to be able to have
13 enough events over a period of time -- a
14 reasonable amount of time.

15 Now, in terms of the hazard ratio,
16 that, to me, depends on what the absolute
17 event rate is, of course. And since I'm a
18 lipid guy, I sort of -- I went to the outcome
19 of the diabetes arm of the recently
20 done -- to new targets trial, where they
21 looked at the outcome of 10 versus 80 mg of
22 Atorvastatin in diabetics treated over 5

1 years; to an LDL cholesterol of either 100 or
2 77 mg per deciliter. And the groups were
3 matched in terms of drugs taken for diabetes.
4 And about -- in the group that had -- and
5 this also I think, speaks to the issue of
6 what current therapy is, in terms of events.

7 So the group that got 80 mg of
8 Atorvastatin on treatment LDL to 77, about 14
9 percent of them either had a stroke, a
10 cardiovascular disease death, or non-fatal
11 MI. So if you're willing to accept the
12 20 percent increase in events related to the
13 new agent, that would be about three people,
14 additionally, having an event over six
15 years -- a 40 percent increase -- six people
16 having an event over five years.

17 Now, you could say, that's bad, but
18 it also depends on the agent's ability to
19 control microvascular outcomes and also the
20 side effects. There might be that the agent
21 could be used instead of Metformin in people
22 with end-stage renal disease, in a safe way,

1 that perhaps this additional 20 percent
2 increase in cardiovascular disease outcomes
3 might be outweighed by the beneficial effects
4 in terms of the glycemic control in people
5 who can't tolerate other drugs.

6 So I think this issue of what's an
7 acceptable hazard ratio is going to depend on
8 what the current state of treatment is in
9 terms of major cardiovascular events and also
10 the benefits of the drug under consideration,
11 with regard to microvascular outcome.

12 End points -- I think the endpoints
13 should be what I just suggested, based on
14 TMT. But of course, all of the other
15 secondary incomes/outcomes should be
16 evaluated. I think five years is a
17 reasonable duration, given what's been
18 commented on about, in terms of two years to
19 three years not being enough time to see
20 longer duration effects.

21 If you're going to be dealing with
22 patients who are secondary prevention

1 diabetic patients who are pretty far down the
2 pike in terms of their diabetes, it's highly
3 unlikely that they're going to be able to be
4 treated with placebos, so you're going to be
5 adding drug X to standard of care, versus
6 another drug.

7 So then the other point with regard
8 to deteriorating glycemic control, I am not a
9 Diabetologist, but I would presume once
10 there's some excursion above a 7 percent
11 glycosylated hemoglobin, then some, in my
12 opinion, predetermined algorithm ought to be
13 employed to eliminate the variability of
14 different investigators using different
15 agents to control glycemia.

16 I also concur with the points that
17 have been made about treating patients to
18 currently targeted goals for blood pressure
19 and lipids. However, there is much more
20 attention now being focused in the lipid
21 world on reaching goals for HDL cholesterol
22 and triglycerides. And the agents that are

1 added onto statins for that are primarily
2 Niacin and fibrates.

3 So if you don't pre-specify how
4 those drugs should be used, if you have some
5 investigators who are big niacin fans, who
6 want to raise HDL with niacin, you're going
7 to be affecting insulin resistance with
8 niacin, and perhaps affecting glycemic
9 control.

10 With that class of drugs, on the
11 other hand, fibrates, there's some indication
12 that fibrates, which are primarily used to
13 lower triglycerides, may actually have an
14 ability to reduce cardiovascular disease
15 events independently of their ability to
16 change lipids. And there are also follow-up
17 studies. For instance, the Helsinki heart
18 study showed that after 18 years of
19 follow-up, the original Gemifozil group had a
20 substantial risk reduction, despite the fact
21 that those patients stopped taking Gemifozil
22 some 10 to 15 years before.

1 And there are also from the field
2 study, are indications that, at least in that
3 study, there may be an improvement in
4 efficacy, and improvement in retinopathy and
5 microalbuminuria associated with the use of
6 Fenofibrate in that study.

7 So I think that there needs to be
8 some careful thinking about these add-on
9 drugs that are used to get people to the
10 stated goals for raising HDL and lowering
11 triglycerides, that already exist. And then
12 there's the whole issue of what to do about
13 changes in HDL cholesterol when and if CETP
14 inhibitors hit the market, in terms of
15 changes in HDL, as well.

16 Thank you.

17 DR. FLEMING: Starting with the first
18 question, I think the problem before us is the
19 increase in risk and not, per se, demonstrating
20 benefit. Of course, we'd all like to see
21 benefit demonstrated, but I think the trial's
22 objective should be to not exactly rule out an

1 increase in cardiovascular risk, because you
2 can't really rule it out. But have it be at a
3 very low probability of if there's any increased
4 cardiovascular risk.

5 And I think it would be good to
6 have some risk benefit calculations of some
7 kind in there. Looking also at microvascular
8 benefits as well as potential cardiovascular
9 risk increase.

10 I guess in terms of the risk, I
11 consider it relative to what? For instance,
12 relative to any benefits, but also relative
13 to what comparator and what is the absolute
14 risk in the group that you're looking at? So
15 I think that's something that has to be
16 looked at carefully, in terms of what is the
17 magnitude? Because the magnitude of a
18 relative risk of 1.2 is different depending
19 on whether the baseline risk you're looking
20 at is very low or very high.

21 In terms of the primary endpoints
22 ACCORD really showed an effect on total

1 mortality and I'm a little bit concerned that
2 a composite endpoint might not be completely
3 specific as to what the potential issue is
4 here. And maybe we shouldn't depend too much
5 on ACCORD per se, but it does show somewhat
6 different results when you look total
7 mortality, which is what the trial stopped
8 for or the composite endpoint, which looks
9 much better.

10 So I think there -- I don't think
11 cardiovascular or surrogates would be a good
12 thing to look at. But I wonder if total
13 mortality should really be the primary
14 endpoint and a composite endpoint, and a
15 secondary endpoint.

16 Size, I would think, of the trial
17 depends on what you're trying to detect and
18 what power you want? In terms of the patient
19 population, I think this is a very tricky
20 question because, on the one hand, people who
21 are at very high-risk, you're going to get
22 more advanced and so your sample size is

1 smaller, but they may be a very different
2 kind of population. And in that regard, I
3 would ask, exactly how would you identify
4 people with diabetes who are at high-risk?
5 Like, what characteristics would be the best
6 way to identify them? And are there any
7 special characteristics of their diabetes, as
8 opposed to other characteristics which should
9 be used to kind of stratify this population?

10 The risk may be very different in
11 different people of different duration, for
12 example, of diabetes. And so you want to
13 find the group -- if you think there's an
14 increased risk, you want to find the group
15 that has the most increased risk because
16 those are the people you're worried about. I
17 don't know if you have, like, a lot of
18 cardiovascular risks for other reasons that
19 can be harder to pick up any effect with the
20 drug? Or because it's kind of blotted out,
21 so to speak, by the additional risk conferred
22 by other characteristics? I think that's a

1 tricky question to address.

2 Just in general, I think from a
3 core -- we really don't know are these drug
4 effects or are they effects of the intensity
5 of therapy, or the strategy that was
6 followed? Or something about the subgroups?
7 So we wanted to zero in, we want to look at
8 the effect of the drug itself -- how to
9 distinguish that from these other kind of
10 characteristics of how these studies are
11 being conducted, because ACCORD doesn't look
12 at specific therapy.

13 In terms of active comparators, I
14 think it also depends a lot on the types of
15 patients in the study, and especially if you
16 have people with longer duration or advanced
17 disease. They're going to placebos, not an
18 acceptable comparator. And you may have
19 changes during the trial.

20 You do want to have drugs that have
21 similar adherence, so you don't introduce
22 that as a difference between these groups.

1 In terms of deteriorating glyceimic
2 control, I think there should be some kind of
3 staged algorithm for addition of agents, so
4 that there's something to reduce some of the
5 variability in this whole process. And
6 similarly with the other cardiovascular risk
7 factors, I think you should treat optimal
8 levels as much as possible, but follow
9 current guidelines. In the extent that can
10 all be standardized, too. And that's also
11 likely to change over time.

12 I worry that event rate -- not
13 worry, but event rates may been lower than
14 expected.

15 They usually end up being lower
16 than expected. Treatments for other
17 conditions may improve, so that will
18 definitely be something that needs to be
19 thought about carefully and kept track of
20 during the trial.

21 Thank you.

22 DR. PROSCHAN: Yeah, I definitely

1 think the trials should be to rule out a certain
2 level of harm. What level of harm -- you know,
3 I think ultimately that will depend on the HbA1c
4 difference. But I like the Steve Nissen-like
5 approach, and I would modify it in a couple of
6 ways.

7 One would be to have the large
8 outcome trial -- the large safety
9 trial -- start certainly before approval.
10 And then, in that trial, after there are 160
11 events in that trial, then I would take a
12 look at it and see if the 90 percent
13 one-sided competence interval rules out 1.50?

14 If it does, at that interim
15 analysis, I would say, okay, you can go ahead
16 and approve it but you continue that trial
17 until the end, to figure out ultimately what
18 the hazard ratio is. That has the property
19 that if the true hazard ratio, not the
20 observed one, but the -- if the true hazard
21 ratio is 1.0, there's a 90 percent chance
22 that they will pass that hurdle and get the

1 approval.

2 So I like that strategy. And then,
3 as I say, ultimately though, at the end of
4 that large safety trial you'd have to make a
5 decision on what's an acceptable level,
6 partly on the basis of what the HbA1c
7 difference is. But I would think that levels
8 around 1.3 -- hazard ratios in the
9 neighborhood of 1.3 would be desirable.

10 Now, what should the primary
11 endpoint be? I agree that non-fatal MI, CV
12 death, and stroke is a good primary endpoint,
13 but, as was just pointed out in ACCORD, the
14 problem was total mortality. And so
15 certainly -- I mean, obviously the FDA is
16 going to always look at total mortality
17 anyway. So I don't need to say that they
18 should also look at that.

19 Size and duration? I think such a
20 trial should be five years, because some of
21 the problems in other trials weren't
22 discovered until after at least two years.

1 And in terms of patient population, I would
2 think that you'd want high-risk patients.
3 Patients at high-risk for cardiovascular
4 events. And I was thinking in terms of a
5 drug X versus drug Y type design.

6 And then, deteriorating glycemic
7 control, obviously I'm a statistician, so I
8 don't know. You know, I'd assume, ethically,
9 you'd have to give drugs for that, but -- you
10 do? Okay, good.

11 And then, also I think ethically
12 you do have to manage blood pressure and
13 lipids, and so forth. The current
14 guidelines, I mean, I would say you have to
15 provide them with the current guidelines and
16 say, this is what they should be. As far as
17 forcing them to, I don't know about that.

18 DR. BURMAN: Dr. Lesar?

19 DR. LESAR: I'll start by stating,
20 here is a member of the Drug Safety and
21 Reduction committee, so my comments are based
22 thinking a lot about risk. I'm not an

1 endocrinologist. I'm not a cardiologist, or a
2 statistician, but just to address the first
3 part, I do not think that there should be a
4 requirement to show cardiovascular benefit, nor
5 do I think the objective of any study should be
6 to show up this benefit. However, certainly it
7 would be beneficial to the population and their
8 knowledge as a whole if such trial was
9 undertaken, even considering the recent
10 findings.

11 In terms of hazard ratios, in terms
12 of studies to determine potential risk, and
13 frankly, from my sitting and thinking about
14 risk. Risk ratios of 1.2, 1.3, 1.4, up to
15 2.0 -- pretty scary, considering the severity
16 of the adverse events and the this large size
17 of the population that could be exposed to
18 the drug. So from a public health
19 standpoint, that risk, that hazard ratio,
20 really how I think about it depends on are we
21 talking about a pre-marketing trial or are we
22 talking about a post-marketing trial?

1 The reason is in a post-marketing
2 trial the population is exposed to the drug.
3 So how much risk are we willing to place the
4 general population?

5 The scenario could be that it's a
6 highly effective drug at reducing
7 hyperglycemia: Well-tolerated, easy to take,
8 a large number of patients are taking it.
9 And we are now in the midst of a trial to
10 determine whether its risk ratio -- its haz
11 ratio is 1.2. It seems like a fairly high
12 population risk to take, so I would say that
13 many of my comments will be -- the answer is,
14 it depends.

15 Hazard ratio would be -- should be
16 much smaller if the population -- the large
17 population is exposed. And if it's submitted
18 to a pre-marketing trial, it could be in the
19 range people have been discussing. And also
20 it would depend on, as mentioned before,
21 absolute risk as opposed to a ratio. Again,
22 what is absolute risk that we're exposing

1 both out-study subjects as well as the
2 public, too? So given population is
3 important.

4 In terms of primary endpoints,
5 certainly hard endpoints are important. Well
6 defined, consistent across studies, and,
7 again, perhaps those might vary by the types
8 of populations that are being studied, to
9 improve either sensitivity or to improve
10 sensitivity, or both.

11 In terms of size, again, five years
12 minimum. I think it's the number of years
13 that should be at least planned, with a plan
14 that if there appears to be separation, or an
15 increased risk starts to appear, but is not
16 statistically significant toward the end of
17 that trial, then it may need to be continued.

18 Also could be built into that is
19 that if there could be a -- sort of points
20 along those studies which has demonstrated
21 that appears to be very low risk or
22 potentially benefit. That, potentially, the

1 studies could be stopped. And also that we
2 may need to look at changing knowledge base.
3 That we may learn that we do need to tweak.
4 We need actually study longer or even more
5 populations. Again, so it's going to depend
6 on population and what knowledge basis at
7 that time.

8 In terms of types of populations,
9 we certainly need to expose the highest risk
10 patients to these drugs and that's who it's
11 going to be exposed to once the drug is
12 marketed. It is, perhaps -- I'll throw
13 something out there -- is that the study
14 initially shows a low-risk potential.
15 Potentially for the lower risk populations,
16 are there alternative methods of monitoring
17 for adverse events, such as post-marketing
18 surveillance, registries, et cetera?

19 In terms of comparators, I really
20 think in real-life situations, people are
21 going to prepare drug to drug, they're not
22 going to leave a patient without drug, as

1 mentioned. Again, the important point being
2 controlling as much as possible what drugs
3 are being used and that they are very well
4 documented.

5 Similar comments related to
6 benchmarks or changes that for -- in terms of
7 glycemic control. Again, it may depend
8 somewhat on the population and initial risk
9 for that patient. So again, there may be
10 some variability. Again, those things can be
11 defined and potentially controlled for.

12 And finally, certainly we should be
13 treating to establish guidelines. And again,
14 trying to control as much variability as
15 possible.

16 Thank you.

17 DR. KONSTAM: Thanks very much. So I
18 actually want to just start with sort of a broad
19 comment and reflecting back on Rob Califf's
20 outstanding presentation yesterday. But I just
21 want to sort of reflect that we have so much to
22 learn about this disease. You know, most

1 notably, what is the relationship between
2 glycemic control and cardiovascular events in
3 type 2 diabetes, and the metabolic syndrome.
4 And many more questions about the best
5 approaches to glycemic control, but I don't
6 think all the world's problems have to be solved
7 through the regulatory mandate mechanism. I
8 think there are many important questions; we're
9 answering them.

10 I'll speak on behalf of NHLBI, that
11 clearly, we've shown that diabetes is a major
12 strategic direction for us. And there are
13 many opportunities to go forward with that
14 investigation. And I'm sure I speak for
15 NIDDK as well. And as people have pointed
16 out, there's a tremendous opportunity for the
17 pharmaceutical industry. If, in fact, you
18 can identify that you have a cardiovascular
19 benefit over and above the glycemic control
20 of another agent, man, you're made in the
21 shade.

22 So you know, I think that

1 there's -- and I think companies are thinking
2 this way. I think some of the people who've
3 given their talks today -- yesterday -- can
4 help in designing trials that actually could
5 achieve that goal, and I'm not sure that has
6 to be mandated through the regulatory
7 mechanism.

8 So getting back to the questions, I
9 mean, I guess then as many others have said I
10 feel very clearly that we don't have to
11 establish a bar of cardiovascular efficacy
12 for approval of the next diabetic drug. That
13 would be, I think, unreasonable on a couple
14 of different grounds. One being in my mind,
15 the very clear establishment of glycemic
16 control is an appropriate efficacy endpoint
17 based on its linkage to microvascular events.

18 And secondly, we have to keep
19 remembering that if we're talking about
20 cardiovascular efficacy, it's versus what?
21 Because presumably there is cardiovascular
22 efficacy of treating hypoglycemia, but nobody

1 is going to, I think, ethically accept when
2 HbA1c of 12 in a control group over a
3 protracted period of time in order to show
4 that. So that really represents a very
5 difficult bar to hit.

6 So for those reasons, I think all
7 of the focus should be on risk. And I think
8 the issue of cardiovascular risk is an
9 important one. I'm not sure how to
10 interpret, frankly, the rosiglitazone data,
11 but certainly -- and I think the point was
12 made yesterday -- I don't think there's
13 anything special about diabetes drugs in this
14 regard. I mean, I think you can ask -- raise
15 this question with every drug class. But we
16 are talking about these drugs and I think
17 cardiovascular safety is a reasonable
18 endpoint. And the question then is, how do
19 you get there?

20 And so you know, getting to this
21 second sub-bullet, I mean, I guess I would
22 start by saying I don't feel that we as a

1 panel should establish any specific
2 statistical upper boundary. And I'll see if
3 I can explain why, but let me just say that,
4 to me, the most rational approach is a
5 pre-specified safety evaluation program. You
6 know, that begins certainly the early
7 phase -- well, it begins in Phase 1, but
8 certainly early Phase 2. And then goes
9 forward from there with a unified analytic
10 plan and a unified set of methodologies as
11 the best approach.

12 And I think that -- so what are we
13 really aiming for? I think -- I mean, my own
14 view is the statistics is not a end in
15 itself. It's a means to an end and what you
16 really want is really a clinical assessment
17 of risk, to be informed by particular
18 pieces -- statistical pieces of information.
19 So whatever a statistical bound of a
20 particular trial is, my acceptance of -- my
21 interpretation of that is in fact going to be
22 informed by a lot of other things.

1 So number one, I think the points
2 have been made. I don't think it's just the
3 upper bound.

4 I think the number of events that
5 are in the program ought to be taken into
6 consideration. The point estimate, I think,
7 still is important. So statistically, an
8 upper bound of 1.8, you may have a lot of
9 events, and therefore, get an upper bound of
10 1.5, and have a point estimate that's 1.35 or
11 something, if you have enough events.

12 So are we happy with that?

13 So it isn't just the upper bound.
14 I think those other points have to be
15 considered. And the acceptability of a
16 particular upper bound is, I think, further
17 informed by other factors like, are there
18 other signals of concern? I think that is an
19 important question. You know, what else is
20 the drug doing? What else are you seeing in
21 the data set?

22 I think that the incremental value

1 of that drug -- you know, so a comment was
2 made yesterday, we need drugs that can
3 achieve better glycemc control with less
4 hypoglycemia. If you really had a drug like
5 that and you clearly were reducing the number
6 of hypoglycemic events, that's a clear
7 incremental efficacy, if you will. Well,
8 incremental value, in a number of regards.
9 So I might be more accepting of a higher
10 upper bound in that setting.

11 I also think that -- are we talking
12 about a new drug class or another drug of the
13 same class?

14 I think that's important. I think
15 the points were eloquently made yesterday
16 that every drug is a different drug. But
17 life isn't perfect and certainly the risk of
18 unexpected events is going to be higher if
19 you're going into a new drug class.

20 I mean, I think that just is a
21 reality, so I think that is another
22 consideration.

1 I like the points about not
2 sticking to two-tailed, 95 percent confidence
3 interval. I think that -- why not, if it's
4 safety, think about one tailed and think
5 about 90 percent confidence. So you wind up
6 with a certain set of numbers but I like
7 thinking about it, I think, that way. I'm
8 more comfortable with that, too. But the
9 other point I want to -- you know, I also
10 like the idea of potentially a two- step
11 process.

12 The first step having a more
13 liberal conceptual, if you will, upper bound
14 for safety, to be followed on, if necessary,
15 based on what you see
16 pre-randomization -- well, pre-approval. So
17 I certainly wouldn't say every drug must be
18 mandated to a post-approval trial. I think
19 it depends on what's in the approval data
20 set.

21 The other thing is that I'd love
22 more discussion about from the statisticians

1 going in -- as I was thinking going in post
2 the approval you're not starting with no
3 information. You know, you're starting with
4 a prior; right? I mean, if you've done it
5 right you've got a solid base for your
6 statistical data set at the time of approval,
7 so why throw that out? And could there be a
8 Bayesian approach?

9 You know, if once you've agreed
10 upon -- I mean, if you started at the
11 beginning with a very clear, very
12 well-established approach in terms of
13 endpoint, definitions, and adjudication and
14 an analytic plan, and then you get to the
15 approval time, could you not go forward with
16 a Bayesian approach? If you still have to
17 get that boundary tighter, I just sort of
18 figure a little discussion about that.

19 In terms of the other questions,
20 the endpoints, I can't disagree with MI, CV
21 death, and stroke as an appropriate safety
22 composite. You know, the size and duration

1 of the trials, I think we are going to need
2 longer trials. I think some of the answer to
3 this is going to come from the imperatives
4 from the remarks that are being made about
5 what we're trying to achieve for
6 pre-randomization. So I won't go into that
7 further, except that I do think that we're
8 going to need more than we're getting right
9 now.

10 I think that, by definition, we're
11 going to be stuck -- if you want to say it
12 that way -- enrolling patients with other
13 cardiovascular risks or established coronary
14 disease, if you're going to get the number of
15 events we need for these kinds of safety
16 boundaries. So we're going to wind up moving
17 in that direction and that may have a lot of
18 unintended consequences, including exactly
19 how best to manage glycemic control in those
20 populations. But I don't see any way around
21 that.

22 You know, the comparator, it's an

1 interesting question. I mean, I think that
2 in thinking about it again from the
3 perspective of a safety analysis and
4 understanding that we are going to treat
5 hypoglycemia, I mean, I wonder whether we're
6 not simply talking about basically
7 documenting that we are, whatever boundary
8 we're talking about, no worse than other
9 established therapies.

10 Now, that assumes that those other
11 established therapies don't carry excess
12 risk, but as a first approximation, that
13 would be my shorthand answer to that. I
14 think it is -- and I think that thinking
15 about a program, if you are going to accept
16 the program approach then it's going to be a
17 mix and match.

18 So there's going to be -- wind up
19 having to be an analysis of all drug patients
20 versus all comparative patients because there
21 may be different ones. And I would accept
22 that.

1 Let's see, I won't -- you know, I
2 think the glycemic control, I won't -- you
3 know, I'll just sort of defer to my
4 endochronological colleagues. I will say
5 that one thing the ACCORD study says to me
6 is, we've got an awful lot to learn. I mean,
7 my own belief is, it's not the target per se,
8 but it's how we got there or the population
9 that was suddenly thrust into a much more
10 tight glycemic control. So you know, I think
11 this is a tough question that I think I'll
12 leave to others.

13 I will say a word about the
14 management of other -- the final bullet,
15 management of other blood pressure and
16 lipids. And so I think it's a very important
17 point and I disagree a little bit with some
18 of my colleagues. I do think that it's
19 reasonable to go into it with a standardized
20 approach or background therapy. I'd be a
21 little bit careful about mandating
22 post-randomization, mandating that certain

1 targets continue to be achieved. And when
2 you're asking the question of what is the
3 effect of the drug as opposed to a strategy
4 trial, because if Pioglitazone reduces
5 cardiovascular risks and it does so partly by
6 reducing LDL cholesterol -- if it does
7 that -- or reducing blood pressure -- if it
8 does that -- so what? Why is that a problem?

9 If the question is, what is the
10 effect of this drug? And so I guess, my
11 quick answer would be, I would probably go
12 into it with sort of an approach and make
13 sure that patients are on guideline driven
14 treatments, but I don't think I would say you
15 need to then force people to treat the
16 certain targets in order to balance those.
17 That's very important if we do a trial that
18 specifically asks the question, what is the
19 isolated effect of glycemic control? As the
20 ACCORD study was.

21 But if we're asking, what is the
22 effect of the drug? What we're asking is the

1 integrated effect via all mechanisms. So I'm
2 not sure that I would do more than that.

3 DR. BURMAN: Thank you.

4 Dr. Holmboe, we're looking forward
5 to your comments, and then we'll open it up
6 for a discussion.

7 DR. HOLMBOE: So I agree with
8 everything that's been said.

9 I'll try and make this quick. So I
10 think there's been a lot of conversation, but
11 the first one, already I agree that you don't
12 need a trial to necessarily show
13 cardiovascular benefit. That you would
14 clearly want to look at the cardiovascular
15 risk, however I'm not comfortable with the
16 idea that you'd randomize harm. Rather, the
17 frame should be in the context of a
18 non-inferiority trial.

19 And given that we've all pretty
20 much agreed that you need a comparator, I
21 think that's very doable. So I don't think
22 that would be problematic.

1 I also agree, particularly around
2 the risk issue -- I don't think you can just
3 take a limit -- particularly, I agree with
4 Tim, I had the same things written down.
5 It's a population risk issue.

6 We need to look at the absolute
7 risk and it really has to weigh the other
8 benefits that we've been talking about and
9 that is not an easy calculus. And I believe
10 that you're going to have to use judgment
11 through some sort of consensus process to
12 determine what that is.

13 And it would probably require other
14 types of individuals that are not here today
15 to help make that sort of judgment. That's
16 just where we are. I won't say any more about
17 that.

18 I agree the composite clinical
19 endpoint, but also is certainly struck by the
20 mortality endpoint in the ACCORD trials. I
21 don't think we should lose sight of that.
22 But as people pointed out, the FDA does it

1 already. Clearly, you need long-term trials.

2 You know, these things tend to cross.

3 I'm particularly struck by the
4 estrogen trials. You know, everybody said,
5 oh, this just proves our CTs show the
6 population data's not any good. And yet
7 those trials cross, and guess what? You
8 follow along enough, actually the population
9 data looks pretty good for what the
10 randomized control trial data showed later.
11 So you're looking at least three to five
12 years.

13 What type of patients? I think,
14 from a practical point of view, it's got to
15 be high-risk if this is the safety signal
16 we're trying to find. I'm cognizant of the
17 other populations, but it's probably not
18 practical to enroll the number of patients
19 over the period of time required to see an
20 event signal around safety, so I think I
21 agree with you, Marv, this is kind of where
22 we are.

1 I've already talked about the fact
2 that this needs to be a comparator. I think,
3 given that if you're going to pick a
4 high-risk population who, by definition,
5 probably has diabetes that has been present
6 for some period of time. I can't see using a
7 placebo. So I really think you're going to
8 have to use the drug.

9 I agree with the deteriorating
10 glycemic control -- obviously ethically, you
11 got to handle that.

12 How best to handle that, I think,
13 is where there's a little bit of difference
14 on the panel. I was -- I certainly am
15 empathetic to Tom's comment that you want to
16 mirror the real world as best as you can, so
17 again, I think that's a judgment call,
18 whether you make this algorithmic or try to
19 quote near the real world. And that's the
20 balance between efficacy and effectiveness.
21 And that's always a tough one.

22 And then, likewise, for the last

1 question. You're going to have to have some
2 degree of management because we know these
3 things are important. The question then
4 becomes, how stringent are you going to be as
5 a co-intervention over a period of time.

6 And I think again, it depends on
7 what your goal is. If it's really mostly
8 about efficacy of this specific drug, you're
9 going to probably be more stringent in trying
10 to mirror real world activities, maybe from a
11 safety perspective, than you would be a
12 little bit more lenient in how those things
13 change over time.

14 DR. BURMAN: Thank you very much and
15 thanks to all the participants. And just to go
16 over the schedule again, I think it very
17 important that we hear individual comments that
18 we just did, but also that we have an active
19 interplay of discussion that -- I have about
20 1:55, almost. So what I'd like to do is do this
21 and have an open discussion among the panelists
22 and bring out a lot of issues until around 2:30.

1 And go to Question No. 3 at 2:30 and we'll vote
2 on that from 2:30 to about 3:30, because
3 everyone will explain their vote. And then from
4 3:30 to, hopefully, 4:00 or 4:15, go to Question
5 No. 4.

6 But I'd like -- if that plan meets
7 with everyone and I do want to try to get out
8 on time, for sure and maybe even earlier
9 since people have flights. But also I think
10 this is a great opportunity now to open the
11 Question No. 2, open for discussion and
12 interaction. And if anyone has any questions
13 of other panelists or want to raise any
14 issues in general, please feel free.

15 Dr. Temple, I see your --

16 DR. TEMPLE: I just wanted to state
17 one thing about the second bullet. Those
18 figures, 1.2 and 1.4, were intended to represent
19 the upper bound of a confidence interval, not
20 the point estimate. There's been some back and
21 forth on that and I wasn't sure that was clear,
22 so --

1 MR. LESAR: You said there could be
2 some comments related to how the scenario plays
3 out. There's a safety signal enough to
4 require -- agrees there should be a follow-up
5 study. I'd say it started pre-marketing.
6 Three, four years later, or five years, the
7 study is done and they suggest a hazard ratio of
8 1.25, but it includes one, what occurs? What
9 happens then?

10 Well, it doesn't demonstrate harm,
11 it still suggests that harm that we saw
12 initially might still be there, in fact,
13 maybe makes this feel like it's a stronger
14 signal than we thought.

15 DR. BURMAN: Was that directed at
16 anyone in particular, or is it just a comment?

17 MR. LESAR: My concern was what
18 happens follow-up. If we still see a safety
19 signal into marketing, after these are done,
20 what -- how would that play out as opposed to
21 taken as any safety -- seen by -- this is a drug
22 guide, if we knew that this was the safety

1 problem -- 1.25, 1.3, 1.4 -- would we have
2 approved it for marketing? Now, years later, we
3 find out that that is actually what the harm
4 appears to be.

5 DR. JENKINS: Well, that's obviously
6 some of the risk you have to take in making
7 approval decisions. And I think that was
8 inherent in some of the phased approaches that
9 we've been hearing. Obviously, if you complete
10 that post-marketing study -- if that's the goal
11 of the program -- and you still have a worrisome
12 safety signal, that may mean that the drug comes
13 off the market at that point. It may mean that
14 it gets restricted to a third or fourth line use
15 to try and improve the benefit and limit the
16 risk.

17 So you know, it would be all the
18 usual regulatory options at that time, but I
19 think it's important to emphasize that
20 there's always a risk involved in making an
21 approval decision and then following it up
22 with a confirmatory trial. There's always a

1 risk that that first decision will prove not
2 to be borne out as the pathway you might have
3 wished you had taken. But that's part of the
4 way the system works because you can't know
5 everything at the time of approval.

6 I think even Dr. Nissen
7 acknowledged that in his two-step proposal.
8 You know, if you do the trial after marketing
9 and you find harm, that may lead to drug
10 withdrawal. And I think we need to
11 understand that could be part of the system,
12 not necessarily that it was a mistake, but
13 that's part of the system that you can't know
14 everything before approval. You may learn
15 things after approval that will lead to the
16 drug needing to be withdrawn. If that's
17 viewed as a mistake, then it makes it very
18 hard for regulators to take that initial risk
19 to approve the drug in the first place.
20 Because, if it comes back that something you
21 could have anticipated, leads to a drug
22 withdrawal after approval and that's viewed

1 as a mistake, then that's something that we
2 as regulators have to factor into our
3 decision-making. How certain do we have to
4 be?

5 How much risk are we in society
6 willing to take for the possibility that on
7 rare occasions something will need to be
8 removed from the market because of something
9 we learned after approval.

10 DR. KONSTAM: Ken, can I make a
11 suggestion? I wonder whether it would be worth
12 picking up on Ruth's cognitive advice and maybe
13 ask you to maybe go over those groups of points
14 and state where -- basically taking in
15 everything that everybody said and sort of
16 restate to what extent do you feel like we have
17 consensus? To what extent do you feel like
18 there's uncertainty?

19 DR. BURMAN: Sure, I'll be happy to.
20 Dr. Temple, do you want to make your comment
21 first or do you want me to go ahead?

22 DR. TEMPLE: No, I only wanted

1 to -- this may be obvious to everybody, but what
2 the proposal of discussion here is -- it says,
3 well, yes. There's still a risk of putting a
4 drug out and then deciding later that you didn't
5 want to, but it guarantees that a certain kind
6 of information that is never available
7 spontaneously as the results of a large
8 controlled trial will be available in a
9 scheduled way. You know, you don't find risks
10 of 1.2 epidemiologically. You certainly don't
11 get it from AERS. The only way to know about
12 these things, the only way is to plan a big
13 large trial. And that's the point John's
14 making. It might come out in a way you didn't
15 like, but it might be hepatotoxic, too.

16 DR. BURMAN: Good.

17 DR. PROSCHAN: One thing that I just
18 wanted to add that the problem of finding out
19 that you approved a drug that's harmful. And
20 that's all the more reason that you want to make
21 sure that you have a number of events before you
22 approve it and, you know -- so that's why I'm

1 really reluctant to say, well, if the results
2 are better based on only 20 events, then maybe
3 you still approve it. I mean, I think you need
4 some minimal number of events before you can
5 feel fairly confident.

6 DR. BURMAN: Dr. Day?

7 DR. DAY: If we're going to move with
8 the suggestion just made, I would recommend that
9 you would summarize each chunk and then throw it
10 open for discussion. And then do that sequence
11 later.

12 DR. BURMAN: Sure, I'd be happy to.
13 This is a daunting task to try and summarize all
14 of this.

15 DR. DAY: Oh, no. You're very good at
16 that. We can disagree with you.

17 DR. BURMAN: For sure, but I think
18 this is an important point and thank you for
19 bringing it up.

20 And I was going to do this at the
21 end of this session, but I think it is very
22 appropriate to do it now. And I appreciate

1 the suggestion because we do want to try to
2 figure out a consensus because we give advice
3 to the FDA.

4 So Question No. 1 -- which is this
5 part of the question of part 2 -- discuss the
6 following aspects of design. So the first
7 part is easy. I think there is consensus
8 that there should be a large trial with a
9 pre-specified endpoints, including
10 cardiovascular events, should be performed
11 either before or after approval of
12 anti-diabetic agents, I guess, is my thoughts
13 on the first part.

14 DR. KONSTAM: When do we get to
15 disagree?

16 DR. BURMAN: Well, I think now. So
17 we're going to do it in turns, so --

18 DR. KONSTAM: So I mean, I just come
19 back to the thing I've been raising about
20 whether -- you know, if the question is
21 safety -- whether it be -- whatever point it is
22 and let's talk about the point of approval.

1 Again, I'm not sure that you need to have a
2 single, large, cardiovascular trial to get
3 there. I think that -- I'm going to propose
4 that you could get there with a safety program
5 that is very well laid out and pre-specified.

6 DR. BURMAN: I agree, a large trial or
7 set of trials, and analysis of data.

8 DR. KONSTAM: Okay.

9 DR. BURMAN: And that the -- on the
10 same issue, the endpoint should not be
11 cardiovascular benefit, it should be lack of
12 harm. People have --

13 DR. BERSOT: I would just say that I
14 agree with you if the duration issue is dealt
15 with -- the duration of therapy issue is dealt
16 with.

17 DR. KONSTAM: So there might be -- you
18 know, so right now, I guess they have a certain
19 number of patients that they mandate have
20 exposure for a year. I mean, I think you can
21 tackle it. We haven't really gotten into this,
22 but you can tackle it concretely by saying

1 within this program that you need a certain
2 mandated median exposure time across the
3 program, and/or a certain number of patients
4 with a year of exposure, and a certain number of
5 patients.

6 Maybe a year's too short. Maybe it
7 needs be a certain number, two years. So I
8 think you could have parameters built in over
9 an above the raw statistics of the result.

10 DR. BURMAN: Any other comments on
11 that first --

12 DR. FLEGAL: I think there is some
13 flexibility, Marv, as you're talking about, but
14 I would call it some flexibility. I mean, it
15 should still be a prospective plan that's laid
16 out -- and it may well be laid out to aggregate
17 what I call poolable trials, where each of these
18 trials would need to be done with proper
19 performance standards to allow us to interpret
20 the data from the perspective of being able to
21 rule out excess cardiovascular risk. And where
22 it makes sense, in terms of numbers of patients,

1 numbers of events, duration of follow- up. So
2 we're getting into some fine-tuning here, and I
3 don't know if time allows, but my sense of what
4 you're saying is consistent with the general
5 approach to, say, you would need to have the
6 ability to have a source of information that
7 would reliably allow you to address the level of
8 excess cardiovascular risk.

9 DR. BURMAN: Let me answer
10 Dr. Fleming -- at Dr. Rosen's request -- did get
11 some figures written down on a slide that I'd
12 like to put, when we're done with this part of
13 the discussion, I want to go to the easier parts
14 in the end and then come back to the hazard
15 ratios, if that's okay? Anybody else have any
16 other comments on the first part? Yes?

17 DR. HOLMBOE: I think that what we're
18 really arguing here is that we need to change
19 the pre-approval process. You know, that right
20 now we don't have sufficient data to be able to
21 let the kind of risk we've already got. So
22 whether that's a single, larger trial or, Tom,

1 as you pointed out, poolable, I think that's the
2 issue.

3 And I think there may be some
4 flexibility that your point, Marv, about how
5 to get sufficient data to pick up a safety
6 signal that would then make a determination
7 of what you do post-marketing, whether you
8 need this post-marketing trial, or maybe it
9 could move into perspective surveillance
10 systems, and not necessarily be another large
11 randomized clinical trial. But I think
12 that's what we're kind of struggling with
13 here.

14 DR. BURMAN: Cliff?

15 DR. ROSEN: I think Eric summarized it
16 appropriately. I think the real question on the
17 table is, are we modifying the pre-approval
18 process and how are we going to do that?

19 DR. BURMAN: Oh, I'm sorry. Again, I
20 didn't see your hand. Thank you.

21 DR. VELTRI: I think if I understand
22 this, you really are in a process of considering

1 a paradigm shift in the approval process, but
2 also in how drug development is, on an internal
3 basis, an industry. And from a sponsor's
4 perspective, it's going to be looked upon.

5 I think we look at diabetes as a
6 CHD equivalent. And there's a huge residual
7 risk there. There's no anti-diabetic
8 therapies as we've discussed yet that have
9 had an impact on mass macrovascular disease.
10 So if from a sponsor's perspective, perhaps,
11 if one is to embark upon a large clinical
12 trial to exclude harm, one would also want to
13 make sure that one potentially has the
14 opportunity -- if one's a believer, like
15 myself -- the glass is half full, actually,
16 rather than half empty. To be able to
17 conduct such a trial where you optimize your
18 chance of showing a benefit.

19 And there maybe a newer, innovative
20 therapies for diabetes, other aspects,
21 because it's going to have to be drug
22 specific because there could be changes in

1 LDL, HDL besides the HbA1c, which could
2 actually impact upon the benefit side in the
3 risk.

4 And let's face it, whether it's
5 10,000 or 25,000 followed for five years, and
6 again it's an event trial. And the good
7 thing about events is it gives you an
8 opportunity to look for a good outcome. You
9 see, if you take a low-risk patient
10 population you're going to take longer and
11 you may not see the signal you want in the
12 highest risk patients.

13 So I think, when you look at the
14 time and the resource that's required, if a
15 sponsor's going to want to do that, they're
16 going to want to look at both sides, that's
17 number one.

18 Number two, from the other aspect,
19 again, looking at it internally looking out,
20 obviously there's a regulatory issue here but
21 if we see no signal in the pre-clinical
22 database and the usual

1 biomarkers -- independent predictors -- and
2 yet we see in a limited database, whether
3 it's integrated or otherwise a signal which
4 isn't necessarily a precise signal. There
5 may be some noise there. Internally, there
6 could be a decision made that says, we don't
7 want to go forward. You know, there's some
8 risk there, as opposed to maybe another
9 developing program, maybe within the same
10 category.

11 So I think this is changing the
12 paradigm. It's changing the paradigm not
13 only from a clinical perspective and a
14 regulatory perspective, but also what goes on
15 internally is perhaps many sponsor's the way
16 they look at things.

17 DR. BURMAN: Thank you. Other
18 questions or comments on this particular --

19 DR. KONSTAM: Can I just react to
20 that? Because I think I understand what you're
21 saying, but, I mean, I think we've all sort of
22 settled on cardiovascular safety as the thing

1 we're talking to the FDA. And so that's what
2 we're sort of giving them advice on now. If you
3 come along and think you don't really want to
4 develop another hypoglycemic agent unless you're
5 going to be leading the market. And the only
6 way you're going to get there is by showing
7 incremental clinical efficacy, and that's the
8 way you want to design your program, you're free
9 to do that.

10 And I'm sure that you can do that
11 in the context of also satisfying the safety
12 requirements that we're talking about.

13 But --

14 DR. VELTRI: What I'm saying -- I'm
15 not saying we should be satisfied with where we
16 are, even with glycemic control and
17 microvascular disease. I'm not saying that at
18 all because there may be trade-offs. Different
19 patients -- and I think it is an individualized
20 therapy. But there may be new innovative
21 therapy which may not have any impact at all on
22 microvascular disease, but obviously that's a

1 huge opportunity. You know, no one's going to
2 argue about not trying to reduce
3 risk -- cardiovascular risk in diabetics. So I
4 just think that we shouldn't be throwing the
5 baby out with the bathwater here. I think we
6 still want to develop better anti-diabetic
7 therapy for areas where we know we can have
8 impact. And perhaps, even better impact. So
9 I'm not throwing out symptoms in microvascular
10 disease, but clearly the big win, I think, is
11 microvascular.

12 DR. BURMAN: Thank you.

13 Dr. Temple?

14 DR. TEMPLE: If I heard the
15 discussions before, for the large study now,
16 whenever it's conducted, there's general
17 agreement that you have to match both groups
18 with respect to glycemic control, lipids, and
19 blood pressure. Maybe other things, too. If
20 that's the case, then you're studying what were
21 called yesterday, off target effects of the
22 drug. Because you can't win on those by doing

1 the usual things because they're all going to be
2 matched up. Everybody thinks it's unethical not
3 to. So you're really only looking at off target
4 things.

5 Now, I just want to be sure
6 everybody thinks that's so. That in
7 long-term trials, especially, you can't leave
8 people inadequately treated. I mean, if you
9 were testing specifically what the best level
10 of HbA1c to get to, then you could. But for
11 these things we're talking about, for the
12 safety studies that are required, we're
13 talking about groups that are going to be
14 matched in every respect possible. I just
15 want to be sure that we understand that, if
16 that's what you meant, or that you tell us if
17 you didn't. Because that's one kind of
18 trial. That's not an add-on study where you
19 take people, get them to the best control and
20 compare drug and placebo. That would be
21 unbalanced with respect to hypoglycemic
22 control. Nobody thinks that's acceptable