

1           A protocol revision in May 2005 changed the  
2 interim analysis to 75 percent of the data, and a  
3 sample size was slightly increased to 394 with a goal  
4 to obtain 334 evaluable subjects. Due to the interim  
5 analysis, the significance level or the maximum high-  
6 point error rate allowed for the final analysis was  
7 reduced to 0.044. At the end of the study, 352  
8 subjects were actually enrolled, and the 334 subjects  
9 were evaluable at 6 months.

10           The next slide shows some of the key  
11 changes in the planned statistical analyses on the  
12 primary effectiveness endpoint. In May 2002, the  
13 study protocol was conditionally approved by FDA.  
14 The statistical methods section of the approved  
15 protocol specified that the initial GEE model would  
16 contain treatment, time, baseline pain score level,  
17 and baseline level by treatment interaction term. If  
18 the interaction term was not statistically  
19 significant, it would be removed from the model and  
20 baseline level would remain as a continuous  
21 covariate.

22           The study enrollment began in August 2002,  
23 and the interim analysis was conducted in April 2006.  
24 In December 2006, the revised statistical analysis  
25 plan stated that all clinically relevant baseline

1 factors would be screened in the primary endpoint  
2 analysis and interactions with treatment would be  
3 studied.

4           This slide shows the subject dispositions  
5 and the different subject populations. 177 subjects  
6 were randomized to the Oxiplex group and 175 subjects  
7 were randomized to the control group. All but one of  
8 these randomized subjects were included in the  
9 intend-to-treat, or ITT population. Subjects  
10 withdrawals and lost to follow-up represented less  
11 than 5 percent of the total enrolled subjects. Four  
12 Oxiplex and one control subjects had 6-month visit  
13 far beyond the visit window and were excluded from  
14 the completed cases population by the Sponsor.

15           In your Panel pack, some analyses were  
16 conducted on the FDA's modified completed cases  
17 population, which included these five far-out-of-  
18 window subjects. However, since the results were  
19 similar, we will present most analyses on the  
20 completed cases, also identified as PMA CC population  
21 here, to be consistent with the Sponsor. However,  
22 even though the Sponsor referred to these 167 Oxiplex  
23 subjects and 167 control subjects as the completed  
24 cases population in the original PMA submission,  
25 recently, they appear to call the 6 months in- window

1 population as the completed cases, or CC population.  
2 To avoid confusion to the Panel, we will use PMA CC  
3 as a name for these 167 Oxiplex subjects and 167  
4 controls. But please be aware this PMA CC population  
5 may be different from the Sponsor's CC population  
6 presented earlier.

7           Analyses on ITT population also gave  
8 similar results. However, since the Sponsor used  
9 linear interpolation for out-of-window visits for the  
10 ITT population and the Sponsor's single-imputation  
11 model was not pre-specified, we will not focus on the  
12 ITT population in this presentation although we can  
13 provide results on the ITT population if the Panel is  
14 interested.

15           This slide shows the demographics on  
16 baseline characteristics of Oxiplex subjects and  
17 control subjects. The two arms are comparable in  
18 age, gender, BMI, baseline leg pain and back pain,  
19 indicating good randomization was achieved in the  
20 study.

21           Next, I will talk about the primary  
22 effectiveness endpoint. Again, the primary  
23 effectiveness endpoint in the pivotal study was  
24 improvement in leg pain from baseline at one, three,  
25 and 6 months post-surgery. The leg pain was measured

1 by the Lumbar Spine Outcomes Questionnaire and  
2 converted to 0 to 100 scale. The repeatedly measured  
3 data were analyzed with generalized estimating  
4 equation in the ITT and completed cases population.  
5 Again, here, we will focus on the completed cases  
6 population although the results on the ITT population  
7 are available upon the Panel's request.

8 I will first talk about the overall  
9 treatment effect, then the Sponsor's exploratory  
10 subgroup analyses and the issue of site variability.

11 Before getting into the details of the  
12 results, I want to talk a little about the model  
13 selection process. First, why do we need statistical  
14 models? One main reason we use statistical models in  
15 clinical trials is to adjust for potential covariate  
16 imbalance between the treatment and the control arm.

17 There are several ways to select covariates  
18 to be included in the statistical model in a clinical  
19 trial setting. The best way is to pre-specify all  
20 the covariates to be included in the model at the IDE  
21 stage. Automated covariate selections based on  
22 software or a combination of pre-specified covariates  
23 and automated selection are also used sometimes.  
24 Usually, the treatment by covariate interactions are  
25 pre-specified, clinically plausible, and added at the

1 last step after all the main effects covariates have  
2 been added to the model.

3           The Sponsor's model selection process was  
4 quite unusual. Instead of using the pre-specified  
5 covariates from the IDE protocol, the Sponsor  
6 screened a large number of treatment-by-covariate  
7 interaction terms very early in the process. Then, a  
8 manual backward selection process was used to select  
9 the covariates and interactions to be included in the  
10 model. This manual process was prone to biases and  
11 could not be replicated easily. The unusual model  
12 selection process produced a complex GEE model that  
13 was difficult to interpret clinically or  
14 statistically.

15           Here's the Sponsor's GEE model in the PMA  
16 CC population. The model included 10 covariates,  
17 site, baseline leg pain, baseline back pain, baseline  
18 functional scores, CPT, pulmonary abnormality, three  
19 neurosensory exam results, and sexual function. Five  
20 treatment-by-covariate two-way interaction were also  
21 included. Due to the presence of treatment-by-  
22 covariate interactions in the model, the overall  
23 treatment effects was difficult to characterize  
24 because the treatment effects was considered to be  
25 different for different covariate combinations.

1           The P-value for the main treatment effect  
2 should not be use to interpret the overall treatment  
3 effect when treatment by covariate interaction is  
4 present in the model because that P-value only  
5 corresponds to the treatment effect in a very  
6 specific subgroup.

7           Later, we will ask the Panel to comment on  
8 the Sponsor's GEE model.

9           To illustrate what interactions really  
10 mean, this slide shows a hypothetical treatment-by-  
11 gender interaction. Suppose an investigational  
12 device was applied to the treatment group and a  
13 standard device was applied to the control group and  
14 the result was stratified by gender.

15           The graph A, on the left, shows that the  
16 treatment effect, represented by the difference  
17 between the treatment group and the control group,  
18 was positive and consistent for males and for  
19 females, indicating positive overall treatment effect  
20 and no treatment-by-gender interaction.

21           Graph B, in the middle, shows that the  
22 treatment effect was positive for both males and  
23 females, but males appear to benefit more from the  
24 device than females. In this case, there may exist  
25 what we call a quantitative treatment-by-gender

1 interaction, but the overall treatment effect is  
2 still positive when males and females are combined.

3           Graph C, on the right, shows the treatment  
4 did better than control for males but the control did  
5 better than the treatment for females. In this case,  
6 there may exist a qualitative treatment-by-gender  
7 interaction, and the overall treatment effect may be  
8 close to zero when males and females are combined.

9           Therefore, putting treatment-by-covariate  
10 interactions into the model allows the treatment  
11 effect to be different for different subgroups of  
12 subjects. It is usually the first step towards  
13 subgroup analyses. However, these type of subgroup  
14 analyses should be considered exploratory if they are  
15 not pre-specified, which I will talk more about  
16 later.

17           In general, including treatment-by-  
18 covariate interactions in statistical models makes  
19 the treatment -- makes the model more complex and the  
20 overall treatment effect difficult to characterize.

21           In order to evaluate the overall treatment  
22 effect, FDA develops two GEE models without any  
23 treatment-by-covariate interactions. Model 1 was  
24 derived from the Sponsor's GEE model in the PMA CC  
25 population after removing the five treatment-by-

1 covariate two-way interactions. Model 2 included the  
2 only two covariates specified in the original IDE,  
3 visit and baseline back pain.

4           Now, here are the results from these two  
5 models. The adjusted overall treatment effects was  
6 1.8 in Model 1 and 0.1 in Model 2. Both 95 percent  
7 confidence intervals included zero, indicating the  
8 treatment effects was not statistically significant  
9 in either model.

10           Even though the pre-specified primary  
11 analysis was GEE model-based, it always helps to look  
12 at the unadjusted analysis, especially for studies  
13 like this with balanced covariates between arms.

14           This table shows the simple averages of leg  
15 pain improvement from baseline for Oxiplex group and  
16 the control group. At one, three, or 6 months after  
17 surgery, both Oxiplex and control group had average  
18 leg pain improvement of around 50 points on a 0 to  
19 100 scale. The treatment effect, which is the  
20 difference between the average Oxiplex group  
21 improvement and the average control group  
22 improvement, range from -.1 at month one to 0.9 at  
23 month six. The treatment effects was not  
24 statistically significant at any of the three time  
25 points.



1           The Sponsor also conducted post-hoc  
2 subgroup analysis on the primary effectiveness  
3 endpoint. As I mentioned earlier, testing the  
4 treatment-by-covariate interaction is usually one of  
5 the first steps to subgroup analysis. Listed here  
6 are 48 different treatment-by-covariate interactions  
7 screened by the Sponsor. Please note, treatment-by-  
8 baseline back pain was one of them.

9           Screening 48 different treatment-by-  
10 covariate interactions was analogous to conducting  
11 subgroup analysis in 48 different ways. This  
12 practice dramatically increased the chance of finding  
13 at least one subgroup with a favorable treatment  
14 effect. Please note, even though the primary  
15 endpoint was leg pain improvement, the subgroup the  
16 Sponsor focused on was associated with baseline back  
17 pain.

18           On the next slide, the results on the  
19 primary endpoint was stratified by baseline back pain  
20 in a way similar to the Sponsor's exploratory  
21 subgroup analyses. It appears that for subjects with  
22 baseline back pain less than 63, the control subjects  
23 had better leg pain improvement, indicate a negative  
24 treatment effect. But for subjects with baseline  
25 back pain greater than or equal to 63, the Oxiplex

1 subjects appear to have better leg pain improvement,  
2 although none of the treatment effect was  
3 statistically significant at any of the three time  
4 points.

5           Please note, the estimated treatment effect  
6 and P-value for the last row, which is month six  
7 results for subjects with baseline back pain greater  
8 than or equal to 63 may be different from what the  
9 Sponsor presented earlier. Again, this is because  
10 this table here used the PMA CC population, which  
11 included 166 -- I'm sorry -- 167 Oxiplex subjects and  
12 167 controls at 6 months. The Sponsor might have  
13 used the 6-month in-window population, which included  
14 145 Oxiplex subjects and 141 controls.

15           Please note, later on, we will ask the  
16 Panel to comment on the Sponsor's subgroup analyses.

17           One treatment-by-covariate interaction the  
18 Sponsor screened but did not include in the final  
19 model was treatment-by-site interaction, which  
20 evaluates whether a treatment effect is consistent  
21 across sites. Since site variability is usually of  
22 great interest to FDA, we developed two GEE models  
23 similar to the ones we used in the primary endpoint  
24 analysis except that we added the treatment-by-site  
25 interaction term in the model. In both models, the

1 P-value for the treatment-by-site interaction was  
2 significant, indicating site variability existed in  
3 this study.

4           To illustrate what this means, this graph  
5 shows the treatment effect of the primary  
6 effectiveness endpoint at 6 months by site. Here,  
7 the treatment effect is defined as the difference in  
8 leg pain improvement between Oxiplex and the control  
9 group at 6 months after surgery. A positive  
10 treatment effect indicates advantage of the Oxiplex  
11 group.

12           The yellow bars represent standard  
13 deviations. The numbers along the horizontal axis  
14 represent the site number. Some small sites were  
15 grouped together in the same way the Sponsor did.  
16 Out of the 19 sites or grouped sites, 10 of them  
17 appear to show a negative treatment effect, shown in  
18 red, on the left side, and 9 of them appear to show a  
19 positive treatment effect, shown in black, on the  
20 right side.

21           In other words, in the 10 red sites or  
22 group sites, the control group appear to have better  
23 leg pain improvement at 6 months after surgery, but  
24 in the 9 black sites, Oxiplex group appear to do  
25 better. Although by itself, none of the sites or

1 group sites can claim statistical significance on the  
2 treatment effect being different from zero, there  
3 does appear to be a lot of variability between sites.

4 Later, FDA will ask the Panel to comment on  
5 the issue of site variability.

6 Now, let's move on to secondary endpoints.  
7 In December 2006, the Sponsor proposed hierarchical  
8 closed testing procedure to adjust for multiple  
9 comparisons for secondary endpoints was conditionally  
10 approved. This procedure involved sequential testing  
11 of the primary and secondary endpoints until one  
12 endpoint fails the test, which is illustrated on the  
13 next slide.

14 Please note, this is FDA's understanding of  
15 a hierarchical closed testing procedure, which the  
16 Sponsor may not agree. First, the primary endpoint  
17 is tested. If the primary endpoint is statistically  
18 significant, then the secondary endpoints are  
19 sequentially tested at the same significance level.  
20 If the primary endpoint or any of the secondary  
21 endpoints fails the test, the procedure stops and the  
22 rest of the secondary endpoints are not tested. In  
23 the pivotal study, the primary endpoint, leg pain  
24 reduction, failed to achieve statistical  
25 significance. According to this hierarchical closed

1 testing procedure, the process should stop and no  
2 secondary endpoints should be tested.

3           However, the Sponsor still conducted  
4 secondary endpoint analysis similar to their primary  
5 endpoint analysis, which went through the same  
6 unusual model selection process that screened the  
7 same 48 different treatment-by-covariate interactions  
8 and produced similarly complex GEE models with  
9 multiple two-way treatment-by-covariate interactions.  
10 It was very difficult to characterize the overall  
11 treatment effects of the secondary endpoints from  
12 these complex models.

13           In addition, the Sponsor conducted post-hoc  
14 exploratory subgroup analyses similar to those  
15 conducted for the primary endpoint and presented  
16 results stratified by baseline back pain again.

17           To reiterate, even though there was no need  
18 to analyze the secondary endpoints when the primary  
19 endpoint failed to achieve statistical significance,  
20 in order to give the Panel a more complete picture of  
21 the study, we're showing the results of secondary  
22 endpoints here.

23           This graph shows the point estimates and  
24 the corresponding 95 percent confidence intervals for  
25 the unadjusted treatment effects of the primary

1 endpoint and all secondary endpoints at 6 months.  
2 All confidence intervals include zero, indicating no  
3 primary or secondary endpoint was significant at 6  
4 months without implementing the hierarchical closed  
5 testing procedure. The 1 month and 3 months results  
6 were similar.

7           In summary, based on FDA's analyses on the  
8 PMA CC population, the overall treatment effect for  
9 the primary effectiveness endpoint was not  
10 statistically significant. The Sponsor's post-hoc  
11 subgroup analysis should be considered exploratory.  
12 In addition, site variability may exist in this  
13 study. Finally, ignoring the hierarchical closed  
14 testing procedure, none of the secondary endpoints  
15 were statistically significant at 6 months in the PMA  
16 CC population.

17           The results on the ITT population would  
18 reach the same conclusions, and they are available  
19 upon Panel's request.

20           This concludes my presentation. Next,  
21 Dr. Jiping Chen will talk about post-approval study.

22           DR. CHEN: Thanks, Jack. Good morning  
23 distinguished members of the Panel and members of the  
24 audience. My name is Jiping Chen, and I'm one of the  
25 epidemiologists in the Division of Post-market

1 Surveillance in the Office of Surveillance and  
2 Biometrics.

3 As the epidemiologist in the PMA review  
4 team, I'm responsible for working with the sponsor  
5 for the development of a post-approval study  
6 protocol.

7 The Sponsor has submitted a post-approval  
8 study outline. In the event that the device is  
9 approved, we will continue to work with the Sponsor  
10 to develop a protocol that both the Agency and  
11 Sponsor can agree on.

12 Here is outline of my presentation today.  
13 First, I will discuss the general principles that  
14 were utilized when thinking about the need for and  
15 designing post-approval studies. Then I will comment  
16 on the rationales for the post-market questions that  
17 the pre-market study was not designed to answer but  
18 may be addressed in the post-approval study. Then I  
19 will summarize the latest version of the Sponsor's  
20 PAS outline and our assessment of the PAS outline.  
21 Finally, I will describe the PAS issues that we would  
22 like the Panel to discuss on the design of the post-  
23 approval study if the PMA is approved.

24 Before we talk about post-approval studies,  
25 we need to clarify a few things. The discussion of a

1 post-approval study prior to a formal recommendation  
2 on approvability of this PMA should not be  
3 interpreted to mean FDA is suggesting the Panel find  
4 the device approvable. The plan to conduct a PAS  
5 does not decrease the threshold of evidence required  
6 to find the device approvable. The pre-market data  
7 submitted to the Agency and discussed today must  
8 stand on its own in demonstrating a reasonable  
9 assurance of safety and effectiveness in order for  
10 the device to be found approvable.

11           There are two general principles for post-  
12 approval studies. The main objective of conducting  
13 post-approval studies is to evaluate device  
14 performance and the potential device-related problems  
15 in a broader patient population over an extended  
16 period of time after pre-market establishment of  
17 reasonable evidence of device safety and  
18 effectiveness. Post-approval studies should not be  
19 used to evaluate unresolved issues from the pre-  
20 market phase that are important to the initial  
21 establishment of device safety and effectiveness.

22           The reasons for conducting post-approval  
23 studies are to gather post-market information,  
24 including long-term performance of the device, data  
25 on how the device performs in the real world, in a



1 broader patient population that is treated by  
2 community-based physicians, as opposed to highly  
3 selected patients treated by investigators in  
4 clinical trials; evaluation of the effectiveness of  
5 training programs for use of devices; evaluation of  
6 device performance in subgroups of patients, since  
7 clinical trials to have limited numbers of patients  
8 or no patients at all in certain vulnerable subgroups  
9 of the general patient population.

10 In addition, post-approval studies are  
11 needed to monitor adverse events, especially rare  
12 adverse events that were not observed in clinical  
13 trials. And, finally, we conduct post-approval  
14 studies to address issues and concerns that Panel  
15 members may raise based on their experiences and  
16 observations.

17 Here are two questions that the review team  
18 considered important in assessing the long-term  
19 safety and effectiveness of the device and that may  
20 be addressed in a post-approval study.

21 The first question is: What will the real-  
22 world performance of the device be in the more  
23 general population of patients and providers?

24 The second question is: What is the long-  
25 term safety and effectiveness of the device post-

1 market?

2           This table presents an overview of the  
3 Sponsor's latest PAS outline. To confirm the safety  
4 and reduction in disability days in subjects who  
5 receive Oxiplex during first-time lumbar disc  
6 surgery, the Sponsor proposed a prospective  
7 multicenter cohort study with a non-inferiority  
8 design and historical controls.

9           Study population consists of 210 Oxiplex  
10 PAS subjects and 145 historical control subjects, a  
11 total of 355 subjects. The Oxiplex PAS group are  
12 subjects who will be treated with Oxiplex in the PAS,  
13 while the Oxiplex-treated subjects in the pivotal  
14 study, who completed 6-month follow-up visits, will  
15 serve as the historical control group. The Sponsor  
16 proposed to follow the subjects for 6 months after  
17 surgery.

18           The proposed effectiveness endpoint is a  
19 mean reduction in disability days that occurs over  
20 the last 30 days of the 6-month period after surgery.  
21 As the Sponsor described earlier today, the  
22 disability days are defined as days when the subjects  
23 are completely disabled by their lower back  
24 conditions. The hypothesis of the study is that the  
25 mean reduction in disability days for the last 30

1 days of the 6-month period in subjects who will be  
2 receiving Oxiplex is no worse than the Oxiplex-  
3 treated subjects in the pivotal study by a margin of  
4 2.5 days.

5           The proposed safety endpoints include the  
6 following: Procedure and device-related AEs, number  
7 of re-operations, and musculoskeletal and lower  
8 extremity neurological functions and will be  
9 evaluated with descriptive statistics up to 6 months  
10 post-surgery.

11           The Sponsor will list relevant adverse  
12 events by type and overall, with rates and the  
13 corresponding 95 percent confidence intervals.

14           Should the device be approved, we will  
15 continue to work with the Sponsor to develop an  
16 appropriate post-approval study. We would like to  
17 bring to your attention a few issues regarding the  
18 Sponsor's post-approval study outline. Here is our  
19 initial assessment of the Sponsor's PAS outline.

20           First, our assessment of the study design.  
21 Are we convinced that historical controls are the  
22 most appropriate? What about concurrent standard-of-  
23 care controls?

24           The Sponsor proposed in the non-inferiority  
25 study design to compare the reduction in disability

1 days in subjects who will be treated with Oxiplex in  
2 the PAS versus Oxiplex-treated subjects, in the  
3 pivotal study. Under this design, patient  
4 comparability important baseline factors in the two  
5 studies is required to ensure that an interpretation  
6 of safety and effectiveness data is valid. The  
7 potential difference between the PAS population and  
8 the pivotal population needs to be accounted for in  
9 the analytical phase and in data interpretation.

10 In addition, it is not clear whether the  
11 non-inferiority margin of 2.5 is appropriate. A non-  
12 inferiority margin of 2.5 days means that the PAS  
13 Oxiplex subjects will retain at least 67 percent of  
14 the effect of the pivotal Oxiplex subjects. The  
15 Sponsor needs to provide a clinical justification for  
16 the selected margin to see whether the margin is  
17 small enough to be clinically insignificant.

18 Furthermore, the Sponsor stated that the  
19 margin of 2.5 days is 3.5 times lower than the  
20 standard deviation and appears to be a reasonable  
21 estimate. The Sponsor needs to explain why they used  
22 standard deviation for the calculation. It should be  
23 noted that the same standard deviation should not be  
24 applied to both groups.

25 Second, the Sponsor proposed effectiveness

1 endpoint as a reduction in the disability days in the  
2 last 30 days of the 6-month period post-surgery. FDA  
3 is uncertain whether this is an appropriate  
4 effectiveness endpoint for the following two reasons.

5           First, the Sponsor did not provide a  
6 justification for not using mean changes from  
7 baseline in leg pain as a primary endpoint, which was  
8 the primary effectiveness endpoint in the pivotal  
9 study.

10           Second, as the statistical reviewer  
11 mentioned earlier, it is unusual to test the  
12 statistical significance of the difference in the  
13 secondary endpoints if the difference in the primary  
14 endpoint is not significant.

15           Third, for safety endpoints, the Sponsor  
16 stated that subjects will be followed for procedure  
17 and device-related adverse events only. In the  
18 pivotal study, there were seven AEs that were either  
19 possibly or probably related to Oxiplex as opposed to  
20 zero in the control group at 6 months post-surgery.  
21 Because the study sample size of the pivotal study is  
22 small, there are some questions about the product  
23 safety when the product is put into actual conditions  
24 of use post-market.

25           To be most meaningful and interpretable,

1 all AEs should be documented and those assessed to be  
2 procedure or device-related clearly noted and summary  
3 frequencies provided. Assessing only procedure or  
4 device-related AEs will potentially underestimate the  
5 rate of adverse events, which will limit FDA's  
6 ability to adequately interpret the device long-term  
7 safety profile. FDA believes it would be more  
8 appropriate to include all adverse events, not just  
9 those assumed to be device or procedure-related in  
10 order to detect any potential unexpected association.

11 Fourth, the duration of follow-up. The  
12 Sponsor proposed to follow subjects for only 6 months  
13 post-surgery. FDA is uncertain whether the 6 months  
14 of the follow-up is long enough to observe adverse  
15 events that were associated with the use of Oxiplex  
16 based on the literature and the post-market adverse  
17 events reports from a device with similar  
18 composition.

19 As I mentioned earlier, there were seven  
20 AEs that were either possibly or probably related to  
21 Oxiplex as opposed to zero in the control group at 6  
22 months. And from a literature review, it is known  
23 that one of the seven AEs, intervertebral disc  
24 protrusion, may occur with scars within 12 months  
25 after the surgery. Second, an AE report analysis

1 conducted on another CMC-based adhesion barrier  
2 indicate that approximately 8 percent of adverse  
3 events occurred beyond 6 months post-surgery.

4 We are seeking your input into the optimal length of  
5 follow-up to better address device long-term safety.

6 Finally, the Sponsor stated that up to 210  
7 subjects will be enrolled at up to 20 clinical sites  
8 in consideration of a potential drop-off rate of 25  
9 percent, resulting in the total sample size of 355  
10 patients.

11 Based on the information provided in  
12 outline, the Sponsor is believed to have used the  
13 following assumptions in the current sample size  
14 calculation: Mean reduction in disability days of  
15 7.67 in pivotal Oxiplex subjects and similar in PAS  
16 Oxiplex subjects, a 2.5 days non-inferiority margin,  
17 and a one-sided test with alpha of .05 and 145  
18 pivotal Oxiplex subjects.

19 The Sponsor stated that 156 evaluable post-  
20 approval subjects will provide 80 percent power for  
21 the non-inferiority test. From our calculation, 154  
22 subjects are needed.

23 In addition, the 25 percent drop-off rate  
24 seems high in view of the relatively short 6-months  
25 follow-up that the Sponsor proposes. We will

1 continue working with the Sponsor to clarify issues,  
2 including developing a better plan to minimize loss  
3 to follow-up and in specifying any measures that will  
4 be taken if the number of subjects falls below 355  
5 during follow-up visits.

6           Based on the Sponsor's proposed PAS outline  
7 and our initial assessment, we will be asking the  
8 Panel during your afternoon deliberations to discuss  
9 whether the proposed PAS plan is appropriate to  
10 address device long-term safety and effectiveness and  
11 make recommendations if the Panel recommends device  
12 approval with the condition of a post-approval study.

13           First, the study objective and question.  
14 The Sponsor proposed to conduct a PAS to confirm  
15 device safety and reduction in disability days in  
16 subjects who received Oxiplex during first-time  
17 lumbar disc surgery. We would like to ask you to  
18 discuss whether this is an appropriate objective to  
19 be studied in a PAS. If you think the PAS question  
20 that the Sponsor intends to address is not  
21 appropriate PAS question, we will ask you to consider  
22 what PAS questions need to be studied in a post-  
23 approval study to address device long-term safety  
24 effectiveness.

25           Now, if we assume the PAS question proposed



1 by the Sponsor is a valid PAS question, then for the  
2 next couple of slides, we will look into the details  
3 of the study design and so on and ask for panel to  
4 discuss and make recommendations.

5           Second, the study design. The Sponsor  
6 proposed to conduct a PAS with non-inferiority design  
7 to compare the reduction in disability days in PAS  
8 Oxiplex-treated patients versus Oxiplex-treated  
9 patients in the pivotal study. We will ask you to  
10 discuss whether this is an appropriate design to  
11 address device long-term safety and effectiveness in  
12 the real world. In addition, the Sponsor proposed a  
13 non-inferiority margin of 2.5 days. We would like  
14 the Panel members to discuss if the margin is  
15 clinically relevant and what would be an acceptable  
16 difference in a post-approval study.

17           If the non-inferiority design is not  
18 appropriate, we will ask you to consider what study  
19 design will be appropriate to address device long-  
20 term safety and effectiveness post-market.

21           As to control selection, the Sponsor plans  
22 to use Oxiplex-treated subjects from the pivotal  
23 study as historical controls in the PAS. We will ask  
24 you for guidance regarding the appropriate control  
25 group for the PAS study.

1           Fourth, the proposed effectiveness endpoint  
2 is a reduction in disability days that occur over the  
3 last 30 days of the 6-month period after surgery. We  
4 will seek your input regarding what effectiveness  
5 endpoints should be addressed in the post-approval  
6 study.

7           Finally, the Sponsor proposed a 6-month  
8 follow-up. We would like to ask you whether 6 months  
9 is appropriate to address device long-term safety and  
10 effectiveness post-market. Again, if 6 months is not  
11 appropriate, we will ask you a question about the  
12 optimal duration of follow-up in the post-approval  
13 study.

14           Before I conclude, let me remind you that  
15 the discussion of a post-approval study prior to a  
16 formal recommendation on approvability of this PMA  
17 should not be interpreted to mean FDA is suggesting  
18 the Panel find the device approvable.

19           This concludes my presentation, as well as  
20 FDA presentation this morning. We welcome any  
21 question that you may have.

22           DR. MABREY: I'd like to thank all of the  
23 FDA speakers for their presentations.

24           At this point, before we begin with our  
25 presentations from the Panel members, does anyone on

1 the Panel have a specific question for the FDA while  
2 it's on your mind? Keep in mind that we can ask  
3 questions of the FDA later on this afternoon during  
4 our discussions.

5 DR. HORLOCKER: I would just like --

6 DR. MABREY: Dr. Horlocker?

7 DR. HORLOCKER: -- clarification on the  
8 presumed endpoint that the FDA chose. It was a 33  
9 percent reduction from baseline. And so I just want  
10 clarification that if you start with the mean  
11 reduction from baseline as about 50 points on that 0  
12 to 100-point scale, you were looking for a 33-percent  
13 further reduction to denote efficacy of this device,  
14 is that correct?

15 DR. LEE: When calculated the improvement  
16 from baseline between two groups, the difference  
17 should be 33 percent.

18 DR. HORLOCKER: So --

19 UNIDENTIFIED SPEAKER: That's a yes.

20 DR. LEE: For example --

21 DR. HORLOCKER: Yes, thank you.

22 DR. LEE: You start from 100 and it comes  
23 down to 70 for control, and that is a 30 percent  
24 decrease. And, for example, Oxiplex comes down from  
25 100 to 50, that is a 50. So the difference should be

1 33.

2 DR. HORLOCKER: Okay. Thank you.

3 DR. MABREY: Yes, Dr. Blumenstein?

4 DR. BLUMENSTEIN: Just a quick question.

5 The FDA did a model in which they had the treatment-  
6 by-site interaction, and I'm wondering, did that also  
7 include the site main effect?

8 MR. ZHOU: Yeah, the models we include are  
9 site main effect and treatment-by-site interaction,  
10 both models.

11 DR. MABREY: Okay. At this point, we will  
12 now begin the Panel discussion portion of the  
13 meeting. And although this portion is open to public  
14 observers, public attendees may not participate  
15 except at the specific request of the Panel.

16 This morning, Drs. Rao and Evans will help  
17 focus our deliberations by briefly commenting on the  
18 clinical and statistical aspects of this device.  
19 Following their comments, the Panel can ask questions  
20 of the Sponsor and FDA that may require preparation  
21 during the lunch break. The Panel will resume  
22 deliberations following lunch.

23 Dr. Rao will now give us his remarks.

24 Dr. Rao?

25 DR. RAO: Good morning. I've been asked to

1 provide some clinical perspective this morning to  
2 help kick off the deliberations of the Panel.

3           The underlying premise of the device is an  
4 unmet need with a 40 percent residual or recurrent  
5 pain following lumbar laminotomy and discectomy.  
6 This results in the need for additional treatment,  
7 medication, and cost.

8           My review of the literature suggests that  
9 this is generally in line with what's been quoted in  
10 the literature. A 10-year follow-up study of  
11 patients undergoing discectomy, 72 of 131 patients  
12 were followed for more than 10 years, and 12.7  
13 percent of these patients had frequent mild or  
14 occasional low back pain and 9.5 percent of these  
15 patients had occasional severe leg pain at the 10-  
16 year follow-up mark.

17           Revision surgery was necessarily in 12.5  
18 percent of these patients, and, in general, the need  
19 for revision occurred from recurrent disc herniation  
20 of the same level, recurrent disc herniation at a  
21 different level, and in 1 out of the 9 patients from  
22 leg pain caused by excessive scarring. It's  
23 interesting that in this series, all patients had  
24 epidural fat graft placement after the discectomy.

25           There's another U.S. study looking at 10-

1 year outcomes on 400 or 477 surviving patients, where  
2 they compared operative and non-operative care and,  
3 in general, found that 69 percent of the operative  
4 group improved compared to 61 percent of the non-  
5 operative group. The work and disability status was  
6 similar in both groups.

7           In patients following a laminectomy, as  
8 opposed to just a laminotomy, here is another long-  
9 term follow-up study, a retrospective study, and 37  
10 of 151 patients were available at the 10-year follow-  
11 up mark. Poor results were found in 22 percent of  
12 the patients, and the authors attributed the poor  
13 results to recurrent disc herniation or disc  
14 herniation and also facet joint pain.

15           There was a symposium carried out of the  
16 North American Spine Society meeting in 2003 on what  
17 causes poor results after back surgery, what are the  
18 causes of failed back surgery. The participants in  
19 the symposium felt that in 90 percent of patients,  
20 failed back surgery had a structural etiology and  
21 foraminal stenosis was the leading culprit in most of  
22 these cases. Discogenic pain resulting in back pain  
23 was in 20 to 22 percent of patients, neuropathic pain  
24 from a battered nerve root from excessive trauma  
25 during surgery, recurrent disc herniations,

1 instability, facet pain, or sacroiliac joint pain  
2 were the other causes of residual symptoms following  
3 back surgery.

4 Besides structural causes, inappropriate  
5 patient selection and psychological factors were also  
6 felt to contribute to a less than optimal outcome  
7 following back surgery.

8 The Sponsors state that the device is  
9 intended to coat and protect neural tissue and  
10 thereby significantly reduce nerve root-related  
11 postoperative pain and related symptoms during lumbar  
12 disc surgery. In the absence of a clear basis of  
13 efficacy in that pivotal study, I'm going to assume  
14 that they presume that this basis of efficacy is the  
15 reduction of peridural fibrosis, which they reported  
16 in their pilot study.

17 Peridural fibrosis can occur following  
18 laminotomy and discectomy at two sites, either at the  
19 laminotomy site, dorsal to the nerve root structures  
20 and dural sac, or ventrally, directly over the  
21 annulus. The exact cause of this peridural fibrosis  
22 is unknown. It's presumed that fibroblasts migrate  
23 from the surrounding chromatized tissue and fill in  
24 the area. There may be an individual predisposition  
25 to greater scar formation, and the extent of surgical

1 trauma may result in greater scar formation.

2           There have been many prior animal studies  
3 looking at prior -- at peridural fibrosis following  
4 fat graft placement, gelatin foam, hyaluronate,  
5 silastic sheets, polylactic acid foam, Dacron, and  
6 Adcon-L gel. And all of these studies have found  
7 reduction in postoperative scarring following all of  
8 these interposition membranes in animal studies.

9           In theory, this peridural fibrosis may  
10 result in leg pain, and the leg pain is presumed to  
11 be from tethering of the nerve root, or the dorsal  
12 root ganglion or from root ischemia. There is no  
13 physiologic association between the formation of this  
14 peridural scar and back pain or no clear physiologic  
15 association.

16           There have been some studies that have been  
17 done that have shown that in spite of peridural  
18 fibrosis, patients can have good results. There have  
19 also been some other studies done that have shown  
20 that there is no difference in the amount of scar  
21 formation in patients with or without symptoms.

22           There have been some clinical studies done  
23 with the use of these interposition barriers. McKay  
24 and others did a study on 156 patients following  
25 single-level lumbar laminectomy/discectomy, and they



1 found that the clinical outcome did not vary based on  
2 whether an interposition membrane was used, whether  
3 fat graft was used, or gelatin foam sponge was used.

4           Jacobsen and others did another study where  
5 they found that patients with free fat graft  
6 placement after surgery had better outcome than  
7 patients with gelatin sponge placement.

8           There have been a number of studies carried  
9 out with Adcon-L gel, most or all of which was  
10 supported by the manufacturer and had good results.  
11 There are some studies with poor results and some  
12 studies that report intraoperative hypotension  
13 following the use of Adcon gel. Product was  
14 subsequently withdrawn.

15           In the Sponsor's application, 352 patients  
16 were enrolled and 334 completed the questionnaire.  
17 Primary and secondary safety variables were looked at  
18 and there was no statistically significant difference  
19 between the control and study groups. Primary and  
20 secondary effectiveness variables were looked at, and  
21 using FDA data, there was no statistically  
22 significant difference between the control and study  
23 groups with a univariate analysis.

24           The Sponsor focused on a multivariate  
25 analysis with the rationale that pain associated with

1 the lumbar spine is a complex multifactorial  
2 phenomenon, and a univariate analysis was not felt to  
3 be adequate.

4           Using this multivariate analysis, they  
5 reported that in patients, or in the subgroup that  
6 had severe back pain, there was statistically  
7 significant improvement in leg pain. And, similarly,  
8 in the subgroup that had severe back pain, there was  
9 statistically significant improvement in the back  
10 pain, as well as patient satisfaction. It was  
11 unclear to my review, based on the submitted  
12 application, as well as this morning's presentation,  
13 whether the improvement in disability days was based  
14 on a univariate or multivariate analysis.

15           As far as the methodology, patients -- some  
16 of the inclusion criteria were patients undergoing  
17 laminotomy/discectomy for a single-level disc  
18 herniation at L4-5 or L5-S1 with radiculopathy.  
19 Patients were selected and drafted into the study  
20 following two weeks of non-operative treatment or  
21 earlier if the pain was impractical.

22           The exclusion criteria used were if  
23 patients had a myelogram or lumbar puncture, if they  
24 had foraminal stenosis, and there were a group of  
25 intraoperative inclusions, including what was

1 reported as dural entry and multiple others listed,  
2 epidural fat placement, or an intraoperative  
3 determination that a hemostatic agent must remain at  
4 the surgery site.

5 Randomization between control and the study  
6 group was carried out by the surgeon after hemostasis  
7 had been achieved and the surgeon was ready to close  
8 the site.

9 Some of the issues that occurred to me as I  
10 was reviewing the application was why only two weeks  
11 of non-operative treatment? Most patients will do  
12 well after -- most studies have carried out four to  
13 six weeks minimum of non-operative care. How did the  
14 Sponsors define foraminal stenosis? Were objective  
15 criteria used? And would the Sponsors recommend  
16 avoidance of this device in patients with foraminal  
17 stenosis.

18 I also noted that the control group had one  
19 CSF leakage, as reported, and one reported dural  
20 tear. And the control group had 3 of 115 patients  
21 where the hemostatic agent was left in place. This  
22 is a deviation from the listed intraoperative  
23 exclusions.

24 In addition, I noted a trend but not a  
25 statistical significance towards greater surgical

1 times, prolonged surgery, blood loss, and the blood  
2 loss range in the control group, as opposed to the  
3 Oxiplex group. And some of the questions as to  
4 whether the control group surgery was more  
5 complicated and potentially resulting in greater  
6 postoperative pain could have been obviated by an  
7 alternative randomization process, as opposed to the  
8 intraoperative randomization process. Thank you.

9 DR. MABREY: Does anyone on the Panel have  
10 a question for Dr. Rao?

11 (No response.)

12 DR. MABREY: At this point, we'll hear from  
13 Dr. Evans.

14 DR. EVANS: All right. I'm old, so I need  
15 to stay seated, if that's all right with everybody.  
16 And I don't want to turn into lecture mode.

17 Let me first thank the folks at FzioMed and  
18 the FDA reviewers for their diligence and hard work.  
19 I recognize the complexity of the issues, and I  
20 appreciate your efforts to try to understand the  
21 data.

22 I've been asked by Dr. Jean to very briefly  
23 summarize what I see as the key statistical issues,  
24 with the intent of identifying these issues, but not  
25 to comment on them specifically at this time. So I'd

1 like to make -- I'd like to talk about three topics  
2 very, very briefly.

3           The first is the composite nature of the  
4 endpoint, and there's been -- it's been alluded to  
5 during the course of the morning, but I'd like to  
6 make -- with my knowledge of composite endpoints, I'd  
7 like to make a couple of points about the composite  
8 nature of the endpoint.

9           I would like to make a couple of comments  
10 about the unadjusted analyses versus model-based  
11 analyses. And all of this leading into what I see as  
12 the largest statistical issue involved in this  
13 submission, and that is the interpretation of  
14 subgroup analyses.

15           So the first point I would like to make or  
16 issue I would like to bring up is the composite  
17 nature of the endpoint. And composite leg pain from  
18 the LSOQ was a combination of, as we saw in a  
19 presentation this morning, that it was a composite of  
20 asking the patient, "How much do you hurt now? What  
21 was your average pain during the day? What was your  
22 pain when it hurt the most, it hurt the least, the  
23 end of the day, when you're waking up," et cetera.  
24 And the advantage of a composite endpoint is that you  
25 can perhaps get a more complete characterization of

1 the effect and possibly more power and avoids a  
2 multiplicity issue if you can formulate such  
3 composites.

4           One disadvantage of a composite endpoint  
5 that we really haven't heard about this morning is  
6 that it can be difficult to interpret if the  
7 components of the composite vary in importance and if  
8 the treatment effects vary across those components as  
9 well. And so you can imagine a scenario where if you  
10 decide that the most severe pain that you have during  
11 the day is more important than the other pain levels  
12 and one treatment arm performs better with respect to  
13 the composite but performs worse with respect to the  
14 most severe pain, then we have difficulty in  
15 interpreting what that means. And so some discussion  
16 perhaps about the relative importance of these  
17 different types of pain may help in trying to  
18 identify or trying to interpret the results.

19           The other disadvantage of composite  
20 endpoints is that some argue that you can gain more  
21 power because you ask more questions and get more  
22 data. But you can also lose power. If there's  
23 effects in some of the components but not the others,  
24 you essentially dilute the effect. And it's  
25 something to be aware of when trying to interpret

1 these data.

2           So the primary endpoint involved in the key  
3 trial here was composite leg pain. And it's a  
4 very -- composite leg pain or any pain endpoint is a  
5 very, very complex endpoint, as pointed out by  
6 FzioMed. Pain is very subjective. It's highly  
7 variable and is subject to "a placebo effect," in the  
8 sense that there are now imaging studies that suggest  
9 even the expectation of pain relief can cause not  
10 only psychological changes but physical changes in  
11 the brain. And so it is a very, very complex  
12 endpoint, which leads into possibly thinking about  
13 whether we should do multi, sort of multivariable  
14 modeling approaches.

15           Now, despite complexities of endpoints,  
16 valid analyses of randomized clinical trials do not  
17 require covariate adjustment. The randomization is a  
18 very, very powerful tool, and from a statistical  
19 standpoint, randomization gives you valid treatment  
20 comparisons. And, thus, simple, unadjusted analyses  
21 are often considered primary.

22           However, model-based analyses can be  
23 informative, and certainly things like analyses of  
24 subgroups and subgroup questions are motivated by  
25 very important and very practical questions. Does

1 the treatment effect vary across patients that have  
2 different characteristics? And so this is an  
3 important question to consider.

4           So the issues behind subgroup analyses,  
5 there's three significant statistical concerns with  
6 subgroup analyses to be aware of. The first is that  
7 any time you chop your data up into subgroups, you  
8 can have smaller sample sizes within those subgroups.  
9 And smaller sample sizes means greater variation and  
10 less power to see certain things, and so with greater  
11 variation, more uncertainty.

12           The second of these key issues to be aware  
13 of is multiplicity. And every time you look at a new  
14 subgroup, there is a possibility of a false positive,  
15 and so there's an increased risk of a "false  
16 positive" or what we call Type 1 error in clinical  
17 trials. And so we have to be aware of the potential  
18 for a false positive result. Now, you can try to  
19 manage the multiplicity problem by pre-specification  
20 of what subgroups you're going to look at and what  
21 you're going to examine and with appropriate multiple  
22 testing procedures implemented in that.

23           And the third issue behind subgroup  
24 analyses is the analysis of subgroups is done through  
25 modeling. And modeling is subject to subjective



1 decision-making, modeling may generate data-driven  
2 hypotheses, and that modeling may need validation,  
3 assessment of its assumptions, instability, and  
4 sensitivity analyses.

5           And, thus, given those sort of three  
6 statistical concerns with subgroup analyses, they are  
7 conducted and interpreted with great caution. And,  
8 therefore, subgroup analyses in clinical trials has  
9 historically and generally been used to inform future  
10 research and viewed as either exploratory or  
11 hypothesis-generating or used to assess the  
12 consistency or the robustness of a result of a  
13 treatment effect across varying patients with varying  
14 characteristics and is generally used less so to make  
15 definitive conclusions within subgroups.

16           So in terms of the identification of  
17 subgroups -- and I think some of the issues that  
18 we'll need to discuss today is if you're looking to  
19 make confirmatory statements and confirmatory  
20 analyses, you base subgroup identification on data  
21 that are collected prior to randomization. If you're  
22 using data post-randomization, you can run into real  
23 issues. But, ideally, you try to pre-specify the  
24 subgroups very selectively and very specifically,  
25 hopefully, with some biological justification of why

1 those subgroups are selected.

2           And then subgroups that are sort of  
3 suggested by the data, or data-driven subgroups, are  
4 generally considered exploratory and require some  
5 sort of confirmation. And then when we go on to  
6 assess subgroup differences, as was done in these  
7 analyses, we assess subgroups using tests for  
8 interaction, which basically addresses the question  
9 of whether treatment effects vary across these  
10 different subgroups. So a common error is to  
11 actually compare P-values within subgroups, but  
12 that's generally not an appropriate thing to do.

13           So the key issues, as I see them, is the  
14 evaluation of the use of model development and  
15 subgroup analyses versus sort of the unadjusted, or  
16 analyses, and whether the control of false positive  
17 error rate has been compromised with the use of such  
18 modeling procedures. And so that's what I see as the  
19 key issues.

20           DR. MABREY: Thank you, Dr. Evans. Does  
21 anyone have any specific questions for Dr. Evans  
22 before I open up the discussion?

23           (No response.)

24           DR. MABREY: At this point, I would now  
25 open up the floor to the other Panel members for

1 questions to either the Sponsor or to the FDA. And  
2 this is also an excellent opportunity to ask the  
3 Sponsor and FDA more detailed questions that may  
4 require a more extended answer that they can respond  
5 to in the afternoon. It's known as the lunch rush.

6 I'll start with Dr. Blumenstein.

7 DR. BLUMENSTEIN: I believe it was the  
8 Sponsor, although it's very hard to keep track of all  
9 this stuff, mentioned an O'Brien analysis, and I  
10 would like further details on what that analysis  
11 consisted of.

12 MR. KRELLE: Thank you for your question.  
13 I think a larger explanation of the O'Brien would  
14 give the Panel a better understanding of that, so we  
15 prefer to do that with some support from presentation  
16 materials this afternoon.

17 DR. BLUMENSTEIN: That's what I thought.

18 DR. MABREY: Great. Yes, Dr. Sang?

19 DR. SANG: This is for the Sponsor. I  
20 wonder if you could comment -- I may have missed it  
21 in the inclusion and exclusion criteria, but I wonder  
22 if you could comment on the treatment that subjects  
23 obtained prior to surgery, during the two-week period  
24 or prior to that, if that's available, from  
25 pharmacological management, including tricyclic

1 antidepressants, anticonvulsants, SNRIs, epidural  
2 steroid injections, selective nerve root blocks, any  
3 of those, and, certainly, randomization is a powerful  
4 tool if the sample size is high enough. So I just  
5 wonder if that has been something that was looked at.

6 My second question -- go ahead.

7 MR. KRELLE: Yeah, I think you know what  
8 the answer is going to be. That seems like an  
9 extensive list, and we'll get that to you this  
10 afternoon.

11 DR. SANG: Thank you. My second question  
12 has to do with opiate requirements or any analgesic  
13 requirements post-op. Did you record the opiate  
14 requirements either immediately post-op or at the  
15 one-month, 3-month, 6-month windows?

16 MR. KRELLE: I think the answer to your  
17 simple question is, yes, we did. And if you would  
18 like to see some examples of those, we'll have those  
19 ready this afternoon as well.

20 DR. MABREY: Yes, Doctor?

21 DR. HORLOCKER: Terese Horlocker. This is  
22 a question for the Sponsor also. You had exclusion  
23 criteria as a dural rent or a previous lumbar  
24 puncture. What kind of safety data do you have, as  
25 far as if this device is injected intrathecally? Is

1 there any evidence of arachnoiditis? I didn't see  
2 any of those tests done in the pre-clinical studies.

3 DR. DiZEREGA: Thank you for your question.  
4 We'll be happy to share with you our experience with  
5 that after lunch.

6 DR. HORLOCKER: Thank you.

7 DR. MABREY: Dr. Hanley?

8 DR. HANLEY: You know, this is the most  
9 complex discussion of a simple clinical problem I've  
10 ever heard. Lumbar disc herniation is the easiest  
11 thing we deal with as spine surgeons. I've always  
12 thought it was pretty straightforward until today.

13 One of the first questions we ask patients  
14 in the office is what hurts more, your back or your  
15 leg, as we generally believe those who have a  
16 predominant back pain component will not do nearly as  
17 well with the surgery. Now, maybe I missed this, but  
18 I didn't see a percentage relationship between back  
19 pain and leg pain in these people with so-called  
20 severe back pain.

21 So my question is, do we have any  
22 information on this? Did these patients have back  
23 pain that exceeded their leg pain or did they just  
24 have severe back pain and severe leg pain, because I  
25 think that makes a difference.

1           The big issue is failure of the surgery  
2 from back pain, not usually leg pain. But maybe we  
3 could have some comments on those individual patients  
4 who were put into that category of 63 or greater  
5 who -- or proceed to have bad back pain.

6           MR. KRELLE: Yes, we can get that  
7 information. Just so that I get the question  
8 correctly, I think you're looking at perhaps the  
9 number of patients in the study who had back and leg  
10 pain?

11           DR. HANLEY: I presume everybody had leg  
12 pain?

13           MR. KRELLE: Yes.

14           DR. HANLEY: But did we have a group of  
15 patients who had more back pain than leg pain?

16           MR. KRELLE: Yeah, we do have that data,  
17 and that would benefit from a slide, too.

18           DR. MABREY: Dr. Goodman?

19           DR. GOODMAN: Thank you. I have a number  
20 of questions that you'll probably want to answer  
21 after lunch.

22           The first is I realize that the Sponsor has  
23 already stated that the two main components of the  
24 gel are well-known. Is there information that they  
25 can give us as to how long the combination is around

1 how it is metabolized, and why they chose this  
2 particular formulation out of many other polymers  
3 that could be available? I think that would be  
4 important information to know.

5           The second question pertains to some  
6 questions that have been asked by others. Some of  
7 the exclusions were people who got epidural steroids  
8 or epidural fat that was placed at surgery, and the  
9 question I think a few of the panel members have  
10 brought up is were these the more serious cases? So  
11 is there a systemic bias, because the patients who  
12 received epidural fat or local steroids or even  
13 epidural steroids, could those have been the more  
14 serious cases, and, therefore, were those excluded  
15 because they had more serious back pain and leg pain?

16           Another question pertains to the control  
17 group, and I think that this was asked by Dr. Sang.  
18 The control group, as I understand it, received no  
19 additional local treatment, and I'm wondering why the  
20 Sponsor chose this as a control. Why didn't they  
21 have a saline injection or another polymer injection  
22 that they thought would not have this effect rather  
23 than have no treatment at all?

24           The site variability issue, I think -- did  
25 you want to answer all these now?

1 DR. DiZEREGA: Actually, Dr. Goodman, we're  
2 really enjoying your questions, but I think I can  
3 take one out of the hopper for this afternoon.

4 DR. GOODMAN: Sure, okay.

5 DR. DiZEREGA: That's the issue of the  
6 control. We did speak extensively with the members  
7 of our scientific advisory board, all of whom  
8 performed spine surgery, as well as potential  
9 clinical trial sites, and we were told uniformly from  
10 an ethical point of view, the only acceptable control  
11 would be the standard of care, which, in fact, was  
12 addition of nothing. That was from an -- point of  
13 view, from an ethical point of view, the control that  
14 was chosen. And I thought -- I assume that that's  
15 the best way to go. That's what people do, and so we  
16 compared our product against the standard of care.

17 DR. GOODMAN: So, in other words, it was  
18 more of a historical control? Nothing else was done  
19 for the majority of patients, so it was a control  
20 based on the standard of care, historically?

21 DR. DiZEREGA: Actually, it wasn't  
22 historical. It was proactive. So these patients  
23 were randomized on a proactive basis.

24 DR. GOODMAN: Right.

25 DR. DiZEREGA: It wasn't historical



1 information.

2 DR. GOODMAN: I think we're saying the same  
3 thing but different words.

4 I think the issue about site variability  
5 must be addressed. I think at least for me and  
6 perhaps a number of the other Panel members, some of  
7 the graphs that we saw were very provocative with  
8 regards to site variability, and I'm hoping that the  
9 Sponsor will explain this in detail in the afternoon.

10 I suppose my final question pertains to a  
11 philosophical question. If the substance is placed  
12 around an exiting nerve, how does the Sponsor  
13 postulate that it decreases back pain? If you could  
14 answer that question, it would be very informative.

15 Thank you.

16 DR. MABREY: Dr. McCormick?

17 DR. McCORMICK: Thank you, Mr. Chairman. I  
18 have a couple of questions, and I'm happy to wait  
19 until this afternoon.

20 First, I want to echo Dr. Hanley's  
21 observations as a full-time practicing spinal  
22 surgeon. I'm a little puzzled by the patient profile  
23 here, in the sense that back pain was such a  
24 predominant complaint. On average, the back pain  
25 scores are only 8 points less than the leg pain

1 scores. I think one was 67 and the other was 59.  
2 And that either raises the issue regarding the  
3 sensitivity or the discriminating value of the  
4 measurement tool or the patient population itself,  
5 because I think patients who are operated on for a  
6 herniated lumbar disc almost exclusively and  
7 certainly predominantly have leg pain in excess of  
8 any degree of back pain. So I would like that  
9 addressed, if you could, in a little bit of detail  
10 this afternoon.

11           The other issue with respect to the scoring  
12 of the instrument, listed on Page 39, under the  
13 statistical analysis, the way the instrument is said  
14 to be scored, to my calculation, that gives a range  
15 of 20 to 120, but it's listed as 0 to 100. So if you  
16 could just tell me how you -- just how you did that,  
17 because it doesn't synch up in what was given out.

18           And the final issues are, you know, as we  
19 look at these statistical associations, we try to --  
20 they are certainly there in some of the analysis, and  
21 we have to look at, you know, is it statistically  
22 significant and is that statistical significance a  
23 deterministic one. And for that we look at the  
24 associations, and we try to determine biologic  
25 plausibility. Is there a reason why one would

1 causally relate to the other?

2           Recurrent disc herniation, for example,  
3 both the clinical presenters this morning inferred  
4 that this seemed to be a direct result, even though  
5 it didn't reach statistical significance, it  
6 certainly approached it. Six patients in the control  
7 group underwent re-operation; only one in the  
8 treatment group. And I'd like to know what the  
9 biologic mechanism for that increased rate of  
10 recurrent disc herniations is, if, in fact, that's  
11 what they -- if I interpreted what they were saying.  
12 You know, I'd like to understand that a little bit  
13 better.

14           And one of the comments made this morning,  
15 the surgeons were not blinded to the treatment, and  
16 so the surgeons explicitly, in this manual, as I see  
17 it, surgeons determined whether patients went back to  
18 the operating room for that recurrent disc. So non-  
19 blinded surgeons were making those decisions, and I  
20 think that's just a correction -- if I'm wrong,  
21 please correct me.

22           And then the final issue is in terms of  
23 statistics -- statistical significance and clinical  
24 relevance. And I think someone else brought it up  
25 this morning that for this study, for this

1 instrument, the LSOQ, the MCID was stated to be  
2 either 20 percent or a 33 percent reduction. And on  
3 none of the primary analyses nor any of the secondary  
4 analysis, either for the whole unadjusted or using  
5 the models, demonstrated what would have been by the  
6 FDA's and I assume the Sponsor's own acknowledgment  
7 of what would considered an MCID. And I think if  
8 there is some -- there was a lot of terms thrown  
9 around this morning about clinically important,  
10 clinically relevant, clinically significant, but none  
11 of them as they relate to the MCID, which is defined  
12 as the minimal difference that a patient perceives as  
13 beneficial. Thank you.

14 DR. MABREY: Thank you. Dr. Blumenstein?

15 DR. BLUMENSTEIN: Just a few more items  
16 probably for this afternoon. I'm interested in some  
17 analyses with respect to whether the missingness of  
18 data that led to the reduction from the ITT to the CC  
19 analysis sets -- I think I've got those terms  
20 right -- whether the patients, for example, that  
21 didn't get their 6-month follow-up on time or didn't  
22 have it at all, whether there is something  
23 informative about the missingness of the data.

24 I'm also interested in there was something  
25 mentioned about a correlation of 0.55 between the leg

1 pain and back pain. I think that's what was said.  
2 Another interesting correlation to me would be the  
3 correlation between baseline and follow-up for, say,  
4 leg pain.

5 I'm also interested in whether the -- how  
6 many cases remain if all cases with any one of the  
7 covariates being missing are deleted. In other  
8 words, what is the subset of patients who have  
9 complete data on all covariates? What's the size of  
10 that subset.

11 And, finally, I would like to know a little  
12 bit more about the randomization mechanism. Was it  
13 blocked within clinical site? What was the block  
14 size, and so forth?

15 DR. MABREY: Thank you. Yes, Dr. Sang?

16 DR. SANG: Just wanted to briefly elaborate  
17 on the question I asked earlier, which was about  
18 preoperative management or management of subjects  
19 prior to their enrolling. Actually, if you have the  
20 data -- you may not -- here for the different sites,  
21 particularly -- I cannot read this, but it looks as  
22 though the two sites on either end of the extreme on  
23 this site variability slide from the FDA, it looks as  
24 though 24 subjects enrolled at the site with a  
25 negative effect, treatment effect, and 33 enrolled at

1 the site with the largest treatment response.

2 I'd be interested to know whether or not --  
3 what management was chosen prior to these subjects  
4 enrolling, but I'd also be interested -- I don't want  
5 to say again that I have an interest in nerve root --  
6 whether or not these subjects had selective nerve  
7 root blocks. I don't actually -- I'm more interested  
8 in the diagnostic approach of using these blocks,  
9 actually, than the deposition of steroid at that  
10 level. So if you have that, that would be very  
11 useful. Thank you.

12 MR. ZHOU: I just have one quick  
13 clarification on the slide we showed there, the  
14 graph. The number there represents site numbers, not  
15 number of patients enrolled in the site.

16 DR. SANG: I'm sorry about that, then.

17 DR. MABREY: Thank you. Ms. George, do you  
18 have questions for FDA or the Sponsor?

19 MS. GEORGE: Definitely have one for the  
20 FDA. Excuse me. I'm -- allergies. There seems to  
21 be a lot of focus and question on the statistical  
22 analysis plan, which I thought, based on my  
23 understanding, that the endpoints were all agreed to  
24 and the plan was all agreed to. So a lot of the  
25 questions seem to be about validity of the plan. And

1 that seems to be a little bit unclear to me as to why  
2 the validity of the plan would be questioned and the  
3 focus would be so much on presenting the data in the  
4 univariate format when it seems that it was already  
5 discussed and agreed upon for the multivariate  
6 methodology and the multiple endpoints.

7 DR. MABREY: Does the FDA want to address  
8 that now or later?

9 MR. ZHOU: I think we'll take a quick --  
10 address it later, but in terms of the initial  
11 responses, the supplement proposing an alternative to  
12 the originally proposed statistical plan was approved  
13 by FDA. The issue of trying to understand what the  
14 data meant is why we looked at the information as  
15 originally proposed in the original IDE and,  
16 subsequently, as proposed in the supplement that was  
17 approved in '06.

18 DR. MABREY: Thank you. Ms. George?

19 MS. GEORGE: I think that's it for right  
20 now.

21 DR. MABREY: Ms. Whittington?

22 MS. WHITTINGTON: The only thing that I  
23 would like to ask again -- and I think one of my --  
24 the other Panel members, several of the questions I  
25 had they've already put on the table -- was the

1 number of failed treatments and the types of failed  
2 treatments these people had before they had surgery.  
3 There is not any discussion about that, and you hit  
4 on some of that as well, you know, what was done  
5 before you tried surgery. It should not have been  
6 certainly a first approach, and I'm sure that it  
7 wasn't, but that wasn't included, that I could tell.

8 MR. KRELLE: Thank you. We'll include that  
9 in our afternoon session, too. Thanks.

10 DR. MABREY: All right. And Dr. Rao?

11 DR. RAO: Just a quick -- some of my  
12 questions were in my presentation. Just a quick  
13 follow-up on what Dr. Blumenstein was talking about.  
14 Just to play devil's advocate, if the correlation  
15 between back pain and leg pain preoperatively was  
16 0.55, which I presume is poor correlation -- is that  
17 not poor correlation?

18 UNIDENTIFIED SPEAKER: No.

19 DR. RAO: Okay. Then, I'll retract. What  
20 would a good correlation number be? Should it be  
21 higher or lower? Could you answer that question?

22 UNIDENTIFIED SPEAKER: Higher.

23 DR. RAO: Higher? So 1 would be an ideal  
24 correlation?

25 DR. CHIACCHIERINI: Yes. This is



1 Dr. Chiacchierini again. That is correct. Zero  
2 would be no correlation.

3 DR. RAO: Correct.

4 DR. CHIACCHIERINI: One would be perfect  
5 correlation, and for clinical trials, a value of .55  
6 is pretty good. That's pretty good correlation.  
7 Poor correlation would probably be in the vicinity of  
8 less than .3.

9 DR. RAO: Generally, when I submit papers  
10 for publication, if I have correlation of .55, the  
11 reviewers seem to reject it, in terms of --  
12 variability --

13 (Laughter.)

14 DR. RAO: So I presumed .55 was relatively  
15 low. And if the correlation between back and leg  
16 pain, preoperatively, is low, just to play devil's  
17 advocate, why should relief of leg pain in a subgroup  
18 with severe back pain be clinically relevant? That  
19 would be just a hypothetical question.

20 The second question I have is -- Dr. Rhyne  
21 talked about this briefly. Is there any intrinsic  
22 known analgesic effect from the device itself? Does  
23 the device contribute to reduction in inflammatory  
24 markers, cytokines, or anything else, locally?

25 DR. DiZEREGA: Thank you for your question,

1 Dr. Rao. I think we can respond to the question  
2 regarding an intrinsic effect. All the effects that  
3 we are aware of with these biomaterials, polyethylene  
4 oxide and carboxymethylcellulose, our device, no  
5 pharmaceutical effects that we're aware of. And so I  
6 think the answer to your question is no. We would  
7 not expect in a de novo situation any analgesic  
8 effect, per se, from PEO or CMC.

9 DR. RAO: The third question is, in part,  
10 from my poor knowledge of statistics and is more a  
11 philosophical or a global, or like my chairman likes  
12 to say, a 30,000-foot view question, and it's  
13 addressed to the statisticians, both on the Sponsor's  
14 and the FDA side.

15 I think the theory behind this multivariate  
16 analysis is that back pain is complicated and that  
17 there are many factors that contribute to back and  
18 leg pain in patients with spine problems. And I  
19 think that assumption is fairly accurate for the most  
20 part. When we design a statistical study, if we're  
21 looking at relieve of leg pain as a primary  
22 effectiveness variable and if we want to impute a  
23 number of covariates that may effect this primary leg  
24 pain, we have to look, from my ignorant background,  
25 we have to look at the potential causes that may be

1 contributing to this leg pain.

2           So, for example, we may look at disc  
3 herniation. We may look at foraminal stenosis. We  
4 may look at other factors, instability, other issues  
5 like that. And that may give us some reason to  
6 understand what are the different factors  
7 contributing to the relief or lack of relief of the  
8 leg pain. But my interpretation of the Sponsor's  
9 application is that they have looked at a number of  
10 symptoms or findings in the patient group as opposed  
11 to underlying physiological pathologic conditions.

12           So a statistical design of that type, to my  
13 understanding, would be more geared or designed to  
14 produce a group of patients in which this device may  
15 work out, as opposed to understanding whether this  
16 device actually helps with a particular condition.  
17 So the statistical design, if you'll excuse my poor  
18 explanation of what I'm trying to get across, is  
19 designed to determine a small subgroup or a subgroup  
20 of patients in which this device may work better. So  
21 that's my understanding, and I'd just like you to  
22 give me your thoughts, maybe both from the FDA, as  
23 well as from the Sponsor's side, as to whether that  
24 thought process is, in general, accurate.

25           DR. MABREY: And, Dr. Evans, any final

1 questions? Any final questions before we go to  
2 lunch?

3 DR. EVANS: No, I guess I don't have any  
4 final questions.

5 DR. MABREY: Go ahead.

6 DR. SANG: I do, to elaborate on Dr. Rao's  
7 comments because -- comment -- which is, in fact,  
8 exactly why I asked about selective nerve root  
9 blocks, what efforts were taken to try to identify  
10 the different subgroups of enrolled subjects and  
11 whether or not -- I mean, you certainly may be able  
12 to infer a number of different additional questions,  
13 questions that have been raised over many years in  
14 the pre-clinical studies.

15 I mean, I really admire this company for  
16 choosing outcomes that are challenging in the world  
17 of analgesic clinical trials. In the world of  
18 analgesic trials, using pharmacological therapies,  
19 it's very hard in low back or radiculopathy to  
20 identify the appropriate endpoints. You have really  
21 looked to identify these endpoints. It's unfortunate  
22 that the primary endpoint, which was a composite  
23 endpoint, did not turn out to be positive. But I  
24 think it was really a valiant effort to try to at  
25 least take this look.

1           I think that the question of leg pain  
2 versus back pain is the critical question because in  
3 the presence of mechanical back pain, we have a host  
4 of potential problems, even with radiculopathy,  
5 identifiable radiculitis associated with a herniated  
6 nucleus pulposus. We know from animal studies over  
7 several years that -- and you know this -- that you  
8 can see apoptosis at the level of the DRG. There is,  
9 you know, there is an acute inflammatory response  
10 associated with cytokines and chemokines, and  
11 everything you've already described.

12           So I think that this was really a valiant  
13 effort. I just wonder if you could, when you look at  
14 your subgroup analyses, perhaps identify other  
15 subgroups based on potentially what I would interpret  
16 as mechanisms, but certainly based on simple history  
17 and physical maybe diagnostic procedures, whether or  
18 not the subgroup of truly radiculopathy subjects may  
19 have had a better response.

20           DR. MABREY: Dr. Evans?

21           DR. EVANS: I guess I will make a couple of  
22 comments based on other questions and have one  
23 additional question myself.

24           So there was talk about some correlation  
25 and how do you interpret .5 correlation, and I'm not

1 sure I understand the reason for examining this  
2 correlation exactly, but just to give you a very  
3 simplistic way of -- a correlation of .5 with some  
4 assumptions essentially says that knowledge about  
5 this endpoint would explain about 25 percent of the  
6 variation in another endpoint, and so that's sort of  
7 a quick way to think of how the two are related.

8           You also had a question about the  
9 importance of other covariates and other things that  
10 could potentially affect outcome, and the issue with  
11 randomization is that there's an expectation of  
12 balance with that, particularly in large trials. And  
13 that's why you get valid sort of inference in  
14 randomized studies.

15           But to get more at your point about -- your  
16 question about that, I would like to ask a question,  
17 as I do think the key issue here is the  
18 interpretation of these subgroup analyses and whether  
19 there's a control for -- whether there has been a  
20 threat to the control of a false positive error rate.

21           And so the question is this. The Sponsor,  
22 in their analysis, examined I believe they said 40  
23 covariates and their related interactions for  
24 potential inclusion into the model. And so it's the  
25 natural concern that there could be subgroups for

1 which treatment effects could vary.

2           Now, there's been allusion to some control  
3 of error rates by looking at the interaction first.  
4 And by looking at the interaction first, it may  
5 negate you from looking at subgroups. If there is no  
6 significant interaction, you don't look at subgroups  
7 and therefore sort of avoid potential false positive  
8 error rates by looking at subgroups, by examining  
9 interactions first.

10           However, I guess my question is, how do you  
11 control -- if you're going to look at 48 possible  
12 interactions, and you're looking at those  
13 interactions at a .15 level, I believe, how do you  
14 control a multiplicity issue of every interaction you  
15 examine has a possibility of becoming significant,  
16 even erroneously, even false positive significance?  
17 And so every interaction you examine has a  
18 possibility of a false significance. And by doing  
19 that, and if an interaction becomes significant, then  
20 you go looking at subgroups. And so my question is I  
21 don't understand how error rates are controlled if  
22 you're looking at lots and lots of interactions  
23 because every interaction you look at, there's a  
24 possibility you could find something that isn't  
25 there.

1           And so that's sort of independent of the  
2 issue of looking at the interaction first and then  
3 subgroups, but every interaction you look at, there  
4 is a possibility of a false inclusion. And if you're  
5 judging effects based on the finding of an  
6 interaction and you've looked at 48 interactions,  
7 then there's concern for false positive error rates,  
8 sort of losing control of those error rates.

9           And so that, I think, from a statistical  
10 standpoint, as I see it, is really the important  
11 issue to address. And so that's sort of my final  
12 question.

13           DR. MABREY: Dr. Blumenstein, one last  
14 comment before we break for lunch.

15           DR. BLUMENSTEIN: I'm going to pile on  
16 here. I, too, worship at the altar of randomization.  
17 And this is central to the issues that we're  
18 considering here because it's really -- it's control  
19 of the alpha or the false positive probability is the  
20 big issue.

21           And so just to provide another perspective  
22 on what was just said, let's suppose that we could  
23 generate thousands of data sets that would resemble  
24 the control group from this study. And we would also  
25 generate that same, just randomly generate that, for



1 the intervention group, for the investigational  
2 group. So what we have is a bunch of data for which  
3 there is no effect at all from the investigational  
4 intervention. And we subjected those thousands of  
5 data sets to the kind of analysis that was done here,  
6 the modeling, the statistical modeling, the screening  
7 of interactions, and so forth.

8           The issue is what percent of the time would  
9 you come out and find something significant even  
10 though the data has absolutely no treatment effect in  
11 it at all. And in my own intuition, my mathematics,  
12 and everything else points to the fact that this is  
13 going to be -- that you're going to find a great deal  
14 more significant findings from that kind of a thought  
15 exercise or even if you did it actually. It's going  
16 to be a lot greater than the 5 percent that is what's  
17 normally thought of as a controlled type-one error  
18 probability or a false positive probability.

19           Another thing that is a little bit  
20 confusing here is that the Sponsor uses the term  
21 multivariate to mean two different things. There is  
22 multivariate in the sense of multiple outcomes and  
23 the relationships between multiple outcomes. And,  
24 also, the term multivariate is used to describe what  
25 are really statistical modeling procedures, where

1 there is one outcome and multiple explanatory  
2 variables.

3           The O'Brien Test that was mentioned earlier  
4 is more of a true multivariate, if it's the one I'm  
5 thinking of, because it is modeling multiple outcomes  
6 simultaneously rather than trying to model the  
7 prediction of a single outcome by multiple predictor  
8 variables. So we need to be careful about what we're  
9 talking about when we talk about multivariate  
10 analysis.

11           DR. MABREY: Thank you. It's now 12:00.  
12 We'll break for lunch. We'll reconvene again in this  
13 room in 45 minutes, at 12:45 p.m.

14           Please take any personal belongings you may  
15 want with you at this time. The ballroom will be  
16 secured by the FDA staff during the lunch break. You  
17 will not be allowed back into the room until we  
18 reconvene.

19           Panel members, please remember that there  
20 should be no discussion of the PMA during lunch  
21 amongst yourselves or with any member of the  
22 audience. Thank you. And I'll see you at 12:45.

23           (Whereupon, at 12:00 p.m., a luncheon  
24 recess was taken.)

25

A F T E R N O O N   S E S S I O N

(12:57 p.m.)

1                   DR. MABREY: Thank you for showing up  
2 almost on time. And I know that applies to some of  
3 our Panel as well, but it's almost 1:00, and I would  
4 like to call the meeting back to order to resume the  
5 panel discussion. Is the Sponsor prepared to respond  
6 to Panel questions from this morning?  
7

8                   MR. KRELLE: Yes, we are indeed, and we're  
9 going -- we're going to put on statistics first.  
10 Then we're going to talk about some mode of action  
11 questions and general questions -- biological -- and  
12 then -- questions that were raised (mic turned off).  
13

14                   DR. MABREY: Yeah, if we could have a  
15 little help with the microphone there.

16                   DR. CHIACCHIERINI: Thank you,  
17 Mr. Chairman. I'm going to try to do the simpler  
18 questions first, and then move on to the more  
19 complex. The first question that I will answer is  
20 with Dr. McCormick's issue about the different  
21 populations that had back pain over 63. And I  
22 believe he mentioned 101 and 92 in one slide and 78  
23 and 78 in the other.

24                   The simple explanation for this is, this  
25 deals with two different populations.

1 DR. McCORMICK: I'm sorry?

2 DR. CHIACCHIERINI: It deals with two  
3 different populations. The 101 and 92 is the split  
4 that occurs in the intention-to-treat population.  
5 The 78 and 78 is the split that occurs for patients  
6 who have back pain, baseline back pain over 63 in the  
7 completed-cases-within-window population.

8 DR. McCORMICK: So that's a difference of  
9 50 patients --

10 DR. CHIACCHIERINI: Yes.

11 DR. McCORMICK: Almost 50 patients?

12 DR. CHIACCHIERINI: Yes.

13 DR. McCORMICK: Okay.

14 DR. CHIACCHIERINI: Remember, there were 48  
15 patients who had visits outside-of-window plus 5 more  
16 who had it beyond one year.

17 DR. McCORMICK: So in your histograms that  
18 show the *n* of 78, that show this difference in  
19 outcomes in the severe patients, their just limited  
20 to the smaller population, the 78 --

21 DR. CHIACCHIERINI: It is limited --

22 DR. McCORMICK: About 50 patients are  
23 excluded from that?

24 DR. CHIACCHIERINI: That is correct --

25 DR. McCORMICK: Fifty patients with severe

1 pain are excluded because they were outside the  
2 window, the --

3 DR. CHIACCHIERINI: They were well outside  
4 the window.

5 DR. McCORMICK: Okay.

6 DR. CHIACCHIERINI: Most patients were well  
7 outside the window. The one patient had a visit very  
8 close to 52 weeks.

9 DR. McCORMICK: Okay. Thank you.

10 DR. CHIACCHIERINI: Okay. The next  
11 question I'll respond to involves the O'Brien's Test.  
12 Dr. Blumenstein is absolutely correct. There was a  
13 mixture, an inadvertent mixture of terms and  
14 terminology. The multivariate analysis does, in  
15 fact, analyze several outcomes, and O'Brien's Test  
16 was the only true multivariate analysis that was  
17 done.

18 And what O'Brien's Test does -- it's based  
19 on a mid-1980s paper on biometrics by O'Brien -- is  
20 that every patient's improvement was ranked across  
21 the entire population of patients. The ranks for all  
22 of the seven endpoints were then summed, and then the  
23 sum of the ranks were tested by a T-test. Before the  
24 T-test was done, a test of the normality, the  
25 consistency with normality, and, remarkably, the sums

1 had an excellent consistency with normality and the  
2 T-test was consistent.

3           So the value that was provided for  
4 O'Brien's Test was across all seven variables. It  
5 was done on the within-window population across all  
6 treatment -- all baseline back pains. There was no  
7 subgrouping. And so that analysis is a global  
8 analysis based on the within-window population.

9           To carry on --

10           DR. BLUMENSTEIN: Remind me what the P-  
11 value was.

12           DR. CHIACCHIERINI: The P-value was .0496.  
13 I need to explain, however, that both leg weakness  
14 and satisfaction had a very limited scoring range.  
15 As you know, rank tests -- this is a rank test --  
16 rank tests are very sensitive to ties. Both of those  
17 variables had substantial ties, and when I remove one  
18 or the other of those variables, the P-value drops  
19 very nicely to .03 and down to .02.

20           To discuss Dr. Rao's question about the .55  
21 correlation, what does it mean, we did, in fact,  
22 compute the number of patients who had both leg pain  
23 greater than 63 at baseline and who had greater than  
24 63 back pain at baseline. And by some quirk of  
25 circumstance of fate, that turns out to be 55

1 percent. So I think the relationship of 55, while  
2 interesting, I think that's certainly just a  
3 circumstantial relationship. But 55 percent of the  
4 patients were in that upper box of greater than 63  
5 leg pain/greater than 63 back pain.

6           The sample size, Dr. Blumenstein, your  
7 question of sample size, the sample size  
8 justification was provided. It is well-documented  
9 and is that which was given in the FDA presentation.  
10 That is the exact reason that the sample size was the  
11 number that was provided and that, since it was  
12 unknown -- while it was strongly suspected by the  
13 Sponsor that there would be a multivariable analysis,  
14 it was not precisely known how that would occur. And  
15 so the ability to use that in any sample size  
16 computation at the beginning of the study was  
17 extremely limited.

18           To discuss your question about what was  
19 involved in the SAP, the SAP, as is the common  
20 occurrence in almost all of the clinical trials with  
21 which I have been involved, the statistical section  
22 of the protocol is usually very rudimentary and  
23 doesn't provide enough information to allow an  
24 adequate evaluation. And so prior to database lock,  
25 there is a drafting of a detailed statistical

1 analysis plan that tells precisely what will be done  
2 and when it will be done.

3 In that plan that was approved by FDA and  
4 which is the primary analysis method, as we found  
5 from Mr. Melkerson, the primary analysis was to be an  
6 ITT multivariable analysis of leg pain. The patient  
7 covariates were pre-specified. The list was much  
8 longer than the Sponsor had anticipated simply  
9 because of the insistence on it by FDA of including  
10 all clinically relevant covariates.

11 The method of imputation was pre-specified  
12 in the SAP. The method of model screening and  
13 selection was totally pre-specified in the SAP. And,  
14 in fact, we went on in great detail to repeat the  
15 language for each variable that we analyzed.

16 However, the investigation of the  
17 interaction, we said in the SAP that we would study  
18 it. We did not pre-specify the mechanism of that  
19 study.

20 Dr. Rao, the improvement in disability days  
21 was done globally, across the entire population, with  
22 the limitation that it was done within the within-  
23 window completed cases population. There was no  
24 subgrouping other than making sure that the subject  
25 had a within-window visit at 6 months.



1           Now, Dr. Evans, your question about the  
2 interpretation of the LSOQ. In conferring with the  
3 clinicians at lunch time, there are six questions for  
4 leg pain and six questions for back pain, and while  
5 for any individual patient one of those questions may  
6 be more important than the other five or possibly two  
7 or more, it is impossible to tell for any patient, as  
8 you well know with a pain instrument, that any one  
9 question will be globally important to all patients  
10 and so this is a validated construct and its  
11 validation is what we have to rely on for its  
12 validity.

13           Your comment on the fact that the  
14 unadjusted analysis should have merit because there  
15 should be balance among the baseline characteristics  
16 is valid only in part. While it is true that  
17 randomization does provide not a guarantee but a  
18 strong amount of balance between known and unknown  
19 baseline covariates, it cannot possibly balance on  
20 interactions with treatment because that is  
21 determined after the study is done and not at the  
22 time of randomization.

23           The subgroup analyses resulted from a valid  
24 statistical pre-specified interaction. It is the P-  
25 value for that interaction that is the thing that

1 leads us to do the so-called post-hoc testing to  
2 determine and to interpret the interaction. So while  
3 our discussion of P-values, and so forth, is  
4 important, the global interaction P-value is the  
5 thing one should not lose site of, and that was .0113  
6 for leg and .0007 for back.

7           And one of the analyses we did not present  
8 to you was that for patient satisfaction because --  
9 just to give you a little bit more information, we  
10 did, in fact, employ the hierarchical principle. We  
11 went to leg weakness and leg weakness did not show  
12 significance. It did show significance in an  
13 interaction. However, there was an inconsistency  
14 between the ITT analysis for leg weakness and the CC  
15 analysis for leg weakness. And so we dismissed the  
16 ITT result and stopped testing. However, we did do  
17 post-hoc testing of the endpoints, and patient  
18 satisfaction also exhibited a baseline by back pain  
19 interaction.

20           The number of patients that were missing at  
21 least covariate at the -- for the GEE analysis for  
22 the final model was only 10 patients. And that's  
23 pretty remarkable. That is, those 10 patients were  
24 missing at least one of the covariates and were not  
25 included in the final model. And that's 10 patients

1 out of 351.

2           Now, for the really difficult question.  
3 How do we account for multiplicity? I don't think  
4 anyone knows. And the reason no one knows is because  
5 you cannot do a simplistic computation as though all  
6 of these covariates and all of these interactions are  
7 independent of each other because they are not. I  
8 was careful to point out that the elements of the  
9 LSOQ are correlated, and they're not correlated with  
10 a low number of .1 or .2 so we could dismiss it.  
11 It's something that we have to consider.

12           On the other hand, computing that -- trying  
13 to compute the possibility of an alpha inflation with  
14 a complex procedure like this would be extremely  
15 difficult from that basis alone.

16           On the other hand, we have discussion from  
17 Dr. Pocock's article in *Statistics in Medicine* on the  
18 value of interactions in clinical trials. And in  
19 that discussion, Dr. Pocock says that interactions  
20 are actually underpowered. So, on the one hand, you  
21 may have some alpha inflation indeterminate, and on  
22 the other hand, you may have a power issue with  
23 regard to interaction. So the only thing I can tell  
24 you is we can provide no further elucidation on  
25 either of those issues.

1 I think that's the predominant number of  
2 statistical questions. Are there others?

3 DR. MABREY: Panel? Yes? Dr. Blumenstein?

4 DR. BLUMENSTEIN: Did you have some data on  
5 the correlation, say, between baseline leg pain and  
6 one of the follow-up times?

7 DR. CHIACCHIERINI: We did not have  
8 opportunity to compute that. I apologize. I can  
9 tell you that the correlation would not be as high as  
10 the correlation at the beginning of the study because  
11 so many of the patients dropped to a level.

12 If you looked at the responses over time,  
13 the improvements over time, the improvements over  
14 time at one month, there was nearly a 50-point drop  
15 in the improvement -- a 50-point drop in the pain  
16 score at leg and back. And that improved slightly  
17 over time, but it improved differentially between the  
18 treated and controls group, as you can see from my  
19 slide.

20 DR. BLUMENSTEIN: Actually, what I thought  
21 you might come back with was the estimate from the  
22 GEE modeling of the covariates matrix between the --  
23 I assume that you did compound symmetry or  
24 exchangeable covariate --

25 DR. CHIACCHIERINI: We have that, but it's

1 deep -- we didn't have sufficient time to investigate  
2 it.

3 DR. BLUMENSTEIN: Okay.

4 DR. MABREY: Thank you.

5 DR. RAO: Excuse me, I just have a quick  
6 question.

7 DR. MABREY: Oh, I'm sorry, Dr. Rao?

8 DR. RAO: For Dr. Chiacchierini, again.  
9 I'm sorry. Just a follow-up on a statistical  
10 question. I think your point on the rule of  
11 interactions is very valid, and, in some cases, the  
12 rule of interactions may be underestimated or lower  
13 than it should be. But I think my point earlier was  
14 the covariates we choose will affect our statistical  
15 interpretation of the study. So I'll try to put it  
16 somewhat simpler.

17 Suppose we're trying to look at the effects  
18 of lunch on my system. And if we're looking at a  
19 pasta dish or a salad dish, and if we look at factors  
20 like how hungry I was before lunch, how satiated I  
21 feel after lunch, how many times I belch after lunch,  
22 things like that; whereas if we look at factors like  
23 age, height, lactose intolerance, genetic  
24 abnormalities, things like that, the statistical  
25 results of any computation on this set of data will

1 vary based on what we throw into the statistical  
2 program.

3           So if we look at things like how well I  
4 feel satiated after lunch, how hungry I was before  
5 lunch, when did I eat my last meal, and if we get  
6 statistical results from that set of data, we're  
7 going to be able to attribute one set of conclusions  
8 from that set of data; whereas results from maybe  
9 genetic abnormalities, lactose intolerance, will give  
10 us some scientific results as to causation,  
11 physiologic basis of efficacy, and things like that.

12           So in a study like this, if we're looking  
13 presumptively at some barrier effect around the dural  
14 sac and the nerve root and relief of leg pain, which  
15 I presume you would agree with?

16           DR. CHIACCHIERINI: Yes.

17           DR. RAO: If we're looking at relief of leg  
18 pain, maybe instead of looking at baseline back pain,  
19 sexual function, GI intolerance, things like that, if  
20 we looked at the presence of concurrent foraminal  
21 stenosis, foraminal height, that might give us some  
22 more valid statistical conclusions that we could use  
23 or put our fingers, grasp a little tighter as to  
24 which particular we should use this in; whereas the  
25 data we've thrown into the statistics right now is

1 the presence of concurrent back pain and the other  
2 things like that.

3           So the results and the conclusions we draw  
4 from the statistical data now is in which type of  
5 symptomatology or clinical findings, preoperatively,  
6 this product is most efficacious in. It doesn't tell  
7 us why this product is efficacious. That was my  
8 point, and I was just wondering if you have a  
9 response as to how the statistics may apply to this  
10 device or to the physiologic basis of this --

11           DR. CHIACCHIERINI: Dr. Rao, you know a lot  
12 more statistics than you're letting on.

13           (Laughter.)

14           DR. CHIACCHIERINI: You're absolutely  
15 correct. The model one comes out with depends upon  
16 what is considered at the beginning and throughout  
17 the modeling process. We did not do the measurement  
18 of the spaces, and so on, at surgery. You know,  
19 maybe at another time, we would propose to do that,  
20 but that was not done in this trial. And so I  
21 couldn't use that information in the modeling  
22 process. Had we done so, we would have done so.

23           But what we do know is that the responses  
24 to pain whether it be back, whether it be leg, are  
25 correlated. And I don't know if it's a psychological

1 phenomenon. I don't know the rationale, but when  
2 people feel better, they will fill out the  
3 questionnaire and have less pain in both aspects. So  
4 while we could not address all of those issues, we  
5 did the analysis that provided information on the  
6 variables for which we had measurements. And that's  
7 all I can say.

8 DR. DiZEREGA: As you can tell, Dr. Mabrey,  
9 I've been trying to organize this into some logical  
10 sequence, and I'm approaching brownian motion, so I  
11 thought I would try to address a number of the  
12 Panel's questions in groups and then the questions  
13 that are left should be two types. One, the  
14 interesting pre-clinical questions that Dr. Sang  
15 asked and relating to pre-treatment, and another  
16 speaker will address those, whereas I'll try to  
17 address things relating to some of the bits and  
18 pieces that were asked.

19 And then something that I think we've all  
20 been thinking about this morning and, frankly, we've  
21 been thinking about for many months, and that's the  
22 mechanism of action, or, as Dr. Goodman said, give us  
23 a plausible biological reason about these two  
24 compartments, leg and back, and how they're  
25 interacting, as Dr. Hanley alluded to.



1           So, as Dr. Chiacchierini said, I'll leave  
2 the hardest one until the last, but I think we have  
3 some information that will be of some interest to  
4 you.

5           The first question, and I believe  
6 Dr. Horlocker asked it, was about intrathecal  
7 studies. If this material was used in a patient that  
8 had some kind of a durotomy, irrespective of the  
9 size, what would be the consequence to the  
10 individual? We did two studies to actually address  
11 that specifically.

12           In one study, we administered 1 milliliter  
13 of Oxiplex directly into the thecal compartment of  
14 primates, and we measured a number of things,  
15 including intrathecal pressure, general well-being,  
16 and the usual types of things. And we found  
17 absolutely no alteration whatsoever in any of the  
18 parameters.

19           And then, of course, you saw the dural nick  
20 study histology data, and I'd like to reemphasize  
21 that dural repair occurred normally. There was no  
22 evidence of an inflammatory response, obviously, on  
23 the one histological slide that you saw, but if you  
24 saw them all, it simply -- there was no inflammatory  
25 response, and the animals ambulated just fine. They

1 seemed perfectly well.

2 I think one of the more interesting  
3 observations that address your question -- and this  
4 isn't something that the Sponsor did. This was  
5 something that, in fact, has now been published. A  
6 European study by an anesthesiologist doing epidural  
7 anesthetics professionally took some Oxiplex, add an  
8 opiate to it, and administered it as a spinal  
9 anesthetic. And what was reported is that the  
10 duration of the anesthesia was, in fact, prolonged.  
11 That is, overall, less opiate was administered  
12 throughout the surgical procedure, and, in addition,  
13 the patients did very, very well, to the point where  
14 the physicians that actually did this went on to  
15 publish it.

16 So I think from the standpoint of view of  
17 intrathecal administration, that seems to be in  
18 pretty good shape from a safety consideration as to  
19 the other things -- from a safety consideration.

20 Now, what I'd like to address next, if I  
21 could just show this slide, is the issue of the  
22 different numbers in the different populations. And,  
23 as Dr. Lee said, and we certainly agree with him, we  
24 want the Panel to be entirely clear about which  
25 populations were referred to today and why we think

1 the most important population and, really, the  
2 population that we should be considering, is the 286  
3 patients, which is the per-protocol window.

4 And what I'm referring to specifically are  
5 the patients that had their 6-month LSOQ forms filled  
6 out within a 22 to 28-week window that was specified  
7 by the protocol. And those were the 286 patients  
8 that we spent our morning talking about and we  
9 discussed the efficacy broadly.

10 UNIDENTIFIED MALE SPEAKER: Standby one  
11 minute --

12 DR. DiZEREGA: Well, in that case, I'll  
13 have a drink of water.

14 And the concept that I'm going to get to is  
15 the time-sensitive nature of measuring pain outcomes  
16 and particularly postoperative pain outcomes. There  
17 is ample information in the literature which has made  
18 it very clear that the ability to reproduce --  
19 measure pain following surgery as a function of time  
20 can be quite challenging depending on the type of  
21 surgery.

22 In the case of decompression surgery, in  
23 2004 or early 2005, there was an international  
24 consortium that met to make some recommendations on  
25 when this kind of information should be collected.

1 When should postoperative pain information be  
2 collected following decompression surgery? When is  
3 it the most predictive of longer term outcomes, and  
4 when should it not be collected? And this was  
5 published in the *European Spine Journal* by Mannion  
6 and Elfring. And they were very clear that the  
7 ability of patients to recall current pain at the  
8 time they're asked is indeed very accurate, but that  
9 information changed as a function of time following  
10 decompression surgery. And they made a very strong  
11 statement in that consensus that 6 months was the  
12 appropriate time.

13           And so we used that information to define  
14 our protocol window. And that per-protocol window  
15 we've referred to as the 286 patients. And so if you  
16 look at the overall flow of the patients, overall,  
17 there were 352 that were randomized in the intent-to-  
18 treat population. You can see that distribution was  
19 balanced. These are the patients that came out  
20 because their 6-month LSOQs were collected beyond the  
21 protocol window of 22 to 28 weeks. And Mr. Zhou  
22 talked about those patients earlier, and Dr. Lee  
23 talked about some of the efficacy determinations if  
24 these patients are included in the population.

25           This is the population that we think is

1 appropriate for the reasons that I've said, the time-  
2 sensitive nature of pain collection after  
3 decompressive surgery. And as you can see, as you  
4 know, those patient populations are balanced. And so  
5 that's sort of the way patients overall are broken  
6 up.

7           With that in mind, I'd like to talk about  
8 the variability that was discussed earlier this  
9 morning. I thought there were some very good  
10 questions about that. And that's Slide CZ-8, CZ-8.

11           The point was made earlier this morning  
12 that there was site variability, and, indeed,  
13 Dr. Chiacchierini showed that with his site effect in  
14 his multivariate analysis. You saw that very  
15 clearly. And Mr. Zhou showed the same thing.

16           We're clearly of the opinion that that site  
17 effect, although a main treatment effect, is really  
18 not important when you're asking the question about  
19 the differences between Oxiplex and surgery alone  
20 because there is no site-by-treatment interaction.  
21 That P-value was 0.6.

22           All right. That's a statistical argument.  
23 What's it look like from the standpoint of view of  
24 the results of the individual sites if we plot them  
25 site by site as we've shown for you here? The

1 population is the within -- per-protocol population,  
2 the 22 to 26 weeks of the group that had the severe  
3 baseline back pain, the ones that we think clearly  
4 benefited from this product. The unadjusted change  
5 from baseline is shown for you on the vertical axis,  
6 and this happens to be leg pain. Oxiplex is shown  
7 for you in the blue, and control is shown for you in  
8 the orange.

9           And as you scan your eye across this -- and  
10 make no mistake about it. The ends are very  
11 different. If you did the different ends in the  
12 populations, obviously, they're quite different. But  
13 as you go across the slide and you ask yourself the  
14 question how much site variability was there, I think  
15 you would come away -- at least I would come away by  
16 saying that, essentially, in every instance, the  
17 blues are above the oranges. Obviously, there is  
18 some ties, as you see here. But, quite clearly,  
19 going across the sites, the sites show that where  
20 there was a difference Oxiplex patients fared better  
21 on individual sites than control in this particular  
22 population.

23           Now, there were some questions about  
24 effectiveness, and I'd like to show this slide,  
25 please. And I think there were a lot of questions

1 about effectiveness. I think it's clear that the  
2 Sponsor's claims for effectiveness, in terms of the  
3 LSOQ, are focused principally on the subgroup. Our  
4 observations about clinical safety are, of course,  
5 across the ITT population. And as we've shown this  
6 morning, there are, in fact, some very important  
7 differences in clinical outcomes in the entire  
8 population, one of which, based on Dr. McCormick's  
9 question, I'll get back to very specifically.

10 But I would like to remind the Panel and  
11 then go on to talk about what Dr. Goodman asked me  
12 about the plausible reason why this would be the  
13 case, and at least we'll give you what our thinking  
14 is right now.

15 On the left-hand side are the results of  
16 the leg pain improvement in the subgroup that had  
17 severe baseline back pain. This is the ITT and this  
18 is the CC. And, of course, this is the back pain  
19 improvement. I don't think you saw this slide, but,  
20 obviously, the curves are very, very similar. The  
21 numbers are different because of the different  
22 populations. But at least to my eye, looking across  
23 this at 6 months, it's very clear that there was a  
24 difference -- obviously, statistics speak for  
25 themselves, but, quite clearly, there was a

1 difference in these populations.

2           Now, if I could just show this slide,  
3 please. Dr. Horlocker asked I thought a very  
4 specific question. I'd like to address it in a very  
5 specific way. And it related to the whole issue of  
6 success of the study. Dr. Lee brought that up  
7 earlier in the criteria that were available. And let  
8 me first make the point that the -- and Dr. McCormick  
9 talked about the minimum effective difference.

10           The success criteria that both Sponsor and  
11 FDA talked about were Sponsor's success criteria.  
12 The 20 points and the 33 percent difference, those  
13 were recommendations or advisories by the Food and  
14 Drug Administration. So those are what we're  
15 actually addressing. They're not the Sponsor's  
16 success criteria.

17           I think, having said that, if we focus for  
18 a moment on the controls, and we're now talking about  
19 how much pain was left after surgery, we refer to  
20 this as the residual pain. So this is the patient's  
21 had their surgery. Whatever very large reduction in  
22 pain occurred as a result of the surgery, which  
23 approached 70 percent in the study in general, that's  
24 very difficult to show a difference when the  
25 magnitude of the control is so large. When the



1 control changes 70 percent, it's very difficult to  
2 show a difference.

3           So we think the more clinically important  
4 contribution of this product is reducing the amount  
5 of pain that's left, the residual pain. This is the  
6 kind of pain the patient would go home with following  
7 surgery. And with the use of Oxiplex, that residual  
8 pain is reduced by the percentages that you see here.  
9 And these are, of course, the P-values.

10           So we thought it was very important to  
11 bring to Panel's attention that, number one, this is,  
12 in fact, the residual pain. This is not starting  
13 from baseline, so we're clear about that. And,  
14 number two, that this 35 percent is above the 33  
15 percent, and this we think is the most robust  
16 difference that we saw relating to leg pain  
17 reduction. And, as you've heard, we think it's quite  
18 clinically significant, and I might say it's  
19 somewhat -- I think it's quite impressive. As  
20 Dr. Rao alluded to earlier, with all the other things  
21 that have been tried in this area, this is far and  
22 away the most impressive result that's been  
23 published. I think it's remarkable.

24           But, to be sure, this is the amount of pain  
25 that the patients would otherwise go home with. This

1 is not the preoperative baseline pain. This is the  
2 residual pain.

3           The other point to make with this slide is  
4 the one about the 20-point reduction. If you look at  
5 the baseline -- excuse me -- if you look at the  
6 control -- and I have actually forgotten what that  
7 number is, but it's almost 20 -- 21? Thank you,  
8 John.

9           It's fundamentally impossible in this kind  
10 of a clinical trial to eliminate pain. Had we  
11 eliminated pain, then there would have been a 20-  
12 point reduction from -- compared to the control. I  
13 don't think that's a tenable hypothesis. So this is  
14 the information that we think does make clinical  
15 sense and, obviously, showing the benefit to the  
16 patients.

17           Now, if I could just have the slides off  
18 for a second, I'd like to address the issue of  
19 mechanism of action, and I thought although you all  
20 asked us this question, I thought Dr. Goodman put it  
21 in a very nice context: some sort of a plausible  
22 biological hypothesis as to why we see the results  
23 that we see.

24           And let me first start off by saying we  
25 don't know. So let's just put that on the table. We

1 do not have evidence from this clinical trial that  
2 would directly address in any kind of scientific way  
3 the mechanism of action that we would like to talk  
4 with you about and share with you at least our  
5 hypotheses and the information, much of which you've  
6 spoken about today. But I would like to bring to  
7 Panel's attention an additional animal study that was  
8 not performed by ourselves, but it was performed at  
9 University of California in Santa Barbara.

10           And so, Jan, if you could project the slide  
11 that starts out with polysaccharides, that would be  
12 helpful. Well, here is our current view. As you  
13 know and as Dr. Sang and a couple of you have  
14 mentioned, in a situation where there is a  
15 compression on the nerve root, there is an  
16 inflammatory situation. There is also an enhanced  
17 sensitivity to pain because of that inflammatory  
18 situation. Quite clearly, by reducing the  
19 compression on the nerve root, by removing the  
20 herniated material or whatever is compressing on the  
21 nerve root, there will be reduction in leg pain. As  
22 Dr. Hanley said, that's a very straightforward  
23 concept.

24           But what about locally in the back? Well,  
25 the back is a very complex concept because the

1 etiologies of back pain we could spend the rest of  
2 the afternoon talking about. But I would like to say  
3 that there is a homology in the interaction of  
4 cytokines in producing pain during the preoperative  
5 condition as a result of the compression on the nerve  
6 root that innervates the sciatic nerve. And so by  
7 removing that compression, you would expect a  
8 reduction in leg pain, and, of course, we saw a  
9 reduction in leg pain.

10           Now, what about the back pain? Why would  
11 that be reduced in a situation where patients have a  
12 lot of back pain? Why would they have a lot of back  
13 pain? We're hypothesizing that the severity of the  
14 inflammatory response that is occurring as a result  
15 of the compression on the nerve root is also  
16 affecting the sensory components of the back. And  
17 following surgery, in fact, there is an outpouring,  
18 we hypothesize, of cytokines that would further  
19 produce pain in the back that we believe our product  
20 is interacting with.

21           Now, I'll speak more about our product in  
22 just a moment, but I would like to share with you  
23 this recent publication that came from San Diego, the  
24 group at San Diego Healthcare, as well as UCSD.  
25 Garfin (ph.) was involved with this. I probably

1 remember this panel. Cole Kim (ph.) was involved  
2 with this study.

3           And, basically, what they found is if they  
4 took rodents and they performed laminectomies that  
5 these animals often had pain and they had heightened  
6 sensitivity to pain as a result of those surgical  
7 procedures. And so the question was can they affect  
8 that, can they block that? So what they did was they  
9 performed a laminectomy at L5 and 6 and then they  
10 treated that laminectomy with hyaluronic acid. Now,  
11 this is not Oxiplex, but it is a polysaccharide,  
12 which does coat the tissues and remains in place for  
13 a period of time postoperatively.

14           And then they followed the animals  
15 postoperatively by measuring inflammatory mediators,  
16 IL 1, IL 6. They did that histochemically. And then  
17 they evaluated monocytes in the epidural space using,  
18 once again, an antibody for monocytes. And they  
19 found very clearly that HA reduced in the epidural  
20 space and around the nerve root. So now I'm bringing  
21 into consideration not just the nerve root but the  
22 epidural space. Utilization of a polysaccharide  
23 placed in that area reduced acutely the inflammatory  
24 mediators, reduced white-celled infiltration, and  
25 then, of course, both inflammatory mediators and

1 white-celled infiltrates were essentially normalized.  
2 In the case of the inflammatory mediators, not  
3 detectable seven days postoperatively.

4           So their conclusion was that pain reduction  
5 by polysaccharides, treatment after laminectomy and  
6 disc injury in a rat model resulted from reduction of  
7 the cytokines and inflammatory white-celled  
8 infiltrates that would otherwise occur around the  
9 nerve root and the epidural space.

10           Now, as I said, we have no human data that  
11 this occurs in patients. It's obviously a very  
12 difficult thing to test in humans, but we believe  
13 this is a plausible hypothesis.

14           So to go back to the original question, we  
15 believe there is interaction in these patients with  
16 severe back pain, that the progression on the nerve  
17 root is producing an inflammatory environment that is  
18 affecting the perception of pain not only in the  
19 sciatic nerve, but also in the lower back. And when  
20 that compression is removed in the control  
21 population, there is the usual reduction of sciatic  
22 pain in a large number. But some of them continue to  
23 have leg pain perhaps because of fibrosis, but also  
24 because of the memory that occurs in the sciatic  
25 nerve as a result of having been compressed for a

1 long time.

2           And in the lower back, the utilization of a  
3 mechanical barrier which coats and adheres to the  
4 tissues and separates them would provide at least  
5 some measure of protection against inflammatory  
6 mediators that occur as a result of surgery as well  
7 as outpouring from the annulotomy site itself.

8           So that's what we think is the situation.  
9 That's our plausible hypothesis that would begin to  
10 put these pieces together. And the information is in  
11 bits and pieces. I think this is the most direct  
12 information to address it.

13           Dr. McCormick asked a very interesting  
14 question relating to the whole question of the re-  
15 ops. And it's interesting, Dr. McCormick, when we  
16 began this study five years ago, we were not  
17 anticipating a reduction in re-operation rates. We  
18 were anticipating the usual re-operation rates that  
19 Dr. Rao talked about a little bit earlier today.  
20 But, in fact, that was not what we found.

21           We found, as we said earlier today, there  
22 was one patient that received Oxiplex that had a re-  
23 operation. This is within 3 months postoperatively,  
24 and there were six in the controls. And we began to  
25 look very carefully at that.

1           First, let's talk about the distribution of  
2 those surgical procedures. They occurred at six  
3 different clinical trial sites. It wasn't one site.  
4 Of the seven re-ops, they occurred at six different  
5 sites. So, therefore, one site had two, and that was  
6 not the Oxiplex patient. The Oxiplex patient was  
7 re-operated on as a single re-operation at a site.  
8 So that phenomenon was spread broadly across the  
9 population. And then you asked a very challenging  
10 question. Well, why might this be? What on earth  
11 could you think of, something that might reduce  
12 fibrosis, that might reduce the inflammatory process,  
13 why would it reduce re-operation rates?

14           So we began to look at this question, and  
15 we performed a bone healing study to see if there was  
16 any affect of Oxiplex on bone healing. Now, the bone  
17 that we used was the rat tibia. So this is not a  
18 human and this is not a vertebral body. This is the  
19 rat tibia. We used this model because it's an  
20 industry standard model that's used to evaluate  
21 active bone healing agents.

22           And we measured osteoid activity within the  
23 tibia, fairly standard assay, and you can see that at  
24 a 14-day time period, there was very clearly a  
25 difference. The Oxiplex-treated animals had over



1 twice the amount of osteoid activity -- that means  
2 active bone healing -- than did the controls.

3           So I think there's at least reason to  
4 speculate that Oxiplex may facilitate the repair  
5 process that occurs after these surgical procedures,  
6 and, if so, as I said to Dr. Goodman earlier today,  
7 it's not a pharmaceutical action. We're speculating  
8 that it provides a scaffold to support extracellular  
9 matrix and cellular ingrowth. And studies are  
10 ongoing to address that.

11           So, if this is the case in the area in the  
12 epidural space, you, in fact, would predict that  
13 there would be a relative reduction in re-operation  
14 rates if, in fact, there is an active healing  
15 component or a facilitation of healing that goes on  
16 following these types of surgical procedures.

17           I'd like to talk a bit about the choice of  
18 materials that Dr. Goodman asked. And his question  
19 was why did you choose polyethylene oxide and why did  
20 you choose carboxymethylcellulose. And, as you can  
21 imagine, when we embarked on this process now over 12  
22 years ago, it was a process of trying to find  
23 something that made sense. And as Dr. Sang said,  
24 this was a very challenging clinical project because  
25 of the success rates and the difficulties in

1 measuring pain.

2           And now comes the difficulty of choosing  
3 the biological -- a biomaterial that you can place in  
4 a very actively healing site that has bleeding and is  
5 a dynamic site and you're right on top of a nerve  
6 root. And we screened a lot of materials. And we  
7 ended up with carboxymethylcellulose and polyethylene  
8 oxide for the following general reasons.

9           Carboxymethylcellulose, as we said earlier,  
10 does not produce an inflammatory response, but it  
11 does provide muco-adherence. One thing about this  
12 material, when you place it on the nerve root, you  
13 place it under the nerve, as Dr. Rhyne showed, in the  
14 epidural space. There is excellent adherence to the  
15 surgical site. It does not move, which we think is  
16 something that's very important for a lot of reasons,  
17 including safety.

18           However, the addition of polyethylene oxide  
19 we found also to be very important because  
20 polyethylene oxide prevents the protein deposition in  
21 the surgical area. And we're now talking broadly  
22 about proteins. The types of proteins that Dr. Rao  
23 referred to, all of those, if you placed them in the  
24 presence of polyethylene oxide, there are  
25 interactions. And so as we look into these areas

1 histologically after adding PEO and CMC, we simply  
2 don't see the proteins, we don't see the cellular  
3 infiltrates. That's why we went forward, of course,  
4 first, with our safety studies, and that's why we  
5 chose those two materials.

6           We added calcium chloride because it  
7 facilitated the increase in viscosity. And we chose  
8 a viscosity that made sense from a surgical  
9 application point of view so it's easy to use. It's  
10 not too runny. It's not too difficult through the  
11 catheter. So those are the -- that's why we chose  
12 those materials, and they've worked very, very well.  
13 As you know -- excuse me -- as we said, there are  
14 over 100,000 patients who have been treated with this  
15 material worldwide. We've gotten excellent reports.  
16 There have been publications, as we said earlier,  
17 that occurred completely outside of the company, and  
18 a lot of excitement in a number of areas.

19           The last thing I think I have on my list,  
20 although I -- oh, no -- the two things -- excuse  
21 me -- the next to last. Dr. Hanley asked how  
22 many -- what percent of the patients had more back  
23 pain than leg pain. And this is an interesting  
24 question, and we've tried to work with these numbers  
25 to facilitate a physiological understanding, and that

1 work is still going on. But the number is 87  
2 patients had greater back pain than leg pain out of a  
3 total population of 351. Keep in mind that a lot of  
4 patients had a lot of pain in both groups, in both  
5 measurements. But in terms of the absolute  
6 difference, whether it was a difference in the case  
7 of back pain, it would be 87.

8           In looking at the response to those  
9 patients, it's, as I think Dr. McCormick was alluding  
10 to, in terms of response occurs, the higher the pain,  
11 the more reduction. And so, in terms of the Oxiplex  
12 or the surgical patients, if they had -- if they were  
13 in the group that had higher back pain levels, the  
14 back pain reduced more than the leg pain. But I  
15 think that may well be a phenomenology. The  
16 measurement -- I don't know that we can go beyond  
17 that. At least I can go beyond that in terms of  
18 talking about a physiological response. But those  
19 are the numbers.

20           And then Dr. McCormick asked about -- and I  
21 believe you said you heard us in the morning talking  
22 about 0 to 100-point score and you read something  
23 about 20 to 120-points, something like that. We  
24 tried to find that over our extensive lunch break.

25           DR. McCORMICK: Page 39.