

1 1980s.

2 So we have no information and we didn't --
3 we can't go back and find the patient today.

4 CHAIRMAN BONE: Was that a response to
5 your question?

6 DR. SHERWIN: Yes.

7 CHAIRMAN BONE: If there are no further
8 questions or comments from the Committee, I think we
9 could resume with the presentation by the sponsor.

10 DR. SANDAGE: Right. I'd like to
11 introduce Dr. Gerry Faich.

12 DR. FAICH: Good morning, ladies and
13 gentlemen. You have heard earlier this morning from
14 Drs. Van Itallie, Manson, and Bray, highlighting the
15 high and increasing prevalence of obesity and its
16 mortality and morbidity consequences.

17 It is in this context that I would like to
18 review the risks and benefits of Dexfenflamine,
19 talking particularly about the international primary
20 pulmonary hypertension study, then talking about the
21 overall risks and benefits in a model.

22 And I will add a few comments about post-
23 marketing or post-approval studies.

24 In talking about the international primary
25 pulmonary hypertension study, you will be hearing from

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Drs. Abenhaim and Dr. Rich. Dr. Abenhaim is the
2 principal investigator of this international case
3 control study. Dr. Rich was on the expert panel
4 reviewing cases.

5 So consequently, I will not go into detail
6 about either the study methodology or all its results.
7 Instead, I'd like to just touch on some highpoints of
8 it and then move to our interpretation of it.

9 Firstly, where did the international
10 primary pulmonary hypertension study come from? In
11 the mid-1960's, there was an epidemic of Aminorex-
12 induced primary pulmonary hypertension, Aminorex being
13 a sympathomimetic anorexigen.

14 That epidemic involved 400 to 1,000 cases
15 in Switzerland, Austria and Germany. It had a rapid
16 onset within six months of the marketing of the drug.
17 And the rate observed, and I would ask you to keep
18 these in mind, was estimated to be or can be estimated
19 to be 2,000 per million exposed with an odds ratio of
20 greater than 1,000.

21 So no question, this was a drug-induced
22 epidemic of primary pulmonary hypertension.

23 Then in the early 1990's, particularly in
24 a report published in The British Medical Journal,
25 there was a cluster of ten to 15 cases of anti-obesity

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 associated drug cases that were reported. And it was
2 a combination of these two things that led to a
3 concern about whether Dexfenflamine and other
4 anorexigens could be -- could we be on the cusp of a
5 new epidemic?

6 As a consequence of that, the IPPHS was
7 designed and carried out. This is, as you know, a
8 case controlled study. I would suggest that it was a
9 state of the art study.

10 It was done very carefully. Tremendous
11 efforts were made to minimize bias and its conduct and
12 to analyze for both bias and confounding.

13 The investigators are excellent. It was
14 truly an extraordinary effort. Five countries, two
15 years, 300 tertiary care centers involved, 100
16 actively participating.

17 It was an effort to locate all primary
18 pulmonary hypertension cases in those five countries
19 over the two years. So the intent was to make it a
20 population-based, as much as possible, case control
21 study.

22 And my focus here will be to discuss the
23 limitations, not only of that study, but perhaps of
24 all case control studies, particularly in pharmaco-
25 epidemiology.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Firstly, the results as an overview, the
2 study found 95 cases. Twenty-one percent of them of
3 them were exposed to anti-obesity agents involved and
4 obtained 355 controls in whom 6.5 percent were exposed
5 to anorexigens.

6 The overall conclusions contained in the
7 written submitted first report from the study, which
8 is what I accessed to analyze it, is that anorexigens,
9 obesity and systemic hypertension are independent risk
10 factors for primary pulmonary hypertension with odds
11 ratios of for systemic hypertension, 25, BMI greater
12 than 32.4, Dexfenflamine and fenflamine overall 3.8.

13 And for all anorexigens combined greater
14 than three months exposure, 10.6. So these are the
15 numbers I would have you be mindful of. For this
16 presentation, I'm going to assume that Dexfenflamine
17 and fenflamine have a 10.6 odds ratio with exposure
18 of greater than three months, in part because it
19 accounted for the bulk of the defined anorexigens in
20 this calculation.

21 Although I should point out that this
22 calculation also involved amphetamine-like agents as
23 well.

24 Well, I have three concerns, as I've said,
25 and I would class these as inherent limitations to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 case control studies in general, and in particular
2 case control studies involving pharmaceuticals.

3 Those three concerns are diagnostic and
4 referral biased, recall biased and confounding by
5 indication. Let me discuss each of them separately.

6 By diagnostic and referral bias, what I'm
7 referring to is if referrals are made from the
8 periphery of the health care system based on a
9 supposition or based differentially on whether a
10 patient had or had not been exposed to anorexigens,
11 then in fact, the observed odds ratio will be
12 distorted by that differential referral in the
13 direction of a higher elevated odds ratio for the
14 exposure of interest, in this case anorexigens.

15 Put another way, what happens to
16 individuals who are at the periphery that is not in
17 tertiary care centers who present with shortness of
18 breath, or as the case may be here, angina. Recall
19 now 15 percent of the cases presented with angina.

20 What happens to them? I would contend
21 that many of them, in fact, do get misdiagnosed or
22 it's quite conceivable that they would get
23 misdiagnosed as chronic obstructive lung disease or
24 coronary heart disease.

25 Why would such differential referral

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 happen during the conduct of the study from '92 to
2 '94. And the answer is there was a considerable
3 amount of publicity about this issue.

4 Recall 300 centers were solicited. There
5 was an article in Lancet. There was an article in The
6 British Heart Journal. And so consequently, this
7 issue of diagnostic and referral bias is important.

8 Now I would hasten to say that as you read
9 the conclusions of the study, that doesn't necessarily
10 change those conclusions. It says, "These are the
11 conclusions related to diagnosed primary pulmonary
12 hypertension."

13 All I'm suggesting is that the entire
14 spectrum of biologically present primary pulmonary
15 hypertension would dilute those results and lower the
16 observed odds ratios.

17 Somewhat similarly, the issue of recall
18 bias is an issue that does play retrospective
19 collection of data in case control studies.

20 Recall that one has to see cases and
21 controls, interview both about prior exposures, in
22 many cases going back many years.

23 Great care was taken in the interview of
24 cases using photographs of pills, using calendars and
25 the like to capture these data.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 But despite that, it's perfectly clear
2 that cases who have been interviewed multiple times by
3 care providers seeking participants, are much more
4 likely to have full recall than our controls. And
5 that's of concern.

6 Now how big a concern is that? I mean,
7 why do I make this point?

8 If we suppose for a moment that only 40
9 percent of the cases were not detected, and if we also
10 suppose those undetected cases had the background rate
11 of exposure of controls, because otherwise they would
12 have been referred if you will, that fact alone would
13 drop the odds ratio for Dexfenflamine/fenflamine
14 from 3.8 to 2.6.

15 If eight of the 355 controls had not
16 recalled an exposure, the odds ratio would further
17 drop to 1.8.

18 Now this is obviously a sensitivity-type
19 analysis. The only point I'm making is that
20 relatively small changes due to biases can have
21 considerable impact on calculated odds ratios.

22 What about confounding by indication?
23 Confounding by indication can be defined quickly as if
24 a treatment is associated with an outcome, in this
25 case an anti-obesity agent associated with primary

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 pulmonary hypertension, and the condition being
2 treated is also so associated, that is if obesity is
3 one of the risk factors for primary pulmonary
4 hypertension, it should be no surprise that a
5 treatment will also be associated.

6 That is, it's sort of the innocent
7 bystander effect. And I would note right away that
8 the investigators have carefully looked at this. They
9 did stratify the analyses. They found the effects
10 persisting.

11 So it's not that I'm negating the
12 association. I would just point out that when you
13 stratify the data at 30, you find an odds ratio --
14 this is a matched odds ratio of five. And if you go
15 below 30, it's 2.9.

16 The suggestion here is that the more
17 obesity you have, the higher the odds ratio, which
18 would sort of go along with the notion that you're
19 more likely to be treated with anorexigens if you're
20 more obese.

21 And that seems to fit into a confounding
22 by indication model.

23 The other thing I'd like to point out is
24 that once you get into the individual strata, the
25 numbers actually do get fairly thin, which just speaks

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to the statistical robustness and the confidence
2 limits that one needs to be mindful of in analyzing
3 these data.

4 Well, what are my conclusions? My
5 conclusions are that the association with obesity and
6 hypertension in the IPPHS is very important. And I
7 would like to emphasize, despite millions of
8 exposures, only a handful, all told perhaps 30, cases
9 of the 95 were exposed to anorexigens.

10 And recall the situation with Amenorex.
11 There is no epidemic here, despite intense case
12 searching. We're talking about a handful of cases.

13 I would quote the investigators in saying
14 the exact role of the anorexigens and the risk of
15 primary pulmonary hypertension cannot be definitively
16 established due to the lack of knowledge of pathogenic
17 mechanisms, the lack of specificity of the fact within
18 the class of anorexigens.

19 And then the part I would emphasize is the
20 non-exclusion of all potential confounders and the low
21 absolute risk.

22 So that's an overview of the IPPHS. Let
23 me now talk about risk benefits a bit and recap again
24 that the odds ratio found overall for
25 Dexfenflamine/fenflamine was 3.8. I'm going to use

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the 10.6 for the odds ratio of more than three months
2 of exposure.

3 One of the major contributions of this
4 study made is that it did carefully quantify, with
5 some careful additional studies in Belgium, the
6 background incidents of primary pulmonary
7 hypertension. And it reaffirmed that that number is
8 between one and two, two is probably the right number
9 to use.

10 That would suggest that the maximum excess
11 risk from anorexigens is two times the odds ratio
12 minus two, this is just arithmetic, or 19.2 per
13 million exposures to anorexigens.

14 And I would submit that this is a small
15 number, particularly now if we apply a five year case
16 fatality rate of 50 percent in cases so that this
17 number becomes about 9.6.

18 And what I would like to do with the 9.6
19 possible deaths induced by anti-obesity agents is
20 balance that against the potential benefits because
21 we've been hearing a lot about the mortality
22 consequences of obesity.

23 First of all, how big a number is this?
24 How does this compare to risks for other
25 pharmaceutical agents?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I've prepared this. This shows drugs
2 risks per million exposures or per million person
3 years, depending on the particular agent.

4 What it shows is for oral contraceptive
5 induced MIs or pulmonary emboli in non-smokers, that
6 risk is about ten per million in person years.

7 For Metformin-induced lactic acidosis,
8 that risk is estimated to be between 12 and 40 per
9 million person years.

10 Penicillin anaphylaxis, these are deaths
11 now, is about 20 per million exposed. Accutane-
12 induced congenital defects, that number is 98.

13 And if you put those numbers -- and by the
14 way, Phenformin-induced lactic acidosis was estimated
15 to be between 250 and 2,200 deaths per million
16 exposed, depending very much on which age group it
17 was.

18 Put those numbers in perspective. All
19 cause pregnancy risk is 100 per million, pregnancies.
20 Motor vehicle accidents, yours and mine, are 200 per
21 million per person years.

22 Recall now, we're talking about nine in
23 this situation, nine or ten.

24 These are data you've seen now before this
25 morning. It comes from Manson and the Nurse Health

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Study. It is a multi-varied risk. And it shows, as
2 we talked about before, that risk begins to take off
3 quite markedly at about 27 and accelerates as one goes
4 up the BMI curve.

5 What I did was I took this and translated
6 it into excess lives per million person years, and
7 this is a simple transformation, so that as BMIs go
8 from 27 to 32, that's an increase of 80 percent which
9 translates, because we know the baseline, to 860
10 excess lives lost per year -- as per million person
11 years, rather, as weight increases over this range.

12 As weight increases from 28 to 32 in BMI
13 change, that translates into 645 lives; 29 to 32, 430;
14 and even 30 to 32, 110 excess lives lost per million
15 person years.

16 The reason I did this was to be able to
17 put it into a model. This model, as all models, is
18 perhaps overly simplified, but I think demonstrates
19 the benefits of the drugs in terms of mortality
20 savings. And we've been hearing about this earlier
21 this morning.

22 This model suggests that if we treat a
23 million women with a mean BMI of 32, and I would point
24 out that a mean BMI 32 means that they, on average,
25 are women let's say five foot five and weighing 191

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 pounds. Obviously the range around this would be 28
2 to 34.

3 If we treat them with Dexfenflamine for
4 a year, we can anticipate achieving the following
5 results. And I'm going to assume that they'll persist
6 for a year. And this is based on index results,
7 actually conservative. These are less than the
8 figures shown by Bobby Sandage earlier.

9 We can expect 200,000 women or 20 percent
10 will lose 15 percent of their body weight. That
11 reduces their BMI from 32 to 27.

12 And applying that to the data I just
13 showed you, that would suggest that 172 lives will be
14 potentially saved.

15 Now the driving, underlying assumption
16 here we know that mortality goes up as BMI goes up.
17 The assumption here is if BMI comes down, that the
18 lives saved will track with that.

19 And I'll come back to that point and tell
20 you why I think that's a valid assumption.

21 Two hundred thousand women then will have
22 weight loss of ten percent. That translates into 86
23 lives saved or deaths avoided. And 200,000 will have
24 a weight loss of five percent or 22 lives avoided --
25 22 deaths avoided.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 In total then, we could expect in this
2 model that per million women treated per year, we
3 would save 280 lives per year. How does that compare
4 then to the excess deaths from primary pulmonary
5 hypertension even taking a worse case scenario.

6 And it looks like this. Using INDEX study
7 effectiveness, that's 280, as I've just mentioned,
8 against 9.6. And taking INDEX, now talking about the
9 four pound in the first month selecting responders,
10 which captures most of the benefit and lessens
11 exposure. Then the excess deaths would drop to 8.1.

12 What about this model? I would contend
13 that it's conservative because it doesn't include
14 morbidity. As you've heard, there's a ratio of about
15 four coronary events per death.

16 It doesn't include quality of life issues.
17 I have used conservative estimates of lives lost. As
18 you correctly said, Dr. Bone, these nurses are
19 probably healthier than the general population.

20 If you used general population figures,
21 the numbers in this model would go up in terms of
22 lives saved because of the differential between the
23 starting point and the ending point.

24 As I've indicated, one can anticipate
25 reducing risk by continuing the treatment in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 responders only. And then I'd like to emphasize this
2 model is a one year model. It is quite conceivable
3 that primary pulmonary hypertension risk is a risk in
4 susceptibles, that all of that risk then gets incurred
5 in the first year, whereas benefits may be serial
6 benefits in future years.

7 So you would have multiples in the number
8 of lives saved as long as weight remained reduced, as
9 opposed to incurring further risk from primary
10 pulmonary hypertension.

11 That's really an issue of what would be
12 the mechanism. Is it a dose-dependent mechanism or is
13 it a selection susceptible? And I think we can't
14 resolve that.

15 But if it is a selection of susceptibles,
16 then one would anticipate they would be depleted in
17 the first year or so of exposure.

18 Going back to the core assumption in this
19 model, why is it reasonable to assume that if weight
20 is lost, that mortality will be reduced? Well, you've
21 heard earlier this morning that we know that there are
22 prompt improvements in glycemia, lipids, hypertension
23 and quality of life with weight loss.

24 We also know from Colditz that there is --
25 actually this is Williamson. There's a 20 percent

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 reduction in all cause mortality versus that found
2 even at levels of 28 in the American Cancer Society
3 data following any reduction of any intentional loss
4 of weight.

5 Colditz has demonstrated a 50 percent
6 reduction in non-insulin dependent diabetes with a
7 loss of only five kilos. And the Swedish study using
8 surgery in morbid obesity shows cure rates, high cure
9 rates, 69 percent, for diabetes and for hypertension.

10 So this again supports the concept that if
11 you reduce weight, you ought to be reducing fairly
12 drastically the excess mortality associated with
13 obesity.

14 My conclusions then are that the IPPHS
15 results may have been affected by publicity referral
16 patterns and recall bias that obesity is an important
17 independent risk factor for primary pulmonary
18 hypertension, that the absolute risk of primary
19 pulmonary hypertension, if present, is quite small and
20 below that of the risk of many commonly used
21 medications.

22 Dexfenflamine is effective and will
23 prevent excess obesity-related deaths. And lastly,
24 the benefit to risk ratio, particularly considering
25 morbidity is probably well over 50-fold.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now let me just make a few comments about
2 post-marketing or post-approval studies. The
3 challenges in the conduct of post-approval studies are
4 intertwined with what the purpose of such studies
5 might be.

6 I think it's fair to say that the purpose
7 would focus on, or could focus on, what is the
8 effectiveness in actual practice. You might call that
9 clinic efficacy as opposed to clinical efficacy. That
10 is, how will these drugs, how will this drug, actually
11 work in free-living populations that are not governed
12 by -- if you will.

13 What will compliance be with labelling,
14 both on the part of physicians and patients? What are
15 long-term effects and what kind of further safety
16 assurance is necessary and can be derived from such
17 studies?

18 The design issues are size, how big,
19 duration, how long? What are the critical endpoints?
20 Is a mortality a reasonable endpoint to build into a
21 study and can it be practically studied?

22 And what's the control population? One
23 can envision using drop-outs or using a before and
24 after. One of the problems with randomizing a double
25 blinding is the drift away from studies.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We've heard about drop-outs. Moreover, if
2 it's going to be a real-world effectiveness trial, the
3 ability to actually blind because of practitioner
4 acceptance or lack of acceptance in patients, is a
5 real problem.

6 It's fair to say that Interneuron and
7 Wyeth are quite interested and willing to work with
8 FDA and quite willing to conduct appropriate post-
9 marketing studies.

10 But these are the challenges, and there is
11 a great deal of willingness to work through this and
12 decide what would be best.

13 Now I'd like to turn the podium over to
14 Ted Cicero, who is going to address abuse issues.

15 DR. CICERO: Thank you very much. The bad
16 news is my talk is about ten to 15 minutes. The good
17 news is I'm going to abort it very quickly. We've
18 already used our allotted time.

19 And there is a joint meeting tomorrow of
20 the Endocrinologic and Metabolism Committee, the Drug
21 Abuse Advisory Committee, at which time we will
22 consider the issue of abuse liability.

23 A number of members though may not be able
24 to attend tomorrow and I wanted to just give you a
25 very brief overview of the current scheduling status.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Let me give you a bit of the history of
2 this. I'll go right to my conclusions slide.

3 (Laughter)

4 DR. CICERO: Back in 1973, both
5 fenflamine and its isomer -- and I think it's
6 important for us to point out that although we're
7 discussing the effects of fenflamine, the Controlled
8 Substance Act reads that compounds, either scheduled
9 compounds and their isomers and metabolites, are
10 scheduled if in fact there's any evidence of abuse.
11 And in fact, they should be then, accordingly
12 descheduled.

13 So although I'm only considering
14 Dexfenflamine, the petition is actually to deschedule
15 both fenflamine and its isomers which would include
16 Dexfenflamine.

17 In 1973, fenflamine was in fact scheduled
18 in the United States under the Controlled Substances
19 Act as a Schedule IV drug pending the acquisition of
20 some data.

21 I think it's important to realize
22 fenflamine at that time was scheduled not because of
23 any evidence of abuse liability, but that it bore a
24 striking structural similarity, as you heard from Dr.
25 Wurtman, to amphetamines.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Thus, there was a real fear back in 1973
2 that perhaps like amphetamines, it might have abuse
3 potential.

4 Since that time, there has been an
5 enormous amount of pre-clinical studies, that is
6 studies in animals, clinical studies, control studies
7 in patients that had a history of abuse, and most
8 importantly wide-scale epidemiological studies.

9 As you've heard earlier today, over 30
10 million people have been exposed to fenfluramine around
11 the world since 1973. And this drug has a remarkably
12 low level of abuse.

13 Indeed, since the mid-1970s, there hasn't
14 been a single published report in the world literature
15 that documented abuse of this substance.

16 There have been no case reports reported
17 since 1980. And I think as one other point, the Drug
18 Enforcement Administration actually surveyed its
19 regional offices in a period from 1988 to 1993 and
20 found that 40 of the offices could report not a single
21 incidence of a seizure or a theft of fenfluramine,
22 whereas two reported a single theft during that
23 period.

24 The Drug Enforcement Agency, in its own
25 analysis of these data, concluded that there really is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 no current or past incidence of abuse of this
2 substance.

3 We would certainly concur with that
4 decision. And in fact, the Controlled Substances Acts
5 require that we look at this essential point. Is
6 there, in fact, any evidence of past or current abuse
7 of fenfluramine?

8 The evidence is quite clear on that point.
9 There is no evidence to support that conclusion.

10 And as we're making this -- recommendation
11 that fenfluramine and its isomers should be descheduled
12 from the Controlled Substances Act.

13 I appreciate that's a very brief review,
14 but in interest of time, and I know we've taken quite
15 a bit of your time already, I'll pass this along to
16 Dr. Lasagna to close off for us.

17 DR. LASAGNA: Thank you. This Sunday
18 marks the anniversary of a publication of an editorial
19 I wrote 15 years ago, largely ignored at the time.
20 But it might be worth referring to briefly.

21 I started applauding the hypertension
22 detection and treatment program that was initiated by
23 the Government, and which permitted the identification
24 of a physiological abnormality with predictably
25 deleterious consequences for millions of Americans if

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ignored, and a predictably improved prognosis for
2 those afflicted if corrected.

3 And I went on to suggest that there was a
4 parallel between that program and another possible
5 program aimed at obesity which was another important
6 physiological abnormality.

7 And I said at that time that no one who
8 knew the available data would doubt that there was a
9 relationship between obesity and hypertension,
10 diabetes mellitus, heart disease, mental distress and
11 orthopedic disorders.

12 I went on to mention that I thought
13 anorexian drugs could play a significant role in a
14 total weight control program, but that the proper use
15 of these drugs would require a change in the foolish
16 beliefs held by a lot of people, the effect that
17 anorexian drugs were always trivial or transitory in
18 their impact on obesity and furthermore, were
19 extremely dangerous.

20 And I also mention the fact that I thought
21 it was silly to treat a chronic disease with drugs for
22 only short periods of time as the labelling indicated.

23 And I ended with a call for a serious and
24 substantial and national effort to attack this
25 problem.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I'm happy to say that there's been a sea
2 change in attitude towards this problem. And as
3 you've heard, this is chronic disease of increasing
4 prevalence affecting one out of every three adult
5 Americans.

6 It's a cause of serious morbidity and
7 mortality. So it's not only a chronic disease, it's
8 a chronic life-threatening disease. And there are a
9 lot of deaths that seem to be attributable to obesity.

10 Furthermore, recent research, for example
11 on the genetics on obesity, have I think begun to
12 alert people to the notion that obesity is not just a
13 reflection of a contemptible lack of moral fiber,
14 people who just can't watch their diets or who are
15 lazy or sloth-like. But that it's much more
16 complicated than that.

17 As you've heard, there is a lot of
18 clinical evidence that even moderate weight loss can
19 reduce obesity-related morbidity and mortality. And
20 there is now, thank God, a growing appreciation of the
21 need for long-term use, not brief use but long-term
22 use, as would be appropriate in a chronic disease of
23 effective appetite suppressiveness.

24 For those patients, and there are many of
25 them unfortunately, who just cannot lose or maintain

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a weight loss by diet, exercise and behavior
2 modification; that is, non-drug approaches.

3 Have I missed a slide? Well, okay.
4 There's a slide that I used to have which referred to
5 the long-term satiety of things, just trying to remind
6 us all of the point that we're not talking about
7 sympathomimetic stimulant agents of the old variety.

8 And I will repeat the material you've
9 heard on how broad the experience is with regard to
10 the clinical trials and marketing of this compound.

11 So I would conclude by pointing out that
12 there is compelling clinical evidence that this drug
13 is safe and effective and that it can reduce body
14 weight and maintain that weight loss with a favorable
15 effect on co-morbidities.

16 I would submit that the benefits accruing
17 from proper use of this drug are not trivial. They
18 are not small effects. The numbers of people who are
19 helped to achieve target goals, the numbers are very
20 respectable. They are substantial. They're not
21 small.

22 Furthermore, I would point out that in
23 fact, the good benefits that you've heard described
24 are, I would submit, an understatement of the benefits
25 possible from the proper use of this drug.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Why? Because all out-patient studies are
2 plagued by imperfect compliance with prescribing
3 directions. And on those occasions where it's been
4 possible to measure compliance by studying the level
5 of the drug in the blood of subjects in a trial, one
6 has found mirabile dictum that people taking the drug
7 lose more weight than people not taking the drug.

8 So I would submit that what you've heard
9 is a minimal benefit picture, not a maximal benefit
10 scenario.

11 And therefore, I would urge an approval,
12 prompt approval, of this compound, because approval of
13 it will add a significant treatment for obesity to the
14 armamentarium of U.S. physicians.

15 This drug, by the way, is, I believe, the
16 most thoroughly studied anorexia in the history of the
17 world.

18 And not only will it add a significant
19 treatment to the physicians' armamentarium, but it
20 will make it possible to achieve a substantial
21 increase in both the quality of life and the longevity
22 of millions of obese Americans.

23 Thank you for this opportunity.

24 CHAIRMAN BONE: Thank you to the speakers.

25 I think we now will have questions and comments from

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 members of the Committee, followed by an intermission.
2 Dr. Borhani and then Dr. Colley?

3 DR. BORHANI: Thank you very much. I am
4 grateful for Dr. Lasagna's comments and reminding us
5 of HDFP. I have a question to my dear old teacher.
6 Is do you anticipate to recommend a clinical trial in
7 this country like HDFP later on if this drug is
8 approved and therefore, to test the hypothesis that
9 HDFP demonstrated in terms of control of hypertension?

10 DR. LASAGNA: I'd love to see a parallel
11 to the old hypertension approach, which as you know
12 was initiated actually by -- what was that called --
13 the Department of Health, Education and Welfare.

14 And it was truly a national effort,
15 Government-led and a superb milestone in how to do
16 things properly.

17 If we could mobilize a national effort
18 like that for obesity, it would be a great
19 achievement.

20 DR. BORHANI: Thank you. I hope someday
21 it will be done. I am very grateful because at the
22 time they started HDFP, we ran into quite a few very
23 strong and vocal oppositions. It wasn't easy to
24 launch that, but I'm glad it was done.

25 I have a question to Dr. Faich, in fact

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 two questions.

2 CHAIRMAN BONE: Maybe we could come back,
3 okay? I'll get back to those, but a couple of other
4 people have questions in between. Dr. Colley I think
5 had a question now.

6 DR. COLLEY: Just actually two questions,
7 one briefly just to confirm was there no dose
8 relationship between the anorexigens and the primary
9 pulmonary hypertension?

10 DR. FAICH: Well, firstly on all of the
11 details of the study, I would rather you saved those
12 for Dr. Abenhaim. It was his study. There is a
13 detailed analysis of it.

14 There is some suggestion that duration of
15 therapy increases odds ratio. So in a sense, that's
16 a dose response phenomenon. But the question of how
17 long that persists and what does it mean in
18 traditional dosing sense and can you extrapolate to
19 Dexfenflamine I think is a more difficult one.

20 All of the issue of sub-analyses within
21 the IPPHS run into issues. You can use multi-varied
22 techniques, but the issues really are: what does that
23 do to confidence limits and how far down can you push
24 down towards specific issues of -- I want to talk
25 about a specific age group, a specific gender, a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 specific drug over a specific period of time.

2 I think those are difficulties in
3 analyzing the study.

4 DR. COLLEY: The second question has to do
5 with any toxicity of the drug in the situation of
6 hyper-compliance. What I would be concerned about is
7 the patients that are taking this drug are ones who
8 have been frustrated by non-drug means of controlling
9 their weight.

10 And if they begin taking the drug and are
11 aware of the fact that it is probably going to be
12 discontinued if they don't achieve that four pounds of
13 weight loss in the first month, what happens if kthey
14 start advancing the dose on their own?

15 DR. SANDAGE: In our dose response study,
16 we doubled the dose for the high dose group. And as
17 you saw, the discontinue rate also doubled. It was 16
18 percent.

19 Although the side effects are somewhat
20 self-limiting and disappear, the high dose is clearly
21 not easily tolerated by a fair amount of the people
22 who take it.

23 CHAIRMAN BONE: All right, Dr. New and
24 then Dr. Borhani.

25 DR. NEW: Does the drug cross the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 placenta?

2 DR. COOPER: Dr. Campbell can answer that
3 question.

4 DR. CAMPBELL: Yes, we've looked at this
5 in radioactive studies and in pregnant animals. And
6 like all drugs which are lipid soluble, it expectedly
7 crosses the placenta.

8 This is good because in fact, the
9 teratological studies and the Section I, II, III
10 studies are completely clear. And so this means that
11 because the drug gets there, we can extrapolate that
12 to mean that there is no teratological problem.

13 I guess there is -- your question is in
14 pregnancy?

15 DR. NEW: My question was, in fact, the
16 interest in teratology. My second question is I've
17 been sitting here as a pediatrician trying to think
18 whether this drug would have any effect on growth,
19 development and puberty.

20 And I can't think of any and I wonder if
21 anyone has looked into this.

22 DR. CAMPBELL: It is not recommended in
23 children.

24 DR. NEW: Why?

25 DR. COOPER: I think the issue is that the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 drug has not been studied in children. Our entire
2 clinical database is with adults. So I think we
3 simply have to say that the drug has not been studied.

4 And therefore, well don't recommend it
5 because of unknown issues of safety or efficacy in
6 people below 18.

7 DR. CAMPBELL: I can add, where it has
8 been used in adolescents like Prayder Willi Syndrome
9 and others, it doesn't produce any difficulty or
10 problems.

11 CHAIRMAN BONE: Does it work?

12 DR. NEW: Does it work?

13 DR. CAMPBELL: Yes. In the Prayder Willi
14 Syndrome, where it has been used recently, there is a
15 reduction in body weight. So yes, it does.

16 CHAIRMAN BONE: Dr. Borhani and then Dr.
17 Kreisberg.

18 DR. BORHANI: Yes, thank you. I had a
19 question about this pulmonary hypertension study.
20 Would you like me to hold it until later?

21 The simple question I had was I take it
22 the study was done only on women. And also given BMI
23 as I recall, is 30 and over.

24 My question is was there any relationship
25 between the weight of the patient, let's say lower BMI

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 since you brought up the issue of hypertension in this
2 group appropriation, and the fact that you saw primary
3 pulmonary hypertension?

4 DR. FAICH: Well, firstly it was not only
5 conducted in women. It was an effort to collect all
6 cases of primary pulmonary hypertension regardless of
7 gender.

8 It turned out, of course, that most of the
9 cases, because this is known from primary pulmonary
10 hypertension, whether exposed to anorexigens or not,
11 are in women. The gender ratio is on the order of two
12 or three to one or thereabouts.

13 And again, I am going to refer this to Dr.
14 Abenhaim. The issue of interactions with other
15 morbidities I did not see detailedly analyzed in the
16 first report.

17 There will be a series of reports. Again,
18 I think that should be asked of Dr. Abenhaim though.

19 CHAIRMAN BONE: The investigators and
20 representatives will be speaking, so we'll get to
21 that.

22 DR. BORHANI: Thank you.

23 CHAIRMAN BONE: Dr. Kreisberg?

24 DR. KREISBERG: I'm still a little bit
25 perplexed on why the efficacy seems so limited. I

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 mean, I'm not denying the fact that the drug is
2 effective.

3 But it seems to me that the amount of
4 weight loss is not as large as one might expect if
5 there was always a difference between caloric intake
6 and expenditure, and yet the weight seems to plateau
7 fairly early.

8 And so the question is, if they are still
9 on a limited caloric intake, is this a compensatory
10 response and a reduction of energy expenditures? Are
11 there now in a steady state or why don't we continue
12 to see continued weight loss?

13 I think the layer of consumption of
14 calories in excess of need is probably more than the
15 layer that appears to be attacked by this drug.

16 DR. COOPER: Dr. Kreisberg, there are
17 several answers to a very important multi-factorial
18 question. I think one point that I think we can
19 highlight is the issue of compliance. And Dr.
20 Campbell, I think, can make some comments about the
21 magnitude of weight change seen in compliant versus
22 non-compliant patients.

23 Because really what we're talking about is
24 a drug that enables one to comply with dietary
25 instructions.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Clearly patients in either the placebo
2 group or the drug treatment group are either complying
3 or not complying based on their ability to control
4 appetite.

5 DR. CAMPBELL: I think it is one of the
6 problems in the control of food intake, and that is
7 that it is one of the most important things in our
8 life, and that there are many controlling mechanisms
9 which will make us eat. It's fundamental to living.

10 And perhaps unlike other controlling
11 influences, even hypertension, I think there was a lot
12 of feedback control on continuingness to eat. And
13 it's very difficult to maintain the weight loss.

14 If you look at other pharmacological
15 agents, the sort of weight loss reported here is not
16 unexpected.

17 Another thing which we did look at is why,
18 perhaps, after six months, there appears to be a
19 flattening plateau. And we did this by measuring the
20 drug levels and large majority of the INDEX 12-month
21 study.

22 And what we found was a gradual stopping
23 of taking the pills. Something like 25 percent of
24 people were clearly not adhering to the protocol.

25 And when you reanalyzed those people who

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 were actually taking it at a certain blood level, the
2 weight loss continued to fall.

3 And so clearly, if the doctor can maintain
4 the patient's taking the drug -- in the same ways,
5 they can't maintain them to keep to a diet or to
6 exercise, this is a problem with this group of
7 patients.

8 The drug, I think, will continue to work.
9 And I think that's something which we have to address
10 in the use of the drug in the future.

11 So I don't think the drug actually does
12 stop working. I think it reaches a new steady stage.
13 And those people who are actually taking it do
14 continue to lose weight even up to the 12 month
15 period.

16 DR. VAN ITALLIE: One explanation that has
17 been given for this sustained effect at the plateau
18 level is that Dexfenflamine lowers the set-point for
19 body weight and lowers it to a certain level.

20 And that level, that new set point, is
21 reached and the body defends that by the various
22 physiological mechanism.

23 DR. WURTMAN: It's a research question.
24 It's a question I think now we'll confront because we
25 have the opportunity, I hope we'll have the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 opportunity, to do the testing.

2 But one can come up with many theories.
3 For instance, there was an article in the Lancet about
4 two months ago from England that indicated that the
5 act of weight loss, the act of dieting by itself,
6 diminishes the availability of tryptophan to the
7 brain.

8 Perhaps you saw that. It was discussed
9 widely.

10 And if that's the case, we know that
11 tryptophan levels are the primary factor limiting
12 serotonin synthesis. So one could imagine that there
13 would be such a mechanism operating.

14 I'm not sure I would give that a 100
15 percent vote at the present time. I think it's really
16 a research question. But my strong suspicion, for
17 what it's worth, is that as we learn more, we will
18 learn about ways of amplifying and maintaining some of
19 the effects we've seen.

20 CHAIRMAN BONE: Right. Dr. Illingworth
21 and then Dr. Sherwin.

22 DR. ILLINGWORTH: One brief question. In
23 the follow-up of the studies that are being conducted
24 for say six months or a year, have you had the
25 opportunity to do follow-ups based on active therapy

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 after drug use stopped to find out how many patients
2 get back -- basically regain their weight?

3 In other words, to divide the need to
4 continue this kind of therapy on an indefinite basis?

5 DR. COOPER: Dr. Sandage?

6 DR. SANDAGE: As I mentioned, we've only
7 followed the patients following the completion of the
8 trial for just a few months. You do see a trend for
9 regaining, but that's the only information we have.

10 As Dr. Campbell pointed out, as long as
11 the patients in the study continue to take the
12 medication, the weight seems to stay down. But we
13 don't have any long-term follow-up after being off the
14 medication.

15 DR. COOPER: But clearly our expectation
16 and the experience in use in Europe would suggest that
17 when a patient discontinues the drug, if they haven't
18 made any significant modifications in their dietary
19 habits or their lifestyle, that there will, in fact,
20 be weight regain to baseline.

21 DR. SHERWIN: I have a question about
22 primary pulmonary hypertension. One, are there any
23 animal data, and two, if they are not in the clinical
24 arena, has anybody looked at, let's say, -- to look at
25 the right ventricle just as an example?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. COOPER: Right, two people I'd like to
2 help answer that question: Dr. Campbell and then Dr.
3 Taylor Thompson from the Pulmonary Department of the
4 Mass General Hospital who has reviewed these cases for
5 us.

6 DR. CAMPBELL: Ever since there was the
7 possibility of a relationship between the drug and
8 pulmonary hypertension, the company invested quite a
9 large effort in trying to see whether we could
10 understand it.

11 And we've investigated a number of
12 different animal models, long-term treatments in pigs,
13 dogs, and also in isolated tissues and also isolated
14 human tissues where we've incubated the drug.

15 In none of these, except at doses or
16 levels which are something like 1,000 times the
17 therapeutic levels, have we found any
18 vasoconstriction.

19 In fact, there are some studies,
20 particularly in the pig, where when we've activated
21 the system with serotonin, the drug actually protects
22 against it.

23 So the end result is that we've found
24 nothing that could even be suggestive of the
25 pharmacological means.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 But saying that, nor has this been shown,
2 for example, for a minor -- or other. It doesn't seem
3 to be a model that one could use. But again, I would
4 suggest this sort of question you might like to
5 address to Professor Rich afterwards. He's more of an
6 expert than me.

7 DR. COOPER: Dr. Thompson?

8 DR. THOMPSON: A very little to add to
9 that, and we will get an up to date, state of the art
10 discussion about this rare disorder from two
11 international experts in the field.

12 The animal data, some of which were done
13 in a model that I'm very familiar with in addition to
14 not altering vascular reactivity, de novo or in fact
15 decreasing vascular reactivity to serotonin, does not
16 appear to cause pulmonary hypertension with chronic
17 administration to dogs or to rats.

18 And in the model that I'm familiar with,
19 hypoxia as a stimulus to produce pulmonary
20 hypertension does not tend to exaggerate pre-existing
21 pulmonary hypertension or the structural alterations
22 in the lungs that follow.

23 So there really isn't a mechanistic
24 hypothesis that emerges from the animal data. I've
25 reviewed the cases. These are isolated case reports

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 of what looks to be primary pulmonary hypertension in
2 patients who have taken Dexfenflamine.

3 And fortunately, the International Primary
4 Pulmonary Hypertension Registry puts that rare
5 association in some perspective.

6 DR. SHERWIN: There are no echoes in
7 patients on this chart for example, I assume?

8 DR. THOMPSON: The primary pulmonary
9 hypertension has not emerged as a complication in the
10 prospective studies, but I'm only aware of the
11 electrocardiogram being the endpoint in looking for
12 that.

13 And perhaps those involved with the
14 studies with echoes could comment on that. I'm not
15 aware of that.

16 CHAIRMAN BONE: To a certain extent, we're
17 anticipating the next section of the program, I think
18 if Director Rich had a comment he wanted to make, and
19 then we'll take a break and come back and actually
20 discuss this as our main item.

21 DR. RICH: To quickly put things in
22 perspective, if you believe that there is a
23 susceptibility issue, irrespective of the species, and
24 with using aminorex as an example, have to treat 1,000
25 humans to get one case of PPH, if a dog had the same

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 susceptibility, then you would have to treat 1,000
2 dogs to get one case of PPH.

3 And those who do animal research don't
4 have the funding to take 1,000 dogs and -- so it's not
5 surprising that animal studies have not shown the
6 production of pulmonary hypertension given the low
7 susceptibility of the average species.

8 DR. SHERWIN: Right. No, I agree, but
9 that's an extreme disease. I mean, there are
10 gradations of change that one might expect to see a
11 more subtle --

12 CHAIRMAN BONE: I think -- yes, Dr.
13 Sherwin's question I think is addressing the -- asking
14 the company whether they have done special toxicology
15 studies to look at not overt primary pulmonary
16 hypertension in animal models, but changes in
17 pulmonary vascular resistance.

18 DR. FAICH: There's a somewhat related
19 echologic issue and that is, anywhere where echoes
20 have become increasingly available as a non-invasive
21 procedure to move you towards this diagnosis of
22 exclusion, are you seeing non-anorexigen related
23 increases in the diagnosis?

24 And the answer is probably yes. That's
25 certainly true in the diagnosis and the elderly in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 France. And it probably relates increasing echo.

2 The reason that has some significance in
3 interpreting this study is you have to ask what the
4 availability of echo does to considering the diagnosis
5 and the referral as well.

6 So it does have implications. Exactly
7 what they are or what the results of the study might
8 be is a little tougher. But I actually think it has
9 probably increase the apparent incidents of the
10 disease because it's increased the ease of diagnosis.

11 CHAIRMAN BONE: Thank you. If there's no
12 further information from the sponsor, we'll take an
13 intermission. I have 11:05. We will start at 11:15.

14 (Whereupon, the proceedings went off the
15 record at 11:06 a.m. and resumed at 11:21 a.m.)

16 CHAIRMAN BONE: We have a rather late
17 lunch scheduled, and it's getting later. And that
18 means it's absolutely essential for everyone to sit
19 down so we can start.

20 The next speaker is one of the invited
21 guest expert speakers invited by the Food and Drug
22 Administration to speak on a topic of considerable
23 importance about which we've already heard a little
24 bit in anticipation of this talk.

25 This is the description and discussion of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the International Primary Pulmonary Hypertension
2 Study, which will be presented by Professor Lucien
3 Abenham from McGill University, Montreal.

4 DR. ABENHAIM: Thank you, Dr. Bone,
5 members of the Committee. I will try to quickly
6 review the study that we conducted, which is called
7 the International Primary Pulmonary Hypertension
8 Study.

9 Can we have the lights down, please?
10 Thank you very much.

11 And now I'm speaking on behalf of the
12 International Primary Pulmonary Hypertension Study
13 Group. This group was composed of a number of
14 scientists: epidemiologist, cardiologists, pulmonary
15 physicians, pharmacologists, geneticists and of course
16 the statisticians who belong to McGill University,
17 NIH, France, the United Kingdom, the Netherlands,
18 Belgium. And there were local research in each
19 country.

20 And all cases were reviewed as I will say
21 later on in more detail by an expert of your panel,
22 which was mainly a North American review panel chaired
23 by Dr. Rich, who will present just after me.

24 This study was sponsored under the
25 auspices of the Medical Research Council of Canada

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 with the funds provided by Servier. And we also
2 received some financial support from the Minister of
3 Public Health and Environment of Belgium.

4 So I think we will go quickly into the
5 introduction. Dr. Faich already presented this data.
6 We started this study after the report of the cluster
7 of cases of fenfluramine-associated primary pulmonary
8 hypertension in France.

9 And the background was this epidemic of --
10 related PPH in Switzerland, Germany and Austria, and
11 there had been also come case reports in the
12 literature in the last 15 years of fenfluramine or
13 Dexfenfluramine-associated PPH and also a fenfluramine
14 associated PPH.

15 The issue was are we facing a new of
16 epidemic of PPH-associated to anorexigens? And so we
17 started a survey of PPH cases in France and Belgium.
18 We wanted to do incident studies at least in Belgium
19 and to be as exhaustive as possible in France.

20 And to help in the quantification of risk
21 association with other risk factors, we also added the
22 United Kingdom and the Netherlands which are countries
23 where the drugs, the anorexigens, are very -- are on
24 the market, but are used at very low levels.

25 So the objectives were to develop an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 epidemiological understanding of the disease and to
2 investigate several suspected risk factors,
3 anorexigens of obesity, systemic hypertension, recent
4 -- and --. I will not present on the other risk
5 factors.

6 I will mainly concentrate on the
7 anorexigens ability here and also to a certain extent,
8 thyroid extracts.

9 So quickly, this is a case controlled
10 study in nature is we identified cases in clinical
11 centers not only by reporting, but also by systematic
12 searching the case.

13 The cases were varied internationally and
14 they were interviewed by a set of specially trained
15 interviewers blind to the objective of the study.

16 And we found four properly matched
17 controls per case through the case GP or alternative -
18 - another general practitioner.

19 Inclusion criteria includes age 18 to 70,
20 both genders, resident for more than six months in the
21 country. Interview possible, consented to participate
22 and not suffering from active chronic disease at the
23 time of the interview.

24 The cases were screened by a pulmonary
25 physician or a cardiologist extra -- from the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 reporting team, and this is very important.

2 At this occasion, all the cases of
3 pulmonary hypertension and possible primary pulmonary
4 hypertension seen by this center were the general
5 review in order to control for their reporting bias.

6 And cases were reviewed all by an
7 international expert review panel which obtained
8 copies of medical extraction forms, X-rays, -- scans,
9 a cardiogram. This panel was blind to exposure and
10 classified the cases into A, B and C in order to
11 control for a possible diagnostic bias.

12 One hundred and six centers participated
13 in this study in one way or another, among which only
14 35 of them could include a case. And this is, of
15 course, due to the rarity of the disease.

16 Here is the distribution of the cases and
17 controls per country. France was obviously the
18 country where we had spent much more efforts
19 financially and in terms of human power because of the
20 major concern that country and Belgium. The two other
21 countries, the United Kingdom and the Netherlands,
22 where the drugs are used buy a very small proportion
23 of the population.

24 We have spent much left efforts. We
25 really wanted to have as complete as possible

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 recruitment from France and Belgium.

2 Interviews, as I said, were blind. I
3 think it's important to say that we have spent a lot
4 of time and efforts trying to elicit the use of drugs,
5 but not on the anorexigens. We have used 80 drug
6 trade marks in order to be able to -- not on the other
7 drugs, but also to be blind on the exposure to
8 anorexigens.

9 The median and mean age is basically what
10 is expected for this disease, and as well as the
11 female to male ratio after -- series that we have
12 collected.

13 The --, as Dr. Rich will talk a little bit
14 more after. I will just comment here on the mean
15 pulmonary arterial pressure and cardiologists and
16 primary physician with whom will recognize the
17 disease, exactly what is expected in this disease, all
18 the other characteristics of the disease are actually
19 met.

20 There was no difference between cases and
21 controls in hundreds of diseases that we controlled
22 for. And I think this was very important to the case
23 in -- series was quite similar in many respects.

24 There are small differences that have now
25 been studied further for this report.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 For the results, very quickly, we found
2 four cases with cirrhosis, four -- of IV drug use,
3 intravenous drug use, three with HIV. And we had to
4 control for these in the analysis because they are
5 possible confounders.

6 So we had to withdraw those sets from the
7 model because it was not possible to conduct a --
8 modelling due to the small number of --. But that --
9 seems to -- IV drug use and HIV in this disease.

10 Now we will spend the time left on mainly
11 the appetites of persons. We studied fenfluramine
12 derivatives, Colditz F/DF and also amphetamine-like
13 agents such as amfepramone, clobenzoex and so on.

14 We also looked at compounded preparations.
15 And if I think I should spend some time on it, in
16 France and mainly in Belgium, doctors prescribe
17 appetitive suppressant as mixtures of different
18 products which could contain anorexigen, thyroid
19 extracts, diuretics, phototherapy.

20 And in general, the patient doesn't know
21 what is the content of the mixture. They know that
22 they have to get an appetite suppressant, that it had
23 had an effect on their, if you would, appetite.

24 But they don't know what the exact
25 content, so this is an important phenomenon on which

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I will be able to comment a little bit better later
2 on.

3 We did not -- detail bulking agents,
4 phenylpropanolamine and others, appetite suppressants
5 in this report.

6 So this is the exposure to fenfluramine and
7 Dexfenfluramine that we found in our case and
8 controlled series. Nineteen percent of the cases had
9 used at least one defined product contained
10 fenfluramine or Dexfenfluramine.

11 And 20 -- and about 5.6 percent of the
12 controls that use such -- that define products. Among
13 the products, the main products where obviously
14 Dexfenfluramine was obviously the main product in this
15 series.

16 You had also uses of amphetamine-like
17 agents. To a certain extent, those drugs are not used
18 at -- in this country. I will use this slide to --
19 just for the fact that the exposure that we have based
20 on our calculation of sample size on, we have expected
21 five percent of the controllers to be exposed to an
22 appetite suppressant.

23 And all together, we have 6.5 persons. So
24 if anything, we don't think that we have underestimate
25 the exposure of controls in this study.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I could comment on this later on if
2 needed.

3 So when controlling for all possible
4 confounders, that is obesity, systemic hypertension
5 and all the other risk factors, suspected risk
6 factors, in the study.

7 We found that and exposed you a defined
8 product, and that was mainly for the purpose of --
9 here fenfluramine and Dexfenfluramine was associated to
10 an outreach to a 4.1

11 If you were taking into account the
12 exposure, all part exposure is 22 years before the
13 development of the disease.

14 If you would look at the Moore
15 appropriate-time window, it's recent exposure. We
16 found that the odds ratio was closer to six.

17 For these different products, as I said
18 fenfluramine or -- and also -- agents.

19 Now if we look at the effect of duration
20 of use for these defined products again, we have
21 another issue which is small for the people that used
22 the drug for less than three months, but which clearly
23 increases with duration of use.

24 People had used -- defined anorexigen for
25 more than three months having a odds ratio of 10.6.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 This is the 10.6 that Dr. Faich used in his model as
2 far as I know.

3 Now if you look at compounded
4 preparations, we found that seven cases and zero
5 controls out of the 355 controls had used a compounded
6 preparation, and in one case only where we would go to
7 find a -- of this compounded preparation by obtaining
8 a copy of the prescription.

9 In all the other cases, the doctor refused
10 to give us a copy of the prescription of --. There
11 were liability issues in Europe at the time around the
12 issue of compounded preparation.

13 And in that compounded prescription, we
14 found in the same compounded prescription, there was
15 a prescription for amfepramone, a prescription for
16 fenfluramine, a prescription for two diuretics, three
17 phototherapies and thyroid extracts in the same
18 compounded preparations.

19 So if we do a sensitivity analysis in
20 which we consider that all past exporters, that is the
21 definite products, the possible exposure and the
22 compounded preparations -- or anorexigens, we have in
23 that list eight for all past exposure, and there is no
24 change in the -- index of 2.3. We'll come back to
25 that later.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 And when we look at the duration of use in
2 this sensitivity analysis, we found that people had
3 used anorexigen, an appetite suppressant, considering
4 again, you know, this worse case analysis where all
5 compounded preparations will be actually anorexigens.

6 For less than three months, the odd ration
7 would be 3.5 And for more than three months, the odds
8 ratio would be 23.1.

9 When we go to -- so just in conclusion to
10 this quick presentation, we found a consistency of --
11 between anorexigen use and PPH. There were people who
12 had recent exposure had a higher odds ratio.

13 I didn't show the result, but after
14 discontinuation of the drug for more than a year,
15 those ratios were dropping to non-significant
16 levels.

17 And the longer the duration, the higher
18 the odds ratio, that is the relative risk for the
19 disease.

20 So this was the study confirmed the
21 association between PPH and AIDS and HIV infection.
22 We found -- cases of AIDS which were not included in
23 the study. We found additional -- which was expected
24 and we found the association with anorexigens which
25 was expected also.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We have had new insights on obesity. The
2 odds ratio for obesity for obesity was 2.5
3 approximately and it was significant. And we found an
4 odds ratio of 2.5 approximately for systemic
5 hypertension, which was not significant in the models.

6 And we -- further -- would be the
7 association between IV drug use and PPH and definitely
8 of HIV.

9 So the conclusions of the task force were
10 that the study shows that the use of anorexigens and
11 a BMI greater or equal to 30 are indefinite risk
12 factors for the disease.

13 In addition, it is suggested that treating
14 systemic hypertension is an independent risk factor
15 for the disease, although it did not make a
16 significance in the -- analysis.

17 The magnitude of the association with
18 anorexigen use, the temporality of the association,
19 the relation with the duration of use as well as the
20 consistency of the results with previous observations
21 -- hypothesis of the cause of --.

22 The exact role of the anorexigen and the
23 risk of PPH cannot, however, be definitively
24 established if we talk about the physiological role
25 due to the lack of knowledge of the pathogenic

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 mechanism, the lack of specificity of the effect
2 within the class of anorexigen and the non-exclusive
3 of all potential confounders and the low absolute
4 risk.

5 From this study, the factors of individual
6 susceptibility could not be identified. And also the
7 study -- a role of -- as a risk factor for PPH, the
8 role of obesity and systemic hypertension are
9 important in the observation which may require further
10 investigation.

11 In a substantial number of PPH cases, no
12 risk factors were identified. Thank you very much.

13 CHAIRMAN BONE: Thank you very much,
14 Professor Abenhaim. If we could have the lights up
15 for a moment?

16 Are there questions from the members of
17 the Committee? Dr. Borhani has a question.

18 DR. BORHANI: I may have missed it, but
19 forgive me if I did. What was your definition of case
20 ascertainment for this case controlled study? And
21 also did you test the interaction between the
22 hypertension and the PPH?

23 DR. ABENHAIM: Thank you. So the case
24 ascertainment was done through three stages. We
25 visited the major centers. We visited all the centers

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 actually who participated in the study who reported a
2 case.

3 And we reviewed all the files. And at
4 that occasion, we also looked at all other possible
5 primary pulmonary hypertension that the center might
6 have diagnosed in the last two or three years.

7 And we had an algorithm where we used
8 primary pulmonary hypertension as the first. So this
9 was the control for diagnostic -- for reporting bias.

10 If you wish, I have some data on this here
11 with some slides. And then these forms were sent to
12 the International Review Panel who decided that this
13 was a case or not.

14 And if I can use some more slides, Dr.
15 Bone, I can maybe show -- can I have the lights?

16 Maybe we need some validation studies.
17 And we did some validation studies on the selection
18 information and other bias. So this is what we did.
19 We revisited all major centers. And we reviewed all
20 the pulmonary hypertension cases.

21 And, just to give you some data on this,
22 when the National Reference Center in France, which
23 was the major center, looked at the past exposure to
24 definite -- in cases reported for that center, for
25 which we have a complete ascertainment without

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 equation and all the other French centers, we found
2 that the exposure to anorexigens is quite similar and
3 in the same range.

4 When we look at included cases, the
5 exposure to anorexigen, if we can't hear all past
6 exposure, including after the index data, because we
7 look at the reporting bias when people wouldn't know
8 what the index is, similar proportions in the included
9 and non-included cases, whatever the reason for
10 non-inclusion.

11 And we also did a verification of the
12 selection bias because we think that we have the
13 complete or as complete as possible ascertainment of
14 cases in Belgium. So we applied this to France.

15 And on the basis of the Belgium study we
16 found that we should have had about 108 cases in the
17 French study. We included 64 in France. We find
18 seven others which were identified but not included.

19 The authorities or Servier have five more
20 spontaneous reports of PPS exposed to anorexigens that
21 we had not in our study, which shows that we didn't
22 receive all the exposed cases. So altogether over 2
23 years we might have missed about 32 cases. That is 16
24 cases per year, which is probably not a very big
25 number. If this was applied to our other ratio of 10

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 or 20, I don't think it would change a lot, even if
2 all those cases were not exposed.

3 Now, for the question of interaction, we
4 did test the interaction between anorexigens and
5 obesity and found that it was statistically
6 significant, although it was certainly present. And,
7 as you know, with small numbers like this, it's very
8 rare that interaction would be statistically
9 significant, but it was certainly present.

10 We tested it with hypertension, and we
11 didn't find any interaction there. And it's very
12 unlikely that it would be present.

13 DR. BORHANI: Thank you.

14 CHAIRMAN BONE: Thank you.

15 One of the questions or one of the
16 comments that was made as you went along had to do
17 with the increase in risk with duration of exposure.
18 And obviously it's a question of considerable
19 interest.

20 After three months, does the risk continue
21 to increase with duration of exposure? And is there
22 a point at which the risk does not appear to increase
23 further with additional exposure?

24 DR. ABENHAIM: Sorry for the quality of
25 the transparency. I have heard that I would be asked

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 this question only recently, and I was in Europe.

2 If we look at all appetite suppressants in
3 France and Belgium, where actually most of the
4 exposure is, this counts the definite products and
5 also the compounded preparations and also the possible
6 exposure in order to have some statistical, if you
7 wish, power here. So I don't know to what extent this
8 would apply exactly to the FD/F, although I have a
9 slide on this if you want.

10 What you find is that for less than 3
11 months, we had 13 percent of cases and 6 percent
12 approximately of the control. That is, if you would
13 apply it to another ratio, it would be around, let's
14 say, 2. or 3.

15 For 3 to 12 months of use, we had 6.5
16 percent of exposure in cases and 1.4 percent of
17 exposure in controls. So that would give you a ratio
18 of about 10 or 12. Of course, this is small numbers.
19 And I think we have to be very careful when
20 interpreting those small numbers, as you can see.

21 And for more than 12 months, we had 15
22 percent of the cases and less than one percent of the
23 control. That is another ratio. That would be
24 probably around 20 to 30. So yes, it does increase
25 until up to a year.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 After that I don't have the data here
2 obviously. The numbers are very small. And I think
3 we should be very careful in interpreting these
4 results. But we do have the impression that there is
5 a dose-response relationship in that. But now it's
6 very difficult to come to an absolute risk measure
7 with those numbers.

8 CHAIRMAN BONE: So it looks like there are
9 two issues here. One is that the period of use seems
10 to have been shorter in the controls.

11 DR. ABENHAIM: Obviously, yes. I think in
12 the population there are very few people, probably
13 around one percent of French and Belgium, cases and
14 controls that would use the drug for more than a year.
15 Very few people would use it for more than a year.

16 At this stage we estimated in the study
17 that in France and Belgium altogether no more than
18 200,000 people had used the drug for more than a year
19 consecutively. So all the reports and cases have to
20 be reported to this effort and not to millions of
21 individuals.

22 CHAIRMAN BONE: Thank you very much.

23 Other questions or comments from the
24 Committee members?

25 (No response.)

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: If not, we'll go ahead
2 with Professor Rich's presentation. This is on
3 clinical issues of the IPPH study. Professor Rich is
4 the Chief of Cardiology at the University of Illinois,
5 Chicago.

6 DR. RICH: Thank you very much.

7 What I would like to accomplish this
8 morning very briefly is give you a quick clinical
9 overview of PPH. It's an extraordinarily rare
10 disease. It is possible that there are less than a
11 handful of physicians in this room who have ever seen
12 a case, let alone treated it.

13 It is the subject of a lot of mythology of
14 medicine in terms of who gets it, how sick they are,
15 how you can treat it. And I think that's very
16 relevant to the issue at hand.

17 And then I'll finish by trying to at least
18 tell you what the current wisdom is about how it's
19 related to drug use or risk exposure.

20 PPH is a very rare disease. In 1981 the
21 NIH funded a national registry through 32 centers in
22 the United States, which enrolled 194 cases. And the
23 estimated incidence from the recent study in Europe is
24 approximately one or two per million.

25 In terms of the mechanisms of PPH, there

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 are three mechanisms that are probably playing
2 relative degrees in any individual, one being that
3 there is obliteration of the pulmonary vascular bed
4 due to several intimal proliferations. There is
5 pulmonary arterio or vasoconstriction due to smooth
6 muscle cell hypertrophy. And there is thrombosis in
7 situ of the pulmonary arterioles.

8 Way back 20-some years ago one of the
9 ideologies was thought to be recurrent micro embolism.
10 There is no human disease of recurrent micro embolism
11 to the lung.

12 This is a postmortem histology slide of a
13 patient who died with PPH. The lumen really begins
14 here. This is the inner-elastic lamina. What you see
15 is severe concentric lamina in normal fibrosis,
16 virtual obliteration of the lumen.

17 So it's easy to understand how pulmonary
18 resistance would go up with the lumen now this small
19 and how the marked medial hypertrophy could also be
20 contributing to vasoconstriction.

21 Similarly, this is another arteriole of a
22 patient with PPH where we see what's referred to as a
23 colander lesion. This again is the inner-elastic
24 lamina. And what you see is recanalization of
25 thrombosis at the arteriolar level. Again, easy to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 understand how obliteration of the pulmonary vascular
2 bed can lead to pulmonary hypertension.

3 The link between this now is felt to be
4 understood that the common bond is injury to the
5 pulmonary vascular endothelium. This cartoon shows in
6 the normal state the endothelium makes the pulmonary
7 arteriole to be in a relatively relaxed state of
8 increased tone and is anti-coagulant in properties.

9 When the endothelium is injured by any
10 number of mechanisms, you develop contraction or
11 vasoconstriction locally. And you develop a local
12 pro-coagulant state. Hence, this would be the
13 explanation for the medial hypertrophy,
14 vasoconstriction, intimal proliferation, and
15 thrombosis that has now been confirmed to be part of
16 the etiology of primary pulmonary hypertension.

17 There are some clinical data also to
18 support these hypotheses as well. This is a study
19 from Vanderbilt, where they looked at urinary
20 metabolite ratios of thromboxane to prostacyclin,
21 prostacyclin being the locally produced vasodilator in
22 the pulmonary vascular bed, thromboxane release a
23 vasoconstrictor.

24 Patients with primary pulmonary
25 hypertension had elevated ratios compared to normal

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 controls and patients with obstructive lung disease,
2 suggesting either over-production of thromboxane or,
3 what we feel is more likely, under-production of
4 prostacyclin is present in patients with PPH.

5 Similarly, in a study that we did
6 measuring FPA level, which stands for fibrinial
7 peptide A, which is a peptide that is released when
8 fibrinogens convert to fibrin, an in vivo marker, if
9 you will, of thrombosis, patients with PPH had
10 extremely elevated levels, normal being less than five
11 nanograms. Some were of the highest ever reported in
12 man.

13 Ever given 5,000 units of intravenous
14 heparin and remeasured at 15 and 16 minutes later, we
15 were able to block the thrombotic process, which not
16 only supports the notion that thrombosis is actively
17 occurring but that anticoagulants have the potential
18 to be protective.

19 Well, in terms of clinical characteristics
20 of PPH, it has been pretty well-defined now. The data
21 I'm showing you is from the NIH registry. Mean age is
22 34.4 years. There is a female to male predominance.
23 And the registry was approximately two to one
24 depending on smaller series. Sometimes the female
25 predominance is higher.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 An important feature is that the patients
2 are very, very sick at the time that they're diagnosed
3 in the PPH registry. Seventy percent were New York
4 Heart Association Functional Classes III or IV when
5 they presented to the reporting centers.

6 One of the major frustrations is that the
7 initial presentation of PPH is typically the symptom
8 of dyspnea, of which eventually every patient has.
9 The reason I say that it's frustrating is because
10 dyspnea is a symptom of normal life.

11 If you've ever tried to run in a marathon,
12 you have been dyspneic, even though you don't have any
13 cardiopulmonary disease. And so, as you can imagine,
14 an otherwise healthy appearing young individual who
15 comes to a physician complaining of the symptom of
16 dyspnea might not be pursued for the underlying cause
17 of PPH, an extraordinarily rare disease.

18 Consequently, at least in the NIH
19 registry, the typical time interval from the onset of
20 the first symptom until diagnosis was over three
21 years.

22 There are a lot of causes of pulmonary
23 hypertension: heart disease, lung disease. I'm not
24 going to go into all of the individual subsets here.
25 But, by and large, the notion has been that primary

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 pulmonary hypertension is a diagnosis of exclusion.

2 The strategy has been to look for any
3 possible secondary cause because the most successful
4 treatment may, in fact, be attacking the secondary
5 cause. If there is no secondary cause, we are left
6 with PPH. And then we will try to do the best we can
7 in dealing with that disease.

8 The workup that was established in the NIH
9 registry that has been very successful is kind of
10 outlined briefly here. Maybe I can have that focused
11 a little better.

12 The point I want to make is that, first of
13 all, in the NIH registry when patients were included
14 because they had a thorough workup, we did not have
15 any patient who on postmortem or open lung biopsy had
16 any disease other than PPH, suggesting that you can
17 make an accurate clinical diagnosis with clinical
18 testing. It requires you to be fairly rigorous and to
19 go through a thorough exam in any patient with
20 suspected disease.

21 PPH is a devastating illness, if you don't
22 already know that. Again, this is NIH registry data.
23 Mean survival of the patient in the registry was 2.8
24 years, which makes it a worse disease than AIDS and a
25 worse disease than most cancers.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 The survival was related to severity of
2 illness. If we look at Heart Association functional
3 class, those who were Class II or III had a mean
4 survival of a little over three years. Those who were
5 Class IV had a mean survival of less than six months.

6 Similarly, if we look at hemodynamic
7 correlates of severity, pulmonary vascular resistance,
8 those whose pulmonary vascular resistance was greater
9 than 15 units had a dismal prognosis compared to those
10 whose resistance was less than 15 units.

11 Although it's not really known for sure,
12 the case fatality ratio in PPH, at least as occurred
13 in the area of the NIH registry, approached 100
14 percent.

15 The commonly used treatments for PPH are
16 summarized very briefly here: digitalis because they
17 present with right heart failure. Digitalis is a
18 time-honored treatment of left heart failure. The
19 extrapolation is that the right ventricle may respond
20 as well. There are no data control trials suggesting
21 that it is helpful or harmful.

22 The same logic applies to diuretics. They
23 have severe venous congestion. They feel better on
24 diuretics. There are no control trials telling that
25 these patients are better off.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I'm going to very briefly focus on
2 vasodilators, which has come under a lot of attention.
3 I'm not going to focus on anticoagulants other than to
4 tell you that in one retrospective study and one
5 uncontrolled prospective study use of anticoagulants
6 has been associated with improved survival, suggesting
7 that the etiologic mechanism of thrombosis in situ is
8 lessened somewhat to the benefit of the patient.

9 This is data from the University of
10 Illinois. It's fairly old, but it relates to the
11 point. There is a notion that the treatment of PPH is
12 vasodilators, namely the calcium channel blockers.
13 This came from our experience, which started in 1985,
14 of using calcium blockers in patients with PPH with
15 some success.

16 This is a plot of pulmonary vascular
17 resistance in units. For those unfamiliar, normal is
18 less than two units: the PVR value at baseline after
19 24 hours of drug initiation and then at years one, 3
20 and 5. And, as you can see, the calcium blockers seem
21 to have a fairly dramatic effect in lowering pulmonary
22 vascular resistance that was fairly well-sustained
23 over five years.

24 I want to point out that this patient was
25 the patient who did not take the calcium blockers at

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the prescribed dose. Using nifedipine as an example,
2 the recommended dose ranges in clinical medicine are
3 between 30 and 90 milligrams a day. The doses that
4 were required to achieve this hemodynamic benefit in
5 these patients was 240 to 480 milligrams a day, a
6 dramatically high dose.

7 This woman, who was reduced by her
8 referring physician back to a lower dose, was alive at
9 year three but did not have the sustained benefit of
10 the high dose of calcium blocker.

11 The other point to tell you is that in
12 1985 only about one in five patients referred to us
13 were able to demonstrate this magnitude of response,
14 which we really felt was related to the fact that we
15 were seeing them relatively early in the course of the
16 disease.

17 In 1994 our success rate in high-dose
18 calcium blockers went from 20 percent down to one
19 percent. We are looking into this, but it suggests
20 what's happening is that in the community physicians
21 heard that calcium blockers are helpful, are
22 prescribing low-dose calcium blockers, probably
23 somewhat slowing the progression of the disease, but
24 not causing the marked type of reversal that we had
25 seen. And now we are seeing patients sicker later on

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 in the course of the disease.

2 This is a non-randomized prospective look
3 at survival influence of high-dose calcium blockers in
4 patients with PPH. R stands for responders. We had
5 95 percent survival after 5 years, compared to
6 non-responders, the NIH registry data, and University
7 of Illinois patients within the registry who had a 36
8 percent survival after 5 years. Although it was a
9 non-randomized study, the difference I think was
10 striking enough to allow us to publish that this
11 probably had a beneficial effect on survival.

12 So the good thing about calcium blockers
13 is that they may cause substantial reductions in
14 pulmonary pressure and pulmonary vascular resistance
15 associated with regression of right ventricular
16 hypertrophy. They improve lifestyle and survival when
17 administered appropriately. This caution is that we
18 are finding out they are rarely used inappropriately
19 outside of select centers in the United States.

20 The bad thing about the calcium blockers
21 is that they have a marked ability to reduce right
22 ventricular failure and death. They may worsen
23 systemic oxygenation and in suboptimal doses did not
24 appear to improve survival. Consequently, treatment
25 requires great familiarity and expertise.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Seven days ago the FDA finally gave formal
2 approval to the release of epoprostenol sodium, or
3 prostacyclin, as a treatment of primary pulmonary
4 hypertension in the United States. I want to,
5 therefore, review very briefly the data about
6 epoprostenol, or prostacyclin as I will refer to it.

7 It is a naturally occurring vasodilator.
8 It has direct effects on pulmonary and systemic
9 arteriole beds. It also has anti-thrombotic
10 properties through its inhibition of complete
11 aggregation and has been used in Europe and Australia
12 as a heparin substitute for patients on dialysis.
13 Intuitively vasodilator, anti-thrombotic properties
14 seem that they may be beneficial in patients with PPH.

15 This is prospective, randomized,
16 controlled hemodynamic data after approximately 12
17 weeks of use of prostacyclin showing that what the
18 drug did hemodynamically was increase cardiac output,
19 reduce mean pulmonary pressure, reduce pulmonary vasc
20 resistance, and reduce right arteriolar pressure in
21 the treated patients. And this was significant.

22 One of the major endpoints of the study
23 was improvement in exercise tolerance using a
24 six-minute walk time. In the patients who were
25 receiving prostacyclin, there was a 15 percent

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 increase in walk time after 12 weeks compared to a 23
2 percent reduction in those kept on conventional
3 therapy.

4 Survival was not expected to be a major
5 endpoint, but the results were somewhat striking. In
6 the patients who received conventional therapy, in a
7 12-week period of time, there was a 20 percent
8 mortality associated with it. Two patients were
9 transplanted. Of the people randomized prostacyclin,
10 we saw no mortality. And we had one patient refer to
11 transplantation.

12 Consequently, the FDA has recommended
13 approval for prostacyclin and felt that the data is
14 warranted to state that it improves quality of life,
15 it provides hemodynamic stability and protects against
16 right ventricular failure, that it improves survival.

17 The down side of prostacyclin is, first,
18 it is very expensive. Although we do not know the
19 current pricing of the drug in the U.S., the best
20 estimates are that it will probably cost initially
21 about \$5,000 per month per patient.

22 Secondly, tolerance develops for the drug
23 so that over time you have to titrate the dose of the
24 drug upward, which is going to increase the cost.
25 More problematic, it requires great diligence from the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 physician in order to manage the patient correctly.

2 It is a complex delivery system. It is
3 not an oral drug. It can only be given intravenously.
4 And so we have to put in a permanent intravenous
5 catheter, have an ambulatory infusion pump system where
6 the patient is trained. The patient has to mix the
7 drug every day in sterile technique.

8 Consequently, it requires a chronic risk
9 of infection and sepsis from catheter site and a risk
10 of thrombosis or stroke if they have right to left
11 shunting through a foramen or valve.

12 The court of last resort has always been
13 felt to be transplantation. The current wisdom is
14 that you need heart-lung transplantation, obviously
15 the lung because of the pulmonary vascular involvement
16 and the heart because there is heart failure.

17 This is really not true. State of the art
18 today is to do bilateral lung transplantation. You
19 can induce right ventricular hypertrophy regression in
20 these patients.

21 This is one quick survival slide of the
22 success of pulmonary transplant at the present time.
23 I will tell you that patients with pulmonary
24 hypertension have somewhat of an intermediate survival
25 and that at five years a typical survival for these

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 patients is about 40 to 45 percent.

2 The good thing about transplant is that it
3 returns them to a normal activity. And it improves
4 survival in the patients with advanced pulmonary
5 hypertension, particularly Functional Class IV, whose
6 projected survival would be six months or less.
7 However, it also is expensive.

8 There is a high incidence of chronic
9 rejection, which is a major reason why these people
10 die at four to five years. And it also requires
11 constant medical treatment in serial cardiopulmonary
12 testing.

13 I want to shift now very quickly a little
14 bit to see if I can tie in this concept about some
15 risk factor causing PPH and how these things may be
16 linked.

17 Again, you've seen this data over and over
18 again about Aminorex. The point I want to make here
19 is that there seems to be some predisposition required
20 because only one in 1,000 or less patients who receive
21 the drug develop the disease. Histologically it was
22 identical to what we call PPH.

23 Interestingly enough, although there were
24 no prospective studies done, survival appeared to be
25 better than in PPH, mainly that if the drug was

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 withdrawn perhaps you would do fine. But when these
2 patients were looked at, although some patients
3 recovered, some patients continued to deteriorate.

4 Now, from the IPPHS study, we have now
5 seen that anorexigens, systemic hypertension, and
6 obesity all appear to be risk factors for the
7 development of PPH. And all they all probably appear
8 to require some genetic predisposition because
9 obviously the majority of the people with these risk
10 factors do not develop PPH. There is a genetic basis
11 for PPH. In the NIH registry, six percent of the
12 cases reported had a documented positive family
13 history.

14 It's an autosomal dominant gene. There's
15 vertical transmission. There's incomplete penetrance,
16 which means it does not express itself in every
17 generation.

18 It has an unusual feature, which is
19 referred to as genetic anticipation, which means that
20 offspring get it at a younger age, so grandma at age
21 60, mom at age 40, the child at age 20. That,
22 however, suggests a certain type of defect, which is
23 referred to as trinucleotide repeat expansion. The
24 current thinking is that PPH is probably polygenic in
25 nature.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 So that with respect to predisposition in
2 PPH, some patients have complex genetic abnormalities
3 resulting in spontaneous pulmonary hypertension, which
4 we call familial PPH.

5 Some patients have genetic abnormalities
6 that in the presence of a risk factor results in the
7 expression of pulmonary hypertension, which we call
8 the usual PPH. And it is possible that even some
9 patients are genetically protected against developing
10 pulmonary hypertension, even when exposed to a
11 multitude of risk factors.

12 Now, one of the things that we looked at
13 a result of the IPPHS study, namely that there are a
14 multitude of risk factors that you can link to PPH, is
15 I have summarized a list of things that appeared in
16 the literature to be associated with the development
17 of PPH: systemic hypertension. Anything that
18 elevates wedge pressure or elevated left heart
19 pressure can produce systemic pulmonary hypertension;
20 obesity itself; anorexigens; thorosis or portal
21 hypertension; congenital systemic pulmonary shunts;
22 anything that increases pulmonary blood flow by other
23 mechanisms; HIV infection; collagen vascular disease;
24 hemoglobinopathies such that it now appears that,
25 rather than PPH really being a disease of exclusion,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 it actually may be a disease that is triggered by some
2 risk factor to the expression of pulmonary
3 hypertension.

4 So, in summary, then, to tie all of this
5 together, we think that PPH represents a disease
6 manifest by a hypertensive pulmonary arteriopathy. In
7 most cases of PPH, there is a risk factor or trigger
8 that can be identified. And the development of PPH is
9 related to the degree of susceptibility and the
10 exposure to the risk factor.

11 Thank you very much.

12 CHAIRMAN BONE:

13 Thank you very much, Dr. Rich. I'm sure
14 there are some questions from the panelists. Dr.
15 Borhani has a question.

16 DR. BORHANI: Thank you very much.

17 In view of what you just said and also in
18 view of the fact that newly approved drug prostacyclin
19 is so effective and in view of the fact that you have
20 identified so eloquently the pathogenesis for this
21 disease as being injury to the surface of endothelium,
22 first I would like to ask you: Would you agree to add
23 cigarette smoking among your risk factors?

24 And, secondly, in that the PPH study was
25 any attempt to separate smokers from nonsmokers and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 also in terms of the drug labeling, whether one of the
2 recommendations of the company that recommends this
3 drug will be that patients who are going to be put on
4 this drug, they must stop smoking?

5 DR. RICH: Well, all I can say is that in
6 the NIH registry, the frequency of cigarette smoking
7 was no different than the population at large. In
8 IPPHS we looked at cigarette smoking and could not
9 identify it also as a risk factor for the development
10 of PPH.

11 So, in all honesty, we cannot make the
12 recommendation based on any scientific data that
13 cigarette smoking is causative, contributory for, or
14 aggravating PPH.

15 DR. BORHANI: So that means that brings
16 into question, then, the validity of the hypothesis of
17 the injury to the surface of endothelium because, as
18 far as I know, cigarette smoking is just as powerful
19 as LDL cholesterol to injure endothelium of the
20 artery.

21 DR. RICH: Well, I don't know of any data
22 showing that cigarette smoke injures the pulmonary
23 vascular endothelium. And there may be a lot of
24 differences in circulatory beds. Clearly we know
25 there are a lot of physiologic differences. So it may

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 be more selective for systemic arteriole beds. And
2 maybe the pulmonary vasc bed is relatively immune to
3 it.

4 CHAIRMAN BONE: Dr. Rich, do you think
5 there is a lot body of subclinical cases; in other
6 words, patients who have insufficiently severed
7 disease to have reached a diagnosis?

8 DR. RICH: Well, as I say, the registry
9 documented a three-year delay from the onset of
10 symptoms until the patient referred to the university
11 center. And it is almost the rule, rather than the
12 exception, that the patient typically is misdiagnosed
13 for a long period of time.

14 The typical scenario, in fact, is an
15 overweight young woman, who is told, "You've
16 overweight. Lose weight, and come back and see me in
17 six months," comes back in six months and says, "Well,
18 you're six months older. And people get older, and
19 they get tired."

20 We've often got referrals from
21 psychiatrists because the patient is depressed because
22 they're so limited in their activity. And their
23 doctor tells them, "It's in your head." They see a
24 psychiatrist, who says, "There's nothing wrong with
25 this person other than pulmonary vascular disease."

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 So it's an experience in frustration. I
2 think it's because it's such a rare disease and
3 physicians rarely think of it in their differential
4 diagnosis that they'll do a casual test, like a chest
5 X-ray or an EKG, which are relatively insensitive
6 screening tests, and then eliminate that there is a
7 real pathologic component here.

8 CHAIRMAN BONE: Dr. Sherwin?

9 DR. SHERWIN: Knowing the pharmacology of
10 this drug, could you speculate as to how this might be
11 linked? And, you know, is serotonin theoretically
12 involved in --

13 DR. RICH: Well, the only thing I can say
14 comfortably is I do not believe that either Aminorex
15 or specifically more fenfluramine or dexfenfluramine
16 are pulmonary vasoconstrictors. So I would eliminate
17 the notion --

18 DR. SHERWIN: Yes, I know.

19 DR. RICH: -- that that's the mechanism
20 that is happening. Nor does it appear that they are
21 pro-coagulant drugs and it causes thrombosis.

22 It is more likely that either the drug or
23 a metabolite or serotonin will cause chronic injury to
24 the pulmonary vascular bed in susceptible individuals.

25 CHAIRMAN BONE: Are there any further

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 comments or questions from the Committee on this? Dr.
2 Illingworth?

3 DR. ILLINGWORTH: Just one question out of
4 interest. Is there a risk increase to patients with
5 carcinoid syndrome?

6 DR. RICH: With carcinoid?

7 DR. ILLINGWORTH: Yes.

8 DR. RICH: No, there has not been an
9 association. But, again, published cases of carcinoid
10 are few. The susceptibility we believe in terms of
11 genetic susceptibility is uncommon. And so I can't
12 discount that that could ever be related.

13 CHAIRMAN BONE: Was there a specific
14 comment regarding this mechanism question? No?

15 DR. COOPER: No. I think there may be
16 some other hypotheses we could still generate, but I'm
17 not going to go into it.

18 CHAIRMAN BONE: Okay. Thank you.

19 All right. Thank you very much, Dr. Rich,
20 appreciate your and Dr. Abenhaim's presentations.

21 We're turning now to the discussion on the
22 neuropharmacology and neurotoxicity issues. The next
23 presentation will be on neurotoxicity and efficacy of
24 fenfluramine. Professor Lewis Seiden from the
25 University of Chicago will be our next speaker.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. SEIDEN: Well, let me see if I can
2 disagree without being too disagreeable. The purpose
3 of this presentation is to review the neurotoxicity of
4 fenfluramine in animals, its potential neurotoxicity
5 in humans, and the efficacy of the drug for weight
6 reduction in humans.

7 On the basis of the data that I will
8 review, I have reached the conclusions that the risks
9 of fenfluramine are high, its potentials as a
10 beneficial appetite suppression are low, and
11 fenfluramine should be used and presented with the
12 greatest caution, if at all.

13 Furthermore, in light of the fact that
14 fenfluramine is known to damage brain serotonin
15 neurons in animals and thereby reduce brain serotonin
16 levels for prolonged periods of time, even after the
17 drug is discontinued, I have reached the conclusion
18 that controlled studies are indicated in patients
19 treated with anorectic doses of fenfluramine. That
20 will be discussed further below.

21 These studies include comprehensive
22 evaluations of physiological, psychological, and
23 neurological functions known to involve brain
24 serotonin systems.

25 Since the early observations of Harvey,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 McMaster, and Klineschmidt and his colleagues,
2 numerous investigators have presented data consistent
3 with the conclusion that fenfluramine is toxic to 5-HT
4 terminal in the brain.

5 Collectively these studies demonstrate
6 that fenfluramine has the potential to produce
7 long-lasting reductions in the number of 5-HT axonal
8 markers, including 5-HT sub; 5-HIAA; long-lasting loss
9 of uptake sites; long-lasting loss of tryptophan
10 hydroxylase; changes in morphological data, which will
11 be addressed by Dr. Molliver; and resistant tolerance.

12 As Dr. Molliver will discuss in his
13 presentation, he and his colleagues have collected
14 compelling evidence that long-lasting losses of 5-HT
15 axon markers by the administration of fenfluramine are
16 due to destruction of 5-HT axon terminals. That's the
17 terminals there.

18 These conclusions have derived further
19 supports from research by Wesphalen and Dodd. These
20 investigators evaluated two possible reasons for the
21 decrease in serotonin uptake besides what you see
22 under Vmax. Either the serotonin terminals themselves
23 are destroyed or uptake sites are lost from otherwise
24 intact terminals. They evaluated these possibilities
25 by using EDEQ, which is an ethylating agent which

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 temporarily blocks the serotonin uptake sites while
2 leaving the serotonin terminals intact.

3 They noted that the effects of EDEQ and
4 serotonin uptake differed from those of fenfluramine.
5 In particular, following treatment with fenfluramine,
6 there was a direct linear long-lasting relationship
7 between percent of uptake site lost and the ability of
8 the neuron to take up serotonin.

9 In contrast, following EDEQ, as the uptake
10 sites became blocked, the neurons there were able to
11 take up serotonin, in spite of the fact that only 66
12 percent of the serotonin uptake sites were there.
13 This indicates to them and to me that with regard to
14 the disappearance of the entire nerve ending in red is
15 caused by fenfluramine.

16 Notably, evidence of fenfluramine-induced
17 5-HT neurotoxicity has been obtained in numerous
18 species, including rats; guinea pigs; rhesus monkeys;
19 mice; squirrel monkeys; and, as I will review below,
20 in baboons.

21 Since the neurotoxic response occurs in so
22 many mammalian species, to my knowledge, none seems to
23 be protected. The logical inference of concern is
24 that humans will be similarly affected.

25 As of note is the fact that in both

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 rodents and non-human primates, the dose of
2 fenfluramine required to engender 5-HT neurotoxicity
3 is close to the dose of fenfluramine required to
4 suppress food intake by 50 percent.

5 For instance, the ED₅₀ for the suppression
6 of food intake in rats is five milligrams per
7 kilogram. And one sees neurotoxicity at 6.25 and at
8 12.5 milligrams per kilogram. Thus, as noted by
9 Schuster and Johanson, fenfluramine also produced a
10 long-lasting completion of serotonin in the striatum,
11 the hippocampus, and the rest of the brain, the dose
12 of 6.25 milligrams per kilogram, only 1.25 times the
13 ED₅₀ for anorexia.

14 In the case of other anorexics, the
15 minimal dose necessary to produce prolonged
16 neurochemical effects varied from 10 to 40 times the
17 ED₅₀. In other words, it does appear that
18 fenfluramine is a significantly more toxic drug than
19 the other anoregs tested.

20 Further, Brinly has found in rats doses of
21 2.5 for 5 milligrams per kilogram of fenfluramine were
22 necessary to change various factors, such as food
23 intake, glucose metabolism, triglycerides, and blood
24 cholesterol.

25 Again, the dose that was efficacious for

1 their study is the neurotoxic dose in rats. A narrow
2 margin between the efficacious toxic dose of
3 fenfluramine is also evident in nonhuman primates.

4 The ED₅₀ for suppression of food intake in
5 baboons is two milligrams per kilogram. In the same
6 species, five milligrams of fenfluramine caused a
7 decreased and marked completion of regional 5-HT
8 markers, showing the state.

9 Next slide. These slides were -- you can
10 see the controls above, which have a bright
11 fluorescence for this 5-HT again. And these baboons
12 treated with fenfluramine showed a markedly reduced
13 histofluorescence. These samples were done two to
14 eight weeks after the cessation of fenfluramine.

15 It has also been observed that with
16 methamphetamine, a structurally related amphetamine
17 analog, when animals are treated for longer durations,
18 toxicity can occur with even lower doses of the drug.
19 And if this proves to be the case with fenfluramines,
20 then the duration of administrations becomes an
21 important variable since overweight humans using
22 fenfluramine often continue taking the medications for
23 periods of one year.

24 Of note, fenfluramine produces 5-HT
25 neurotoxic changes which in some brain regions seem

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 irreversible. In this study, by Latig and his
2 colleagues, you could see that in the levels of the
3 uptake sites, they're still markedly reduced, even 32
4 weeks after the drug, although some of them appear to
5 be coming back.

6 This is the hippocampus, where the 5-HT
7 levels go up and then come back down after 32 weeks.
8 And the metabolized levels are way down, and the
9 uptake sites are way down. So there is a prolonged
10 effect, even 32 weeks after the drug is discontinued.

11 Maghian and colleagues used the d-isomer
12 of fenfluramine, d-fenfluramine, have found the loss
13 of 5-HT axon markers induced by fenfluramine in the
14 monkey brain lasted for at least 14 to 17 months
15 beyond a short 4-day period of drug administration.

16 This has been shown both neurochemically
17 and, as shown in the next slide,
18 immunohistochemically. It's the same with the frontal
19 cortex, the control. This is two weeks for the neuron
20 state, where serotonin were wiped out. And this is
21 14 months later.

22 Thus the notion that 5-HT neurotoxicity
23 induced with fenfluramine is always reversible is not
24 substantiated by the available data.

25 It's important to note that Lovett and his

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 colleagues demonstrated that d-fenfluramine is just
2 as, if not more, potent than the dL-fenfluramine in
3 causing 5-HT neurotoxicity in rats.

4 This is a study that was done giving the
5 dosing patterns for four days and waiting a period of
6 at least two weeks before checking the animals. And
7 one can see if one just focuses on why that the -- oh,
8 boy -- d-isomer, as indicated by the circles, the
9 dose-response curve, you gain more potency from the
10 d-isomers than you do from either the dL mixture or
11 the L-isomer.

12 Indeed Ricaurte and his colleagues have
13 shown that a dose of 1.25 milligrams per kilogram of
14 d-fenfluramine given twice daily for 4 days can
15 produce 50 to 60 percent completion of monkey brain
16 5-HT axonal markers after 2 weeks. This I would
17 consider to be a rather low dose.

18 Can I have the slide off for a minute?
19 The portion of toxicity is going to have to get
20 considered in the advent of efficacy. We can tell
21 from animals studies that the margin between the toxic
22 and efficacious dose of fenfluramine is low. Unless
23 there are overriding differences between humans and
24 animals, one can logically expect the same situation
25 to be obtained in humans.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 The human data submitted is a summary with
2 the applications. It shows very small reductions of
3 weight, 2 to 5 percent, even with the high dose of 60
4 milligrams bid. I refer you to Table 7 in the handout
5 that I received. And I assume most people received
6 the same handout. This was given to humans for a
7 period of 12 weeks.

8 Furthermore, the weight loss is gained
9 when they stop the drugs. Even with the 60-milligram
10 bid dose and assuming that the data reached
11 statistical significance, which under some analysis it
12 does not, the weight reduction over a long period of
13 time was only 2 to 5 percent above placebo controls,
14 or approximately 2 to 4 kilograms, after 3 months on
15 60 milligrams twice a day of d-fenfluramine

16 Table 14 in that same handout summarizes
17 results from 16 clinical trials. Although all
18 reported a significant weight reduction over controls,
19 the range of fenfluramine-induced weight loss was from
20 1.9 to 5.8 kilograms, while the placebo weight changes
21 ranged from 1.6, a loss of 6.1 kilograms.

22 In many cases use of placebo and a
23 weight-reducing diet seemed very efficacious, with
24 fenfluramine adding only a small additional weight
25 loss. Taken together, it seems clear that when one

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 considers the risk of fenfluramine-induced brain 5-HT
2 damage, along with the efficacy, the application for
3 use of d-fenfluramine should not be approved.

4 The summary is already up there. Of all
5 the species examined, fenfluramine's neurotoxic and
6 average dose are not far apart. The toxic dose
7 effects of fenfluramine and 5-HT neurons are
8 long-lasting, possibly permanent, up to 17 months in
9 monkeys. In humans fenfluramine's effects on weight
10 reduction are significant but small.

11 In view of the minimal efficacy of
12 fenfluramine as a weight-reducing drug and risks of
13 5-HT neuronal damage in humans, particularly those
14 whose use of fenfluramine may extend for prolonged
15 periods of time, the application to market this should
16 not be approved at this time. To do otherwise, one
17 has to ignore toxicity data and the efficacy data.

18 This view is based on the evidence
19 available to me at this time, but the record may
20 otherwise reply. In the face of logic, it seems that
21 we may make logical and scientific different judgments
22 in the face of the data.

23 It could be argued that this application
24 should not be reconsidered under any circumstances.
25 If it were to be reconsidered, additional research

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 would be essential. In particular, preclinical and
2 clinical studies should include toxicology studies in
3 baboons identifying a non-neurotoxic but anorexic
4 dose, a long-term double-blind study in humans, in
5 which d-fenfluramine is tested for toxic physiological
6 and neuropsychological effects.

7 Neuropsychological tests should include
8 those directed at behavioral spheres in which
9 serotonin has been implicated: depression, anxiety,
10 impulsivity, cognitive functions, changes in
11 aggression, depression, sexual function,
12 neuroendocrine function, and sleep.

13 Biological measures in the study should
14 include cerebral spinal measures, bioamine metabolites
15 in PET studies as a 5-HT transporter, use the
16 radioligand that has previously been validated in
17 nonhuman primates.

18 The study should be multi-centered,
19 designed by a team of investigators. Absence of more
20 systematically obtained toxicological data in humans
21 makes an informed decision of the safety of
22 d-fenfluramine in humans very, very difficult.

23 CHAIRMAN BONE: Thank you, Professor
24 Seiden.

25 I think what we'll do is perhaps we'll

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 proceed with Dr. Molliver's presentation and then have
2 a combined question and discussion period. The next
3 speaker is Professor Mark Molliver from Johns Hopkins
4 University to discuss neurotoxicity with fenfluramine.

5 DR. MOLLIVER: Thank you very much. I'm
6 very pleased to speak to this distinguished group
7 today.

8 My laboratory has been involved for a
9 number of years studying the mechanisms of
10 drug-induced neurotoxic effects in the brain,
11 particularly those associated with amphetamine
12 derivatives. We are not involved in clinical trials,
13 preclinical trials, or production or sale of any
14 particular drug.

15 Most of our studies have focused on other
16 amphetamine derivatives, but we have done a few
17 studies on dexfenfluramine sufficient to lead us to
18 conclude that it has identical neurotoxic effects to
19 the other amphetamine derivatives. And I would like
20 to show you some of the data to suggest that.

21 This first slide shows, as Dr. Moore
22 eloquently showed you earlier, the serotonin nuclei in
23 the brain stem which give rise to the majority of
24 serotonin projections in the forebrain.

25 Here at higher magnification in the rat,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 there were two such nuclei: the dorsal RAPHE -- this
2 is stained with an antibody to serotonin -- and a
3 second nucleus, the median RAPHE nucleus. These
4 together give rise to almost all of the ascending
5 projections to the cerebral cortex, striatum, and
6 hypothalamus.

7 They form an extremely dense projection in
8 the cerebral cortex. As you can see in this first
9 slide, stained with an anti-serotonin antibody, if we
10 can get this to focus and stay there for us, these
11 golden brown fibers are serotonergic axons in the
12 frontal cerebral cortex of the rat, indicating a high
13 density of these neural fibers throughout the cerebral
14 cortex.

15 These axons are a mixture of those arising
16 from the dorsal RAPHE and the median RAPHE, but
17 they're predominantly of dorsal RAPHE origin.

18 What we found several years ago was that
19 there are two types of serotonergic axons in the
20 forebrain: those that have minute varicosities, or
21 beads, along them which go over the sites of serotonin
22 release; and those with somewhat larger varicosities.
23 It's these larger ones that arise from the median
24 RAPHE and the small ones from the dorsal RAPHE.

25 I trouble you with this seeming detail

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 because we have subsequently showed that there is
2 selective vulnerability of the upper group. The
3 dorsal RAPHE axons, to most amphetamine derivatives
4 that have an affinity for serotonin uptake carriers;
5 whereas, the smaller number of axons from the median
6 RAPHE are essentially absolutely resistant and
7 invulnerable to any of these compounds.

8 Summarized in this slide here, it's
9 differential vulnerability. You'll recall the fine
10 dorsal RAPHE axons are the ones that are highly
11 vulnerable. A photograph of these shows a fine axon.
12 And these are some of the beaded axons of the dorsal
13 RAPHE.

14 We now go back as part of the background
15 and look at the effects of other amphetamine
16 derivatives which constituted most of our studies.
17 This is a controlled section from a rat. In the next
18 slide we will see the section from a rat brain, the
19 same region, that had received a dose of methylene
20 dioxy-amphetamine, MDA, the neurotoxin.

21 I think you could see by going back and
22 forth between these two slides the difference in the
23 density of axons in those two sections. That is, this
24 following treatment with methylene dioxy-amphetamine
25 an extensive marked loss for serotonin axon terminals

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 in the cortex.

2 In addition, in the hippocampus, this is
3 a control animal. And the next one was an animal that
4 had treated with methylene dioxy-amphetamine. It
5 shows a marked decrease in axons, but here you see in
6 the dentate gyrus sparing of these invulnerable, these
7 drug-resistant, serotonin axons from the median RAPHE
8 nucleus.

9 So there were two sets of serotonin
10 projections: one sensitive to these drugs and the
11 other highly resistant. And they're intermixed and
12 overlapping throughout the brain.

13 Now we come back to the effects of
14 fenfluramine. And what we have found is while we
15 haven't done exhaustive studies in our laboratory on
16 fenfluramine neurotoxicity, as we have with the other
17 amphetamines, everything that we have seen suggests
18 that the effects of fenfluramine are essentially
19 identical to those of parachloramphetamine and
20 methylene dioxy-amphetamine, the known neurotoxins.
21 And, in fact, the toxicity of fenfluramine is about
22 halfway in terms of potency between those two
23 compounds. It's somewhat less than PCA and somewhat
24 greater than MDA.

25 This is a control animal. And this is an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 animal that had been treated with four doses over two
2 doses with five milligrams per kilogram of
3 d-fenfluramine in the somatosensory cortex. The stain
4 here is an antibody to serotonin, showing that search
5 of axons are markedly diminished in either
6 detectability or in number.

7 This shows a similar preparation, but this
8 is from the cerebral cortex of a squirrel monkey, a
9 normal and after treatment with 5 milligrams per
10 kilogram 4 times of d-fenfluramine, a loss of
11 serotonin axons at 10 days following treatment.

12 In a further study in rats, we looked at
13 short survival times, of 48 hours, and found, in the
14 lower panels, enormous swellings of serotonin axons
15 that remained present at 48 hours following the
16 treatment.

17 What we think is happening is that
18 initially exposure to the drug, to fenfluramine, --
19 this was a fenfluramine-treated animal,
20 d-fenfluramine-treated animal, five milligrams per
21 kilogram four times -- exposure releases much of the
22 serotonin and serotonergic axon terminals.

23 Over the next 24 to 36 hours, most of the
24 serotonin axons are completely depleted of the
25 transmitter. Over the following one day; that is,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 about 48 hours after treatment, there is some aborted
2 recovery of serotonin synthesis. So that one can pick
3 up signs of some of the axons present of using
4 antibody to serotonin. At that time these axons are
5 enormously dilated, swollen, and appear to be
6 degenerating.

7 Similarly, we have looked -- this is also
8 serotonin axon terminals showing here swollen axon in
9 this location and another one deep in the cerebral
10 cortex, swollen axon in the rat following
11 d-fenfluramine treatment using serotonin.

12 Several other examples I'll quickly run
13 through. These are all obtained using a marker for
14 antibody for serotonin. You can see these that are
15 fragmented, swollen axons with classical features as
16 described by the classic neuroanatomist Cajal as
17 characteristic of degenerating terminals.

18 Another example here. As you can see,
19 they can become quite repetitive because they all look
20 the same and they look rather sick as they're dying
21 and about to disappear.

22 One more. Okay. Similar findings have
23 been seen in the rhesus monkey, in the squirrel monkey
24 -- in the cynomolgus monkey, not in the rhesus
25 monkey. This is from a rhesus monkey deep in the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 cerebral cortex, showing these abnormal swellings of
2 serotonin-positive axons.

3 This is from the hypothalamus of the same
4 monkey, showing these dilated fragmenting axon
5 terminals or axons with passage, another example from
6 the rhesus monkey after treatment with fenfluramine,
7 suggesting that these axons are in the stage of
8 degenerating.

9 Another example from another monkey
10 treated with fenfluramine at a dose of 5 milligrams
11 per kilogram twice a day for 2 days, survival time 48
12 hours.

13 And the final example of the same; in
14 fact, another one. I've thrown too many in there.

15 Now, one of the questions or criticisms
16 that has been raised is that serotonin itself as a
17 marker is not valuable because, for example, it is
18 released and disappears. So it may not show you what
19 is actually going on since it is such a labile
20 substance. Well, in fact, in this case we have looked
21 with an antibody against the synthetic enzyme for
22 serotonin tryptophan hydroxylase. And that shows the
23 same pattern of alteration and swelling and
24 fragmentation of these.

25 Another example, with an antibody to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 tryptophan hydroxylase. This is a large enzyme of
2 protein present in the nerve terminals and associated
3 with the synaptic vesicles, showing these somewhat
4 odd-looking axons. And this is after 4 doses of
5 dexfenfluramine, 5 milligrams per kilogram survival
6 for 48 hours.

7 Well, I think that data is highly
8 suggestive of some structural damage to these axons,
9 certain pathological changes, but now let's look at
10 another marker. I'm going to contrast this with some
11 material upcoming. This is also using antibody to
12 serotonin. This is a control animal, and this is
13 following treatment with dexfenfluramine with a
14 48-hour survival, the same doses that I have been
15 describing. Everything I'm showing is with the same
16 dose today.

17 This is 48 hours after the treatment.
18 This is from a series of rats, the next one one week
19 after the treatment, showing that there is an acute
20 loss which you see, but it persists. And, in fact,
21 we'll see later that it actually persists for an even
22 longer time because this is six weeks after. And we
23 haven't looked at animals with fenfluramine much
24 longer than six weeks after treatment.

25 Now, this slide uses a new marker that we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 have recently worked on in collaboration with Dr.
2 Randy Blakely from Emory University, now at
3 Vanderbilt, who has cloned the serotonin uptake
4 carrier and made an antibody to it. So this uses an
5 antibody against the 5-HT uptake carrier, the target
6 of these compounds.

7 This is again a stable, membrane-bound,
8 large protein which is a component of the plasma
9 membrane of the serotonin axon terminals. So using
10 this antibody against the uptake carrier, you see here
11 the search on axons looks very similar to how they do
12 using antibody to serotonin.

13 Following 5 milligrams per kilogram of
14 fenfluramine 4 times 48 hours later, there is a marked
15 loss of axons which contain this protein, this large
16 protein. So this is highly indicative of a loss of
17 these axons. One can't go back to the argument that
18 serotonin itself is depleted and, therefore, one isn't
19 seeing ghastly axons that are empty but present. This
20 is a protein.

21 This is at one week later. And then we
22 come six weeks later. So you see that there is a
23 persistent loss of axons that contain the serotonin
24 uptake carrier.

25 Just to show you an example with another

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 form of illumination, this shows axons, normal axons,
2 stained with an antibody to the serotonin uptake
3 carrier. And now I'll show you several photographs of
4 axons stained with the serotonin uptake carrier
5 antibody showing damaged, structurally damaged, axons,
6 such as these with large enlargements of the axons,
7 which one doesn't ever see in the normal circumstance.

8 Now, that's probably all the bad news.
9 That is, we feel we conclude from this that
10 dexfenfluramine leads to by a mechanism not yet
11 established degeneration for the serotonin axon
12 terminals.

13 However, one of the novel interesting
14 things about this compound is that it selectively
15 affects the axon terminals without having any
16 substantial toxic effect upon the cell bodies. The
17 cell bodies in the RAPHE nuclei are spared.

18 And so the good news, then, is that
19 following loss or pruning of these axon terminals, the
20 cell bodies have the capacity to regenerate; that is,
21 not to divide, but to sprout new axons which grow back
22 into the cerebral cortex and re-innervate, even though
23 the original axons were lost.

24 So the good news: There's sprouting after
25 this drug-induced degeneration. And it has certain

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 characteristics. It's very much like the development
2 pattern of serotonin axons.

3 There's a gradient. Serotonin axons enter
4 the cortex in the frontal pole and then head back
5 towards the occipital pole. And that same gradient
6 occurs during the regeneration. It starts in the
7 frontal cortex, and it proceeds caudally towards the
8 most posterior cortex. They form two layers.

9 The first thing one sees in a long series
10 of these studies is that the free terminal axons deep
11 in the cortex grow in and then subsequently there is
12 abundant sprouting of axon terminals up into the
13 cortex to re-innervate the cerebral cortex, apparently
14 some attempt to restore the lost and ablated
15 serotonergic projection.

16 Now, further studies of this -- and I'll
17 show you some of our data and results -- have not been
18 done with fenfluramine because this was a recent
19 finding. These studies take a long time. The studies
20 that I'll show you now show the same phenomenon but
21 with MDA, methylene dioxy-amphetamine, and PCA.

22 And, again, we feel that all of the other
23 data that we have shows that fenfluramine effects are
24 identical. So we should be able to expect with
25 fenfluramine a similar pattern for free innervation as

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008