

1 patients complained or described lack of
2 concentration, decreased alertness, and it was found
3 in nine patients at the higher dose.

4 It was also, as you saw, described also in
5 some of the placebo patients.

6 DR. CRITCHLOW: Nine out of how many?

7 DR. SANDAGE: Eighty patients I believe,
8 let me see what the --

9 CHAIRMAN BONE: I think if you look at
10 table -- in your combined data you had on Table 20 you
11 actually had, I think, 13 subjects that were listed
12 with that.

13 DR. SANDAGE: The one that I was looking
14 at is page 32.

15 CHAIRMAN BONE: Yes.

16 DR. CRITCHLOW: Page 32?

17 DR. SANDAGE: Yes.

18 CHAIRMAN BONE: Right. That is at the
19 higher dose, you are correct. So that 10.3 percent
20 represents 9 subjects.

21 Is that right, in the table on page 32?

22 DR. SANDAGE: I think that is correct.

23 CHAIRMAN BONE: I see, thank you. Do you
24 think the instruments that were just described or
25 maybe Dr. Noble would answer this.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Did those instruments specifically address
2 concentration and alertness?

3 DR. NOBLE: Yes, indeed. A lot of the
4 questions, of course, on the checklist was, "Can you
5 concentrate as well," but there were a lot of things
6 where they had to recall things that we had told them,
7 it was the whole gamut.

8 CHAIRMAN BONE: But that was of lower
9 dose, then?

10 DR. NOBLE: That was 50 milligrams, twice
11 a day.

12 CHAIRMAN BONE: Right. Thank you. Right.
13 Where there further questions regarding the -- Dr.
14 Campbell had a further comment.

15 DR. CAMPBELL: I would just address the
16 issue that Lou Seiden mentioned about PET scanning and
17 also functional disability using prolactin.

18 In fact, this has been done, it is widely
19 published, but an acute response to fenfluramine is a
20 reduction in prolactin and associated hormones, ACTH.

21 This is normal with a serotonergic drug
22 you see it with others. Within 3 months, in fact,
23 after 3 months it is normal.

24 This occurs within a few weeks afterward,
25 so what we are seeing is an initial response and it

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 going back to normal, thereby showing that there is no
2 functional disability by that measure.

3 CHAIRMAN BONE: With respect to that
4 measurement.

5 DR. CAMPBELL: The other suggestion that
6 Dr. Seiden mentioned was the use of PETs in terms of
7 brain function, and it was Dr. Borhani who said
8 actually saw this within your handout, and it wasn't
9 specially said by Joe Contrera.

10 Can I have that slide? This shows some
11 work that we did looking at functionality of the 5H2
12 receptors within the brain using PET scanning to see
13 if we could measure whether there were any long-term
14 changes, and this was before and after three months
15 treatment.

16 This shows the kinetics of radioactivity
17 in the frontal and cerebral regions after intravenous
18 injection of high specific marker, 45HC receptors.

19 Here we see before and after treatment,
20 and here we see the frontal cortex and the cerebellum,
21 and it is quite simple that this is three months after
22 -- three months treatment of the drug and then follow
23 for one month afterwards, and you don't have to be a
24 statistician to see that there is no change whatever
25 in 5HT receptors by the PET scanning.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Although this isn't directly what Dr.
2 Seiden is asking for, it does show integrity in the
3 brain in terms of 5HT receptors.

4 CHAIRMAN BONE: Thank you. I have a
5 question --

6 DR. CAMPBELL: Sorry. There was one
7 further thing, that was about the calcium. Calcium
8 mineralization. Can we address that as well?

9 CHAIRMAN BONE: Yes. That is fine.

10 DR. CAMPBELL: I would like to call upon
11 the chief pathologist at Wyeth Ayerst who might
12 comment.

13 DR. BOYSON: Yes. I basically would just
14 like to present some information. I am Byron Boyson
15 and I am director of pathology at Wyeth Ayerst.

16 The comment that I want to make is that
17 basophilic bodies, or sometimes as they were called in
18 this study, brain calcifications, are a common lesion
19 in laboratory animals, particularly mice and rats,
20 that aren't carcinogenic studies.

21 They tend to be amorphous bodies. They
22 are extra cellular, and therefore I emphasize they are
23 not associated with neurons. They are not within
24 neurons.

25 They are not usually associated with any

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 kind of neuronal injury whatsoever, and they are not
2 associated with any clinical signs that might expect
3 one to believe there is neurotoxicity.

4 I point this out also because in our study
5 we used the B6C3F1 mouse and there is quite a strain
6 variation regarding the incidence of this lesion among
7 laboratory animals.

8 For example, recently I talked to a
9 colleague from the MTP, and in 51 studies that they
10 have done with the B6 mouse they had control incidence
11 levels that ranged from 2 to 86 percent.

12 The highest incident that we had in our
13 studies was 75 percent, and therefore, I just think
14 that we should keep aware that the data in our study
15 might represent simple, biological variation and
16 therefore be totally unrelated, or the incidents
17 perhaps unrelated to the compound.

18 Earlier Dr. Contrera made reference to
19 some other studies that he had looked at, didn't have
20 that incidence and I simply would point out before I
21 sit down that the CD1 mouse is a very frequently used
22 mouse in North America for carcinogenic studies and
23 the incidence of this lesion in those studies or in
24 that strain, I should say, tends to be a lot lower.

25 CHAIRMAN BONE: Dr. Contrera.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. CONTRERA: May I respond?

2 CHAIRMAN BONE: Yes. I think the
3 question, particularly, if you will, please, thank you
4 very much, the particular question here, if you would
5 address, is I think it was a controlled study.

6 DR. CONTRERA: Yes. I think there are
7 several points that were made. First of all, it was
8 a presumption that the amphetamine studies were CD1
9 studies is not true.

10 They were all B6C3 studies of the same
11 strain that was used in the sponsor studies.

12 In terms of historical background, it is
13 irrelevant. We were comparing it to concurrent
14 controls and that is the only real control to compare
15 it with, and second of all, if it is non-specific, in
16 the mouse the point was that it was associated mainly
17 with the thalamus, which was an area that is a focus
18 of long term depletion in these animals.

19 So that those were the points that I was
20 making, and secondly and thirdly the fact is that this
21 is what really is needed, a more extensive
22 histopathology of these blocks with a true pattern and
23 distribution of these if we are going to pursue it in
24 any way.

25 CHAIRMAN BONE: Thank you. Did you have

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 anything further, Dr. Contrera?

2 DR. CONTRERA: That's it.

3 CHAIRMAN BONE: One question that I think
4 would be helpful to the committee and I am just asking
5 a few questions here, kind of on behalf of the
6 committee as a whole, is just to try to at least get
7 a junction or a point of overlap between the
8 perspective of the sponsor on the neuropathology and
9 the perspective of some of the earlier speakers.

10 Dr. Molliver talked about the axonal
11 changes and there was the point of view of the
12 sponsor, if I understand it basically, is that some of
13 these were simply related to the mechanism of action
14 of the drug and were to be expected, in fact, would be
15 almost intended or desirable, but Dr. Molliver made a
16 point of the tangles that were part of the
17 regenerative process where that seemed to be let's say
18 a point of difference.

19 One aspect of that is these were seen when
20 histological studies were done time point considerably
21 delayed after the exposure and discontinuation with
22 the drug.

23 I would like to specifically ask the
24 sponsor, have they looked in the same kind of time
25 frame, have they seen those same lesions or those

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 changes.

2 Lesion presumes something. Let's say, has
3 the sponsor seen those regenerative tangles?

4 DR. MOORE: The anatomical structure that
5 Dr. Molliver sees, we have not seen in our material,
6 even with the high doses and with animals that are
7 sacrificed at one week, 13 weeks, and 26 weeks after
8 the administration -- the compounded doses of 2, 4, 8,
9 and 16 milligrams per kilogram.

10 I think it has to do with the route of
11 administration and so on. I don't in any way doubt
12 Dr. Molliver's data, and I think it is simply a
13 difference in different paradigms of doing the same
14 kind of experiment.

15 CHAIRMAN BONE: I see. Thank you. That
16 is at least helpful to know that we are looking at the
17 same slides and looking for the same thing for that
18 particular question. Okay.

19 I think there will undoubtedly be a number
20 of additional questions from members of the committee
21 which might be addressed either to the sponsor, the
22 consultants or the agency, and I would invite the --
23 maybe we should just go around the table and ask
24 people if they would like to raise those questions.

25 If not, or after those questions are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 answered, we can proceed to some discussion and then
2 maybe move forward. Yes, Dr. New.

3 DR. NEW: Can I get clarification of
4 something? As I understood the position from Wyeth,
5 said that the B6 strain can have up to 86 percent
6 spontaneous calcification of basal ganglia, and were
7 those the same mice that you used, Dr. Contrera?

8 DR. CONTRERA: Those are the same --

9 DR. NEW: The same strain?

10 DR. CONTRERA: B6 is the same strain that
11 was used by the sponsor.

12 CHAIRMAN BONE: Those were the sponsor's
13 studies that Dr. Contrera was discussing, not agency
14 studies.

15 DR. CONTRERA: Yes. Sponsor studies.

16 DR. NEW: How can you do a controlled
17 trial if there is random calcification from 2 to 86
18 percent?

19 DR. CONTRERA: Because the primary control
20 is the concurrent controls. Historical controls
21 drift, they vary lab to lab and they also vary in how
22 the animals are treated.

23 The only controls that matter in studies
24 when you really get down to it, is the concurrent
25 controls.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: So these were animals that
2 received placebo or sham injections during the course
3 of the study.

4 DR. CONTRERA: You have concurrent
5 controls that were fed the same diet as the
6 fenfluramine treated animals and run alongside, and so
7 they have a lower incidence than the drug treated.

8 That is all we are saying. That is all
9 that the data show.

10 CHAIRMAN BONE: Okay. Dr. Colley, did you
11 have questions or comments at this point?
12 Particularly questions? Okay. Dr. Borhani?

13 DR. COOPER: I think there is a point of
14 clarification on the calcification?

15 CHAIRMAN BONE: Okay.

16 DR. BOYSON: One comment about the
17 mineralization that I didn't make clear initially, and
18 that is I said they were spontaneous lesions, and that
19 they are.

20 They are also are most heavily
21 concentrated in thalamus. Okay. Which is the area
22 that we are most interested in.

23 Another thing to keep in mind is that the
24 primary purpose of these studies was to determine
25 carcinogenicity, and therefore, as Dr. Contrera

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 pointed out earlier, several routine sections, coronal
2 sections, were taken from brain, but they were not
3 done with the specificity that you may want to do to
4 look at this lesion and this location.

5 If you think of the mouse brain perhaps
6 being a centimeter and a half long, and a thalamus
7 perhaps being 2 millimeters long, and someone taking
8 cross sections of brain and not doing it with the kind
9 of sophistication that we might do in another type of
10 control, and experiments specifically addressing that
11 purpose, it is easy to see how the incidence of this
12 lesion can greatly vary from one group to another just
13 based on the sectioning methods.

14 DR. CONTRERA: I think that I have to add
15 that it is easy to see that you can entirely miss it.

16 The miracle was that they didn't, and it
17 was only in the drug treated groups in both the rate
18 and the mouse, and that was the only reason it got our
19 attention.

20 CHAIRMAN BONE: Dr. Borhani has a
21 question.

22 DR. BORHANI: Yes. It may not be
23 answerable or you might think it is a silly question,
24 but I have a question to you, your colleagues at FDA.

25 You said something about -- I can't even

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 remember, whatever, the company that made the
2 previously approved drug and this has been in the
3 market since 1973 or 1974 and if I heard you correctly
4 you said that perhaps only during the last year the
5 sale has been picked up and there was not really that
6 much sale of it.

7 I can think of three reasons for that and
8 I wonder if you think that any of these reasons are
9 anywhere near the ballpark that we should consider.

10 Number one is that perhaps because this
11 drug was put on the restrictive list and has to be
12 signed off by the doctors who are tired of duplicate
13 prescriptions and et cetera, and therefore they didn't
14 pay attention or it was a lousy salesmanship on the
15 part of the company that made it.

16 The drug reps were perhaps not allowed in
17 the doctor's office and they didn't bother or they had
18 a better drug to sell so they didn't want to waste
19 their time, and thirdly, most importantly is perhaps
20 people took it and they got all kind of subtle side
21 effects and they didn't like it, and the company
22 decided the drug is not going to sell, because this is
23 an important -- it might be silly, but is there any
24 guess you can make on this?

25 DR. LUTWAK: Well, the company that made

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 it, and distributed it, is the same company that makes
2 dexfenflamine, and since they are here, perhaps they
3 have the answer.

4 MR. DEITCHER: Can I answer that? I am
5 Mark Deitcher, I am the medical director at Wyeth
6 Ayerst. Wyeth Ayerst became the successor to market
7 the product.

8 It was marketed by A.H. Robbins. Dr.
9 Borhani, you are very astute. Number one, one of the
10 major reasons why the product was not popular in the
11 United States was in fact because it was scheduled on
12 the restricted list.

13 Number two, I won't get into discussions
14 about whether it was promoted well or not by the sales
15 force, but when you have a product that is on a
16 restricted list, generally it is not heavily promoted.

17 What you see is the effect of the results
18 of a study that was done by Dr. Michael Weintraub at
19 University of Rochester in about 1990, 1991.

20 It was before he came here to FDA, which
21 received a very, very large amount of press, women's
22 magazines and so on, because of that women went to
23 their physicians with the article and asked whether or
24 not this was a drug that could be used.

25 That was a good impetus for physicians to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 start prescribing the drug.

2 That paper that he published, as well as
3 the information on that has now become kind of legend
4 in literature and in the press and that is one of the
5 major reasons why we have seen this increase in
6 prescribing.

7 CHAIRMAN BONE: Thank you. Okay.

8 DR. TROENDLE: I don't think we should
9 think of this just as related to fenfluramine.

10 All weight control drugs were not used
11 very much.

12 It was not popular to prescribe drugs for
13 obesity.

14 CHAIRMAN BONE: Potentially for much the
15 same reason.

16 DR. TROENDLE: Yes.

17 CHAIRMAN BONE: Thank you. Dr. Sherwin.

18 DR. SHERWIN: I just want to make one --
19 get one point clear.

20 You mentioned that there was some
21 neuropsych testing done in some of these trials?

22 CHAIRMAN BONE: Just the one, I think.

23 DR. SHERWIN: Was it just Dr. Noble's
24 small study?

25 DR. BOYSON: Just Dr. Noble's study.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: So not in the pivotal
2 study and not in a study that was submitted to the
3 NDA, if I understand correctly.

4 DR. SHERWIN: That is what I needed to
5 know. Thank you.

6 CHAIRMAN BONE: Dr. Colley, did you have
7 questions at this point?

8 DR. COLLEY: No.

9 CHAIRMAN BONE: Excuse me, Dr. Critchlow.
10 I am sorry.

11 DR. CRITCHLOW: I am not finding it here,
12 but I am sure it is here, is what, given the high
13 dropout rate in the control studies, and given the
14 fact also that this dropout rate, even in the face of
15 some loss in weight, what are the reasons for this
16 high drop out in these studies?

17 DR. SANDAGE: In the one slide I presented
18 in the control trials we looked at the drop out rate
19 across all.

20 For the dexfenflamine, for example, it is
21 6.9 percent adverse events versus 5.2 percent.

22 Ineffective medication was 5 versus 9,
23 intercurrent event was 6.6 versus 6.2, loss to follow-
24 up, 8-1/2 percent in both groups, non-compliance was
25 2-1/2 percent in the dexfenflamine group and almost

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 4 percent in the placebo group, and the patient
2 request was 1-1/2 percent for the dexfenflamine group
3 and 2-1/2 percent for placebo.

4 DR. CRITCHLOW: So do you think in actual
5 practice what would the compliance issues be in your
6 mind or what would be significant compliance issues?

7 DR. SANDAGE: In the FM trials, which is
8 our best, it is the open-label, long term, in clinical
9 practice.

10 We have got about 60 percent, 60 to 70
11 percent completers at the end of that study, and about
12 75 percent of those people, just like in the index
13 trial, lost at least 5 percent of their body weight.

14 So they -- the patients that are going to
15 respond, continue to take the drug and it is an
16 expectation phenomena.

17 They get response and they stay on it, and
18 those that don't drop out or drop out for other
19 reasons.

20 CHAIRMAN BONE: Dr. Kreisberg.

21 DR. KREISBERG: I would like a brief
22 answer to this. There is this trial that Dr. Noble
23 described, and he said there was no clinical
24 difference.

25 I wonder if the data was subjected to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 statistical analysis or whether we were just getting
2 his impression. That can be yes or no or something
3 like that.

4 DR. NOBLE: It is my clinical impression
5 because I talk to every single patient.

6 DR. KREISBERG: Right, that doesn't count,
7 though.

8 DR. NOBLE: I think the data has been
9 analyzed very, very recently, and I trust will be
10 submitted.

11 DR. KREISBERG: So we really don't know if
12 there is a difference between the treatment group and
13 the placebo group.

14 DR. NOBLE: I wouldn't have known.

15 DR. KREISBERG: No, you wouldn't have. I
16 am sorry.

17 DR. NOBLE: When you examine the patients
18 I think you can tell.

19 DR. KREISBERG: I examine patients every
20 day too, doctor.

21 DR. NOBLE: Not with psychiatric tests.

22 CHAIRMAN BONE: Thank you very much. We
23 are moving along here. I think we have three or four
24 issues that obviously the committees is going to have
25 to discuss to try to get to closure before we answer

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the questions, and one where it strikes me there is
2 still a gap, and I am going to ask, in the most
3 concise possible way, and I really wanted to ask
4 people to focus on this in a very, very narrow way and
5 not editorialize at all.

6 Can we try to clarify the points of
7 agreement and difference in perspective with respect
8 to neurotoxicology.

9 I am talking about the brain histology
10 issue. I am going to ask the sponsor to just comment
11 briefly on this, extremely briefly and ask then our
12 consultants to comment with at least equal brevity,
13 this is probably not going to be settled by these
14 comments, it is only going to be a question of making
15 it very clear to the committee what the differences in
16 agreements are.

17 DR. MOORE: Let me respond by taking on
18 the points that Dr. Contrera made at the end. He
19 first said that the ancillary measures of
20 neurotoxicity that is gliosis and silver staining are
21 not sufficiently sensitive to show changes in serotonin
22 and neurons.

23 I submit that this is incorrect. That
24 these have been shown to be positive with the other
25 neurotoxins that Dr. Molliver has talked about,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 chlorine amphetamine and MDMA, both of those things
2 are positive, so clearly it is possible to do this,
3 dexfenflamine does not do so.

4 He spoke about the retrograde transport
5 study being equivocal. There is a retrograde
6 transport study that is a part of the NDA that was
7 done by Dr. Collia, it is in no way equivocal.

8 There is no difference at any dose between
9 the animals treated with dexfenflamine and the
10 controls.

11 He also raised the issue of whether there
12 was meaningfulness in the long term mouse study with
13 perhaps the animals didn't get the drug or whatever,
14 or they didn't have good blood levels, but I remind
15 you that the brain levels at the end of the study were
16 51 micromolar, that is not a brain level that can be
17 obtained by simply giving the animal a dose on the
18 last day, it is a brain level that means the animal
19 was getting it for some time, and I think we have to
20 presume it was getting it the whole time, and that the
21 brain levels were very high and sufficiently high to
22 reduce serotonin if that was going to happen.

23 I think that really deals with most of the
24 issues.

25 CHAIRMAN BONE: Thank you. Any further

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 comment from Dr. Molliver or Seiden with -- and I
2 thank the sponsor for the commendable conciseness.

3 PROFESSOR SEIDEN: I would like to
4 reiterate that we, in animal studies, we get long
5 lasting changes in the update cites and in the labels
6 that are hard to explain on the basis of
7 pharmacodynamics and there are the morphological
8 changes and I don't know how these can be explained
9 except by assuming that some type of toxic response
10 takes place, and after all, with a relatively low dose
11 in the monkeys that I presented, and it was done
12 orally, the monkeys were still depleted 14 months
13 after the drug was discontinued. That is astounding.

14 CHAIRMAN BONE: Thank you. Anything to
15 add, Dr. Molliver, please?

16 DR. MOLLIVER: I would like to address
17 briefly one or two of those points. The sensitivity
18 with which people obtain tissue, fix it, and process
19 it varies enormously from laboratory to laboratory.

20 My laboratory prides itself on having one
21 of the highest levels of sensitivity in the world for
22 presuming a set of chemical markers, and we often have
23 people coming to us with material, saying, "We can't
24 see it," and we process, reprocess the material for
25 them or refix the tissue and it can be seen. Negative

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 findings of that sort are not meaningful.

2 It is a matter of applying a maximum
3 sensitivity in state of the art changes. Several
4 other points need to be addressed.

5 Glial reactions do respond primarily to
6 loss of cell bodies and they are very sluggish or
7 minimal with response to the loss of these extremely
8 minute, fine, unmyelinated axons so it is very, very
9 subtle and very easy to miss glial responses.

10 The other issue, I think the most
11 important issue that comes us has to do with the
12 retrograde transport.

13 I've puzzled how logical people looking at
14 the same material might get different results, and I
15 think it is clear how that can happen.

16 The axons, if this is a diagram of a
17 cerebral cortex, the axons come into the cortex from
18 the brain stem and branch out with their very fine
19 branches within the cortex.

20 The toxicity of this drug is remarkable in
21 its selectivity for axon terminals. The minute parts
22 of the axon at the very end of the terminal.

23 Those are the things that are killed off.
24 When a dye is injected or labeled into the cerebral
25 cortex and then one looks for transport back to the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 cell body the stumps of those axons are still there,
2 the damaged stumps, with the terminals cut off are
3 present and open and in fact, it has been shown in
4 other studies to have an enhanced ability to take up
5 these dyes and transport them back to the cells so
6 that I think that can explain why some people might
7 not have seen a loss of transport one needs to use an
8 extremely small microinjection that is carefully
9 analyzed and documented that it doesn't spread more
10 than 25 or 30 microns from the injection site down
11 into the stumps.

12 If, as the sponsor claims, they are going
13 to do further studies on that, we are not particularly
14 interested ourselves in doing that but I would say
15 that it should be specified that the size of the
16 injections be made as small as possible and have those
17 subject to serial section analysis to demonstrate that
18 the injection did not spread to the stumps of those
19 axons which are sitting there waiting to take up the
20 dye.

21 For my comment further on the prolactin
22 tests, it is -- no?

23 CHAIRMAN BONE: I think we are all
24 endocrinologists and I don't know that we need to go
25 into that much further.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. MOLLIVER: There is a point about
2 that, though, and that is that the prolactin release
3 is mediated by serotonin terminals in the arturite
4 nuclei, and those are the terminals that are resistant
5 to these drugs.

6 So it would not be expected that that
7 would be affected by the toxicity.

8 CHAIRMAN BONE: I see what you mean.
9 Thank you. Dr. Contrera, there was a specific comment
10 about your comment about the equivocation about this
11 study, and if you could just address that
12 specifically.

13 DR. CONTRERA: I think I still stand
14 behind the fact that there is controversy in
15 literature about the sensitivity of the glial GFAP
16 method for serontonergetic neurotoxins.

17 It doesn't say that there aren't papers in
18 which that has been identified, serontonergetic
19 neurotoxins.

20 MDMA in particular, that was just used as
21 an example. You get a glial reaction, but there is
22 also evidence that MDMA also depletes dopamine, and
23 dopamine neurotoxin too and dopaminergic neurotoxins
24 are highly detected by glial GFAP.

25 So with that there is a confounding effect

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 in that case. We can argue it back and forth about
2 those things.

3 In terms of the equivocal nature of the
4 retrograde study, they are difficult studies, as Dr.
5 Molliver said, and they warrant validation and repeat
6 because of all of the technical problems involved in
7 it and I am certain, and the firm is repeating it.

8 It was so conclusive that they were
9 repeating the study, but the fact remains that that is
10 a good thing to do, and in terms of the 50 micromolar
11 concentration in the mouse.

12 It sounds like a lot but the fact remains
13 it is only 10 times the human brain concentration.
14 The mouse is well known to have AD50.

15 It is higher than the rat so that it is
16 less responsive to begin with so I mean, my comments
17 there were that I didn't say that depletion could not
18 have occurred, depletion could have occurred in high
19 dose and followed by recovery or depletion never
20 occurred. I said that in my talk.

21 I acknowledged those two. But neither
22 case, if depletion did not occur then we still have
23 got the calcification to deal with, and in fact, I
24 think it then becomes even more significant, you don't
25 need depletion to get other sequel.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: Thank you very much. Now
2 I am sure that there are questions. It seems to me
3 that the main question that would come back to the
4 sponsor at this point in response, and this would be
5 the final unless someone else on the committee has a
6 question, had to do with the precision of the
7 injection in the area.

8 Were those questions addressed in your
9 study? The question of whether the stumps were doing
10 the uptake instead of the terminals.

11 DR. MOORE: Yes. I would certainly debate
12 with Dr. Molliver on that. You can get axonal uptake.
13 The stumps, by the way, seal themselves off.

14 That is irrelevant. You can get axonal
15 uptake but it is very small in comparison to terminal
16 uptake and what you see is almost surely terminal
17 uptake and little tiny injections would not get you
18 anywhere, and I must say I am offended.

19 My histochemistry is just as good as Dr.
20 Molliver's.

21 CHAIRMAN BONE: I am sure we are all very
22 good histochemists. Thank you. I think, unless there
23 are other questions to be asked by the committee --
24 Dr. New?

25 DR. NEW: Is there any reason to discuss

1 what will happen tomorrow in view of the presentation
2 that we had?

3 CHAIRMAN BONE: Dr. New is referring to
4 this issue about scheduling tomorrow. I think we
5 should focus ourselves on the NDA and not concern
6 ourselves with that today.

7 DR. BORHANI: I agree, because these are
8 to me two separate issues.

9 CHAIRMAN BONE: Fair enough. Let's then -
10 - I think we have now a little time of discussion
11 amongst the committee or points that people would want
12 to raise for consideration by the other committee
13 members and perhaps Dr. New would be willing to start.

14 DR. NEW: I am very acutely aware of the
15 risk of obesity --

16 CHAIRMAN BONE: Dr. New, could you pull
17 the microphone a little closer, please.

18 DR. NEW: Oh, I am sorry. I said I am
19 very aware of the risk of obesity and how intractable
20 it is once it begins in childhood, so that I see it as
21 a very high risk disorder, and I don't know of any way
22 to treat it unless the pharmacology of these drugs
23 really is effective, and it is a very discouraging
24 disease to treat, almost as discouraging as anorexia
25 nervosa, but I have still some very unclear ideas,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 maybe it is prohibitive to say this, but I don't
2 understand why we are discussing disregulation or
3 deregulation of another compound which has not, as far
4 as I can tell, been tested in the same way that this
5 one has been tested to date.

6 So I won't raise that, but, anyway --

7 CHAIRMAN BONE: We didn't hear that.

8 DR. NEW: Anyway, I am saying that the
9 risk of the disease is very high and I am trying to
10 weigh the risk of the medication against that risk of
11 the disease and I still haven't come to terms with
12 that.

13 CHAIRMAN BONE: Are there comments? Dr.
14 Critchlow.

15 DR. NEW: I am very concerned about the
16 lack of cognition and behavior studies in view of the
17 preclinical neurotoxicity.

18 CHAIRMAN BONE: Dr. Kreisberg.

19 DR. KREISBERG: Well, I would like to make
20 sort of a similar comment. I am very sympathetic to
21 the company and what they are trying to do.

22 I think it is important to find drugs to
23 treat obesity and it is a signal, I think, for the
24 pharmaceutical industry that this is an area that they
25 should become involved in.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 My guess is they are already, it is
2 potentially a big growth area, but I am a little bit
3 ambivalent about it as a result of the fact that the
4 experts cannot agree and so how should I be expected
5 to know about whether there is or is not significant
6 neurotoxicity, and I think it makes it very difficult
7 in the face of the small incremental change that one
8 gets with this drugs versus the unknowns related to
9 the potential neurological or neuropathological
10 complications, very difficult for me to be able to
11 vote positively for the drug.

12 CHAIRMAN BONE: Dr. Colley.

13 DR. COLLEY: I guess I would add to those
14 concerns as well with the neuropsychological effects
15 and so much being unknown.

16 We have had evidence presented that it is
17 suggested that there may be problems but we don't know
18 enough to determine that for certain.

19 There was an overhead that Dr. Lutwak had
20 shown where world wide reports of neuropsychological
21 effects in '93 were like five or six and then went to
22 20 in 1994, and I think just with the indefinite
23 nature and lack of agreement among the sponsor and the
24 experts, that is also an area where I am ambivalent.

25 CHAIRMAN BONE: Dr. Critchlow. Comments?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. CRITCHLOW: I basically have the same
2 comments. I can see where there are a sufficiently
3 large pool of people who would respond to the drug and
4 who would benefit and on the basis of efficacy alone
5 I can see a positive effect there.

6 On the other hand, not being an expert in
7 the field, I too, am disturbed at the lack of
8 concordance and continued debate in the significance
9 of the neurologic findings and in the absence of
10 knowing how to interpret that on my own I would still
11 say that I have significant safety -- residual
12 concerns about safety.

13 CHAIRMAN BONE: Dr. Borhani, did you have
14 a further comment at this point?

15 DR. BORHANI: Well, yes. I am sorry that
16 Bob is leaving, but if he can stay two more minutes he
17 can hear me because I would like to have you hear me.

18 DR. KREISBERG: I will stay. It will cost
19 you though.

20 DR. BORHANI: That's all right. The
21 discussion on this neurological toxicity and
22 neurotoxicity we heard today is very interesting and
23 it is very informative, and for me it was very
24 educational, but unfortunately for me, I feel that I
25 am facing another deja vu state in my career that just

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 happened recently.

2 We are talking about the cutting edge of
3 research, the way I understand it, of neurosciences
4 and I just came across beaten up almost to death by
5 another cutting edge of science research in
6 arteriosclerosis using ultra sound and measuring the
7 internal intermediate thickness of carotid artery and
8 carotid artery disease.

9 So I am a wounded soldier in this field.
10 I feel this is all fine and good for discussion and
11 for pursuance of science and hopefully for the good of
12 mankind, but I don't think that it doesn't have no
13 place in our discussion and consideration in my
14 opinion of this NDA because we are dealing with a very
15 severe epidemic in this country, epidemic of obesity
16 that is killing many, many thousands every year, and
17 unfortunately, I don't know whose fault it is, but for
18 lack of better suspect, I blame the pharmaceutical
19 industries.

20 They have not come up with any drug that
21 is absolutely 100 percent false proof, and obviously
22 they have put their efforts in another area or other
23 areas.

24 So I think that there are ways that we can
25 hopefully, as a group of concerned citizens, I hope

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that my colleagues in the community will consider that
2 and FDA will consider seriously that we can find a
3 nice, compromise that will save the people in this
4 country and will also protect them against all of the
5 problems that we envision in this kind of discussion
6 that this new drug may have.

7 I see no reason why we can conditionally,
8 unless Dr. Sobel tells me I am totally out of order we
9 can recommend to Dr. Sobel and his colleagues that we
10 can conditionally approve this NDA, commit the company
11 that makes it to a nicely designed phase IV study, and
12 giving the FDA the authority that investigations that
13 will ensue they can withdraw approval if they can do
14 it I think there is a precedent for all of this I just
15 said.

16 I hope we can make a compromise and I hope
17 that we can hopefully get a message that in this
18 country we have to deal with the problem of obesity as
19 an epidemic and try to see how we can help it.

20 There is no drug on the horizon and the
21 one that we have that has been approved since '73,
22 nobody has used it, and that is a different story and
23 I don't want to get into that but that is my feeling
24 at this time.

25 I am very disturbed to see that somehow we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 are worried about some very, very, limited information
2 and I respect both sides, and I know that they are
3 experts in the world in this field, but that is the
4 cutting edge of neuroscience and has nothing to do at
5 this time to the important public health issue.

6 We can safeguard the safety of the public
7 if that is the concern with methods we have at our
8 disposal and I hope that we can do it.

9 That is my comment. Thank you.

10 CHAIRMAN BONE: Thank you. Dr. Sherwin,
11 did you have comments to add?

12 DR. SHERWIN: Not many, really. This is
13 a real close one and I recognize the problem of
14 obesity and have not solved it myself.

15 I think that this drug has efficacy and I
16 think that the company has provided evidence for that.

17 I think that the problem we face is just
18 as we have discussed, the toxicities and the fact that
19 we as a committee had not even had a chance to think
20 about this issue before we got here because we had no
21 information about the controversies that existed prior
22 to that.

23 I know I would feel a lot more comfortable
24 if there had been some limited neuropsych data, and my
25 guess is that the company could provide that with a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 focus study over a relatively short period of time.

2 I think it might be unfair to expect a
3 very extensive, drawn out kind of study, but at the
4 same time it would be nice to have a little bit more
5 refined assessment by people who are really experts in
6 this specific area, that would be my gut feeling.

7 CHAIRMAN BONE: All right. Well, it seems
8 to me that we have --

9 DR. COOPER: Dr. Bone, I am sorry to
10 interrupt, but I have been made aware that in fact
11 there were two or three relatively small
12 neuropsychiatric studies in controlled clinical trials
13 done and submitted in the NDA and we can give you a
14 very brief presentation of that if it is of relevance
15 to the committee.

16 CHAIRMAN BONE: Well, it's late in the
17 day.

18 DR. COOPER: That's right. Literally, a
19 few words. Dr. Richard Gammans is our director of
20 clinical research.

21 CHAIRMAN BONE: I think it is -- if he
22 will be precise, I think it -- we did ask about this
23 a little earlier but I don't think we want to --

24 DR. COOPER: I just wasn't aware of the
25 data. I am sorry.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: I understand. I don't
2 think we want to deprive the committee of the
3 information.

4 MR. GAMMANS: I apologize for not being
5 able to reach you from up here, but in fact, there
6 were four occasions where neuropsychological testing
7 was conducted.

8 Two studies at MIT involving 15 placebo
9 and 15 dexfenflamine patients included the pons and
10 the reaction time testing.

11 Those were statistically tested and not
12 found to be significantly different. Those are
13 included in the NDA and are published.

14 In addition to Dr. Noble's study we in
15 fact, were able to test the data. We just simply were
16 not able to get it to him since he was blinded.

17 He included the Stanford sleepiness scale,
18 and the mini-mental status scale, and again, those
19 were tested placebo versus dexfenflamine and they
20 were found not to be statistically, significantly
21 different.

22 Finally, the Hamilton depression rating
23 scale was included in two of the pivotal trials that
24 were discussed today for the expressed purposes at the
25 request of the agency, baseline assessments, week 12,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 assessments and 4 weeks following treatment were
2 included.

3 The baseline scores averaged four, the in
4 point scores also averaged four and the post follow up
5 scores averaged four.

6 There were no significant differences, and
7 there were no changes on any significant
8 neurocognitive item assessed including the core
9 symptoms of depression, anxiety and suicidality.

10 CHAIRMAN BONE: Let me see if I
11 understand, in summary then, in the pivotal studies
12 that have been reviewed, the larger studies, you had
13 the Hamilton depression score?

14 MR. GAMMANS: The 003 and 005,
15 specifically.

16 CHAIRMAN BONE: And Dr. Noble's results,
17 which were not submitted to the NDA, you have just
18 described, and how many patients were involved in
19 that?

20 MR. GAMMANS: Those I have data on 12
21 placebo and 18 dexfenflamine.

22 CHAIRMAN BONE: And the other study was 15
23 in each group. Right?

24 MR. GAMMANS: Right, but the 003 and 005
25 studies, the total 30 milligram per day exposure

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 versus placebo exceeded 170 per treatment.

2 So that is the large and the longer of the
3 data, three months of treatment and one month follow
4 up.

5 CHAIRMAN BONE: The largest study looked
6 only at the depression score, but did look at that.

7 MR. GAMMANS: Right.

8 CHAIRMAN BONE: It was in 170 subjects
9 over three months.

10 MR. GAMMANS: One hundred and seventy in
11 each treatment.

12 CHAIRMAN BONE: Correct. Exposed for
13 three months.

14 MR. GAMMANS: For three months and one
15 month following the three months.

16 CHAIRMAN BONE: Are those summarized in
17 the briefing document?

18 MR. GAMMANS: I am not aware that they
19 are. They are included in the study reports, but I
20 don't know for sure that they are in this briefing
21 document.

22 CHAIRMAN BONE: Okay. Thank you. Dr.
23 Lutwak, were those reviewed?

24 DR. LUTWAK: No. They weren't.

25 CHAIRMAN BONE: Okay. Thank you.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. LUTWAK: You mentioned one other study
2 with 15 subjects. How long was that one?

3 MR. GAMMANS: Three months.

4 CHAIRMAN BONE: All right.

5 DR. COOPER: I have got 5 weeks as the
6 duration.

7 CHAIRMAN BONE: Okay. So it does sound as
8 though there is a small amount of information on this
9 point.

10 It seems to me that we are not simply at
11 a crossroads, but at a confluence of several
12 considerations, one is the enormous concern with the
13 public health implications of obesity, which have been
14 very well outlined by both the sponsor and the agency
15 and the committee are all very sensitive to this, and
16 each of us in our practices or in our academic
17 disciplines have been concerned with this problem in
18 a significant way. I am sure.

19 It seems to me that on the efficacy side
20 we are reviewing studies which were completed prior to
21 the formulation of the recent guidelines so naturally
22 they are not perfect aligned with those guidelines as
23 there would have had to have been prescience on the
24 part of the sponsor in order to do that, but those
25 guidelines suggested that the first criterion would be

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a five percent difference in the average weight loss
2 between the placebo group and the treatment group as
3 the sort of, first line efficacy end point with the
4 provision for prospectively planning to identify
5 responders versus non-responders or looking at
6 categorical analysis, and it turns out that, obviously
7 this couldn't have been done prospectively in this
8 case --

9 DR. COOPER: It was. The index was
10 prospective.

11 CHAIRMAN BONE: I see. All right. Fair
12 enough, and there was a significant difference in
13 subjects.

14 There was about a 50 percent increase in
15 patients reaching the 5 or 10 percent weight loss goal
16 over the placebo group, about 50 versus 75 percent, if
17 I recall.

18 So, in effect, it seems like the second
19 line efficacy criteria have been addressed, and there
20 certainly was a high degree of statistical
21 significance even though the margin of difference was
22 somewhat smaller on the mean.

23 The duration of study was a year's
24 observation, and we did not have the opportunity to
25 have the year follow up or year-on open label

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 treatment that was suggested in the guidelines, but
2 again, these are the data we have to review.

3 It seems that with respect to the safety
4 question there seem to be two concerns which have
5 emerged.

6 One is the relatively rare but frequently
7 fatal or usually fatal complication of pulmonary
8 hypertension, which is more common in patients who
9 have been taking this drug, and we have heard
10 discussion about the comparative number of lives saved
11 versus lives lost based on this.

12 Also, it seems that there is a major
13 concern about neurotoxicity. We have been told that
14 clinical concerns about neurotoxicity have not arisen
15 from the spontaneous reporting information around the
16 world, but we also saw that a dose only twice as high
17 as the proposed dose there was significant increase in
18 the risk of patients having trouble with
19 concentration, primarily.

20 The numbers were not enormous, but then
21 the studies were not enormous so that has to be taken
22 into context.

23 The discussion on the histopathology here
24 has been one that I am sure has been a little
25 frustrating for members of the committee as well as

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 members of the sponsoring organizations and the
2 consultants because it is clear that there still
3 remain some controversies about this and we have had
4 a spirited discussion of methodologies.

5 One of the important considerations, it
6 seems to me here is the discussion of co-morbidities
7 and the discussion of clinical effects on neurological
8 and psychological function.

9 We have data presented in the briefing
10 document by the sponsor indicating a favorable effect
11 on co-morbidities, but if I understand correctly these
12 are not data for which the FDA has received as part of
13 the ND application and so they have not been reviewed
14 yet.

15 DR. COOPER: All of that data was included
16 in the NDA.

17 CHAIRMAN BONE: Is that correct?

18 DR. LUTWAK: Yes, but --

19 CHAIRMAN BONE: That is an important
20 point.

21 DR. COOPER: Every piece of data shown was
22 in the NDA.

23 DR. LUTWAK: Yes, but those were not
24 statistically significant.

25 DR. COOPER: It was highly significant.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. LUTWAK: They were not statistically
2 significant.

3 DR. COOPER: I beg to differ, sir.

4 CHAIRMAN BONE: Thank you. The committee
5 members may wish to take a moment then to review the
6 briefing document and have a look at that before we go
7 on to answer the questions, and the functional
8 consequences of a possible neurotoxicity as to say
9 some alteration in brain function we have a limited
10 amount of data that has just been described.

11 I think that naturally the committee
12 members would like to see long term data and large
13 numbers of patients because that would reflect the
14 clinical circumstances that are likely to occur here,
15 but the information that we have is what we have.

16 I think we are just going to take a moment
17 here. The page number for the --

18 DR. COOPER: Forty-five.

19 CHAIRMAN BONE: Page 45. Maybe we could
20 just take a moment while people review that.

21 DR. COOPER: Chairman Bone?

22 CHAIRMAN BONE: Yes.

23 DR. COOPER: Forty-five to 47.

24 CHAIRMAN BONE: Thank you.

25 DR. LUTWAK: I apologize. You have data

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 on a co-morbidity in one study of blood pressure.

2 You talked about effect on diabetes, but
3 the diabetes data is published data, the data
4 themselves have not been submitted to the NDA.

5 DR. COOPER: The published studies were
6 submitted to the NDA.

7 DR. LUTWAK: Yes, the articles were, but
8 the data was not, the articles were.

9 CHAIRMAN BONE: I see.

10 DR. LUTWAK: The articles were.

11 DR. COOPER: In two of the studies the
12 primary data was submitted, the rest were published
13 articles.

14 DR. LUTWAK: There were three studies
15 submitted to the NDA. The index study and the 24 week
16 studies. This study on diabetes was not.

17 CHAIRMAN BONE: It doesn't sound like we
18 are going to get --

19 DR. COOPER: We submitted all 19 placebo
20 controlled, double-blind trials to the NDA as well as
21 about 22 other clinical pharmacology studies, studies
22 in co-morbidities and I believe the record will show
23 that all of those studies are, in fact, concluded in
24 the NDA.

25 CHAIRMAN BONE: Do I understand correctly

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that the hypertension data were from the pivotal study
2 and the other data on co-morbidities were from some
3 smaller, independent studies?

4 DR. COOPER: That is correct.

5 CHAIRMAN BONE: Thank you. So we do not
6 have the co-morbidity data from the index study, for
7 example, except for hypertension.

8 The diabetes data and lipid data are --

9 DR. COOPER: We did show one data set this
10 morning of index patients who are hypocholesterolemic
11 showing a significant change at 6 months and 12 months
12 in total cholesterol levels, which was the only
13 measurement given in that study.

14 CHAIRMAN BONE: Right. Thank you, and the
15 index study was it the index study that included the
16 depression scale or no?

17 Was that a different study?

18 DR. COOPER: That was the three month
19 study.

20 CHAIRMAN BONE: That was the three months
21 study only. So the long term study was you have some
22 biochemical measurement and blood pressure.

23 Thank you. All right. What, then, I
24 think, each member of the committee I think, will have
25 to bear in mind is the relative weight to give to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 these additional pieces of clinical information when
2 trying to evaluate our concerns about efficacy and
3 toxicity.

4 Certainly it may be productive to have
5 further review at some time of some of these pieces of
6 information, in a more formal way.

7 Is it agreeable to the committee now to go
8 ahead and start answering the questions? Dr. New, did
9 you have a --

10 DR. NEW: I just wanted to ask, what
11 exactly are the options to the questions? Is it just
12 yes or no?

13 CHAIRMAN BONE: Well, I think the
14 tradition in this committee has been to give a yes or
15 no answer and then a very brief comment can be
16 appended for the record, if you like. Okay.

17 All right. I think we have all of those
18 sort of summary points I made. Everybody is clear
19 about now, at least, so we know what we have got as
20 well as we are going to.

21 The first question for the committee is
22 the efficacy question, and that is: Is the evidence
23 of efficacy sufficient to warrant approval of
24 dexfenflamine for long term or indefinite use as
25 proposed?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 We have received comments or answers from
2 two of the committee members who stayed until very
3 recently and then left their notes, and we will read
4 those after the rest of the committee has voted.

5 Dr. Critchlow.

6 DR. CRITCHLOW: On question one I think
7 there is sufficient evidence of efficacy.

8 DR. SHERWIN: Yes.

9 CHAIRMAN BONE: Dr. New.

10 DR. NEW: Yes.

11 CHAIRMAN BONE: Dr. Colley.

12 DR. COLLEY: Yes.

13 CHAIRMAN BONE: Yes. The Chairman would
14 say yes with some reservation as to the co-morbidity
15 information being much less than we would like to see.

16 I see nodding that other members of the
17 committee would agree with that point.

18 MS. REEDY: Dr. Illingworth responds yes
19 provided the indications for use are sufficiently
20 stringent, body mass index greater than 30, and I
21 would favor a lower body mass index in the concurrent
22 incidence of type II diabetes, hypertension,
23 hypolipidemia and sleep apnea.

24 Dr. Kreisberg says no. I endorse the
25 concept of incremental reductions in weight loss

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 produced by monotherapy with anti-obesity drugs or use
2 of drugs in combination.

3 The incremental change produced by
4 dexfenflamine is small in light of uncertainly about
5 neuropathological changes and the absence of clinical
6 data regarding neuropsychiatric or personality
7 changes. My answer is no.

8 CHAIRMAN BONE: I think obviously some of
9 the comments made by the absent members would bear on
10 questions 2 and 4 as much as they would on question 1.

11 The second question is: Is the evidence
12 of safety sufficient to warrant approval for long term
13 use as proposed? Dr. New, would you care to start.

14 DR. NEW: My answer is no and my reason
15 for it is the absence or rather, not the absence
16 because we have just heard some small report, but I am
17 concerned about the paucity of data regarding
18 psychiatric and cognition outcomes which I think may
19 be in the offing but still need to be analyzed and
20 reported and although we have heard that there is good
21 study on hypertension.

22 I think that the co-morbidity studies for
23 the other co-morbidities of obesity would benefit from
24 a greater in depth study.

25 I also put down that I thought that the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 efficacy was proven but that I would use it with
2 caution with a BMI over 30, and I do not know if that
3 is within my right, but I also thought there should be
4 interval visits at three months where there is a
5 report and that the drug would be stopped if it is
6 infective, of course, and that if the committee
7 finally decided to approve this and with respect to
8 the safety studies I also thought that there should be
9 three month interval visits with reports.

10 CHAIRMAN BONE: Thank you. I think those
11 comments would apply very well in question 4 about
12 labeling of issues. That would be fine. Thank you.

13 Dr. Colley.

14 DR. COLLEY: My answer is no, and again,
15 for the limitations on the data we have on the
16 neuropsych toxic effects.

17 DR. BORHANI: My answer is yes because I
18 am not convinced of the argument on the neuroscience
19 debate.

20 CHAIRMAN BONE: Dr. Critchlow.

21 DR. CRITCHLOW: I would say no at least
22 until the FDA has had a chance to review some of the
23 neuropsychological data, which would be the closest in
24 my mind to clinical significance of potential
25 neurotoxicity.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN BONE: Dr. Sherwin.

2 DR. SHERWIN: No. I am not convinced that
3 there really are toxic effects, but I think there is
4 enough of a question and I think the company could --
5 I am particularly concerned with the long term aspects
6 of the question.

7 I don't believe we have proved safety long
8 term. Short term, perhaps.

9 CHAIRMAN BONE: The Chairman would also
10 answer in the negative. I think this is where I would
11 balance the lack of favorable co-morbidity information
12 on a large scale, long term kind of basis as being
13 weighed against the concerns about toxicity,
14 particularly I think there was -- it is too bad in a
15 way that opportunities to collect more information of
16 the kind that Dr. Sherwin has just mentioned weren't
17 taken in the past.

18 These might be addressable issues, and
19 again, from my standpoint would be balanced against
20 the first question.

21 There were two additional comments I
22 think.

23 MS. REEDY: Dr. Kreisberg, no. There is
24 insufficient data to resolve the discrepancies
25 concerning the sponsor's position and those of the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 experts.

2 They are important differences that should
3 be resolved before these are answered.

4 He would be willing to accept the
5 idiosyncratic complication of PPH.

6 Dr. Illingworth responds yes at the
7 proposed dose and with a two year study to look at
8 phase IV trial to gather more data. I would vote the
9 safety data is adequate.

10 DR. BORHANI: Mr. Chairman, can I ask you
11 a procedural question. I don't like to be the
12 minority. I can't speak for Roger. He is gone, but
13 knowing him I have the feeling he might agree with me.

14 I have a feeling the reason there is that
15 kind of dichotomy among the members of the committee
16 is because that we are mixing up our concerns when
17 they belong to the issue number three and four and my
18 dear friends at the FDA, can I ask you again, Dr.
19 Sobel, a question?

20 Is it appropriate for us to make a
21 stringent recommendation for a well-designed phase IV
22 study or recommendation that if some of the concerns
23 are not answered by the sponsor FDA could indeed
24 withdraw?

25 I realize our recommendation is not going

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to tell FDA what to do, what not to do.

2 We are just advising but these two
3 administrative and politically potential questions,
4 can you answer?

5 DR. SOBEL: Yes. The idea of conditional
6 approval. That isn't the word that we like to use
7 since it doesn't have any real regulatory strength,
8 but there are routes of expedited approval with such
9 studies with provisions of retraction.

10 The issue here, though, may not fall
11 within the realm of the so-called accelerated
12 approval.

13 It is something we could consider, but my
14 original reading is that this would not be the type of
15 situation in which we would apply that, but certainly
16 your question is a legitimate question given these
17 ideas would we move to some sort of a situation where
18 we would have an expedited approval with understanding
19 that the approval could be readily withdrawn but,
20 frankly I don't think that this situation would
21 warrant that particular paragraph in our rules to be
22 applied and I don't want to go into all of the
23 shadings of that, but my reading would be that it
24 would not apply here.

25 CHAIRMAN BONE: Dr. Sobel has in the past

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 come out about difficulties involved in enforcement of
2 this kind of thing. Dr. New, please.

3 DR. NEW: I guess I need also some advice.
4 If the phase IV study is very stringent with reporting
5 and with discontinuation of the approval, if the
6 reporting is unfavorable, then I don't know why we are
7 voting on 2 as a yea or nay.

8 I mean, it is confusing to me, because you
9 see, I am in favor of the phase IV trial, I am
10 strongly in favor of it, but if my voting no on two
11 means that I vitiate that opportunity then I am going
12 to vote yes.

13 CHAIRMAN BONE: Well, I think --

14 DR. BORHANI: That is exactly the question
15 because I have discussed this with some of my friends
16 and that is exactly the sentiment I heard from them,
17 that if they cannot have a phase IV to answer all of
18 these important questions, they are going to vote yes.

19 CHAIRMAN BONE: I think at this point what
20 we have to -- just a moment please. Go ahead Dr.
21 Troendle.

22 DR. TROENDLE: I was just going to say
23 that I think you have the option of saying that this
24 study should be done prior to an approval or whatever
25 you feel is appropriate.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D C. 20008

1 CHAIRMAN BONE: That was certainly
2 suggested earlier in Dr. Stadel's comments about
3 whether that -- it is a question about whether such a
4 study would, in effect be a phase II study required
5 for approval or a phase IV study for follow up.

6 I think the question we are being asked
7 is, is the safety information sufficient to warrant
8 approval for long term use as proposed, and I think,
9 then the, if I understand what the agency is asking us
10 in the third question is the -- if you did approve the
11 drug, if the drug were approved should such a phase IV
12 study then be added on.

13 If the drug were not approved then
14 presumably additional data would be required by the
15 agency before approval.

16 The question III would only apply in the
17 event of approval. It wouldn't mean that the study
18 wouldn't be done. It would only mean it wouldn't be
19 done after approval.

20 Is that a correct understanding of the
21 agency's question to the committee?

22 DR. SOBEL: Yes, the agency questions that
23 if we had voted yes to one and two then we would get
24 your input as far as the phase IV study, but as it
25 stands now, I think what Dr. Troendle was saying,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 there is no reason why, in the process of gaining
2 approval, that this so-called phase IV study be done
3 now as a preapproval step.

4 Is that your --

5 DR. TROENDLE: Yes. I think this would be
6 a way to get approval because it would show the people
7 the actual benefits, perhaps.

8 DR. NEW: Henry, I think that the sadness
9 of this would be because there are no, practically no
10 other agents to deal with a chronic disease like this,
11 that if it means that approval isn't given that phase
12 IV would not be done because it wouldn't become a
13 phase III, then you would never get the drug
14 evaluated.

15 CHAIRMAN BONE: No. I don't think that is
16 what Dr. Troendle and Dr. Sobel are saying.

17 DR. BORHANI: No? What did they say.

18 CHAIRMAN BONE: Let me see if I can just
19 rephrase here. I think the question is the following:
20 If the drug is not approved in the immediate future,
21 than obviously additional studies would be required by
22 the agency for approval.

23 If the drug is approved now then would
24 additional studies be required after approval? Okay.

25 It is not saying -- I don't think the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 agency is suggesting that this information would not
2 be obtained. It is just a question of whether it
3 would be obtained after approval if approval had been
4 recommended or before approval if approval were not
5 recommended.

6 Does that correctly state the case here?

7 DR. SOBEL: That is correct.

8 CHAIRMAN BONE: Thank you. Okay. Then I
9 guess the next question to go on to then perhaps
10 should be modified slightly, and that is to ask each
11 of the committee members in the first place do you
12 think a study of this kind should be done,
13 irrespective of the phase in which it is done, and
14 would you make some additional comments about
15 important end points that might be looked at.

16 Perhaps you would like to address that,
17 Dr. New.

18 DR. NEW: I would really like very much to
19 endorse that the phase IV study be done and I am
20 feeling sufficiently unsure about my no vote on 2 that
21 I am prepared to change it to a yes, with the idea
22 that safety has been evaluated and insofar as it has
23 been evaluated it seems to be adequate, but I think
24 the phase IV study must be done emphasizing
25 particularly neuropsychiatric disorders, co-

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 morbidities, and very careful reporting at three
2 monthly intervals.

3 CHAIRMAN BONE: All right. We will let
4 you think another minute about whether you are
5 actually changing your vote or just thinking about it.

6 Dr. Colley. The question now is the
7 majority of the committee having voted in the negative
8 on question 2 and in the positive on question 1, I
9 think that we should put aside for the moment the
10 question of what phase this study is done in and do
11 you favor doing such a study.

12 Do you think this would be -- and what
13 particular comments would you have to make about it?

14 DR. COLLEY: I would encourage such a
15 study and in addition, the co-morbidities of glycemia,
16 lipemia, blood pressure control and responders, also
17 would want to look at the toxicities.

18 CHAIRMAN BONE: What do you mean by
19 toxicity?

20 DR. COLLEY: Excuse me, to be a little
21 more specific, neurotoxicity

22 CHAIRMAN BONE: Do you mean in subjects or
23 in animals?

24 DR. COLLEY: In subjects.

25 CHAIRMAN BONE: In other words you would

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 want to do what kind of studies to evaluate
2 neurotoxicity?

3 You are talking about psychological and
4 functioning?

5 DR. COLLEY: Psychological, yes.
6 Clinical.

7 CHAIRMAN BONE: Okay. Thank you. Dr.
8 Borhani.

9 DR. BORHANI: I am sorry to prolong this,
10 but this conversation reminds me of the zen and the
11 art of motorcycle maintenance, and we are sitting on
12 a whole other dilemma.

13 I hate to see that this phase IV type of
14 study we are talking about, and I have a feeling there
15 is unanimous agreement among the committee.

16 I hate to see that FDA people will end up
17 not having it or this country will be deprived of the
18 results of it.

19 In my experience and my reading of
20 whatever I see, I am a very naive person but I can
21 guarantee and bet on it right now that if we talk
22 about approval of phase IV kind of study, that
23 conducting the phase IV kind of study before approval
24 given you will never see this kind of study done.

25 I hate to say that. I don't know this

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 company, but I know other companies, and I would like
2 to emphasize that the industry and the government and
3 the people owe it to the people of this country to do
4 something to prevent this epidemic of obesity.

5 CHAIRMAN BONE: I am aware that the
6 sponsor would like to make a comment, but we are in
7 the middle of voting. I am sorry.

8 DR. BORHANI: I am sorry. The answer is
9 yes.

10 CHAIRMAN BONE: Dr. Sherwin.

11 DR. SHERWIN: Obviously the committee is
12 having difficulties today because we are torn, and
13 clearly the sentiment of this committee is
14 enthusiastic and supportive of efforts to deal with
15 obesity.

16 I feel most comfortable with getting more
17 data. I want to encourage the company to come back to
18 us, and we would all be very disappointed if you
19 didn't.

20 CHAIRMAN BONE: Dr. Critchlow.

21 DR. CRITCHLOW: I think I am becoming lost
22 in terms of what --

23 CHAIRMAN BONE: I think we are talking
24 about now, irrespective of the phase in which this
25 kind of study is done, do you think it should be done?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Is it necessary, and what specific suggestions would
2 you make?

3 DR. CRITCHLOW: I think a study should be
4 done. I am not convinced that it is necessary in
5 terms of approval.

6 I think I am relatively convinced by the
7 epidemiology data that significant weight reduction
8 will result in reduction in co-morbidities.

9 CHAIRMAN BONE: Do you think it is
10 necessary for evaluation of safety of the drug?

11 DR. CRITCHLOW: Safety is another issue.
12 I think I would be more inclined to say that some
13 additional data on safety need to be made available.

14 The issue is, it is unclear to me how much
15 additional data is perhaps out there that has not been
16 adequately reviewed.

17 CHAIRMAN BONE: From the standpoint of the
18 Chair, I think that clearly the sense of the committee
19 has been some frustration in that the package,
20 although many studies done for various reasons over
21 the years have been included, is not quite as solid as
22 we would have liked to have seen with respect to a
23 number of these issues including co-morbidities,
24 clinical neurological and psychological effects,
25 closure on some of the pathology and toxicology issues

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and so on.

2 So it seems to me that a very well
3 designed additional clinical trial would go a long way
4 toward resolving some of these questions.

5 Whether these can be completely resolved
6 without really reaching closure on the neurotoxicology
7 it is another question.

8 I think that is an area in which the
9 agency and the company and probably independent
10 consultants from various concerned fields would do
11 well to try to come together on a way of resolving
12 that issue.

13 We are disturbed by finding that doses
14 which are not remarkably high, we saw this clear
15 difference between treatment and placebo treated
16 animals with respect to calcifications.

17 MS. REEDY: Dr. Kreisberg supports a phase
18 IV study adequately designed. He likes longer than
19 two years.

20 Dr. Illingworth responds: strongly
21 endorse a well-controlled two year that compares
22 dexfenflamine and lifestyle modification versus
23 lifestyle only, and the trial should include stringent
24 follow up, assessment for morbidity and mortality, use
25 any available means to assemble evidence for serotonin

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 depletion long term.

2 DR. BORHANI: So, Mr. Chairman --

3 CHAIRMAN BONE: Please.

4 DR. BORHANI: I have to ask a question.

5 CHAIRMAN BONE: What is it?

6 DR. BORHANI: The answer is unanimous,
7 therefore.

8 CHAIRMAN BONE: No. I think what we said
9 was that irrespective of the phase in which the study
10 was done, a study along the lines described was
11 regarded as very important by the committee.

12 I notice Dr. Bilstad has a comment or a
13 question.

14 DR. BILSTAD: I just wanted to put into
15 perspective the first two questions. There was some
16 discussion after those questions that led me to
17 believe that perhaps there was some misunderstanding
18 of the intent of the agency in asking those questions.

19 Whenever a drug is presented to a
20 committee those two questions are asked. It is an
21 efficacy question and a safety question, and sometimes
22 those questions are combined into one question.

23 For example: Is there sufficient evidence
24 of safety and effectiveness to approve the drug?

25 Sometimes they are split up as they are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 here. Sometimes a third question is added: Do you
2 recommend that the drug be approved?

3 The intent here, dealing with efficacy
4 first, the safety question becomes relative. There is
5 no absolute safety.

6 It is always relative compared to the
7 indication of the drug and how effective it really is.

8 The intent here is that it was sort of in
9 the safety question that the benefit/risk part of it
10 would be included.

11 If the committee voted in favor or no to
12 a majority to either one of those, then we would view
13 that as a vote not to approve the drug.

14 The question about the phase IV study,
15 phase IV by definition is after the drug has been
16 approved. So, if you vote not to approve the drug,
17 then in one sense the phase IV question becomes moot.

18 I have some impression from some of the
19 discussion, particularly from Dr. New, that she did
20 not view question number 2 as necessarily being a vote
21 against approval.

22 DR. NEW: I wasn't clear on that. If I
23 could phrase what I want to have happen is I would
24 like to approve the drug, but not approve it unless
25 the phase IV study is absolutely agreed upon.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Approval should be contingent upon the
2 phase IV study.

3 CHAIRMAN BONE: Dr. Bilstad any further
4 comment?

5 DR. BILSTAD: I would just like to make
6 one further comment on phase IV and commitments. Dr.
7 Sobel had mentioned accelerated approval.

8 That is a formal process that is reserved
9 for certain situations and probably doesn't apply
10 here.

11 However, we certainly raise the issue of
12 phase IV studies with companies and we can ask for a
13 commitment from the company to do the phase IV.

14 DR. BORHANI: That is exactly the question
15 I posed to Dr. Sobel. You just rephrased it and I
16 appreciate that.

17 I think that is an important issue. If we
18 can recommend to FDA that we would like you to
19 consider what you just said. That makes me happy.
20 That makes her happy.

21 CHAIRMAN BONE: Thank you. The final
22 question will be having to do with the issues the
23 committee recommends be addressed in labeling.

24 This will presuppose that the drug were
25 approved, obviously the drug is not approved. This

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 will be issues for later on.

2 So irrespective if you are in favor of
3 approval at this time or not, do you have specific
4 comments about directions, prescribing directions,
5 warnings, precautions, evidence, whatever.

6 Dr. New.

7 DR. NEW: Yes. I have two. It should be
8 prohibited in pregnant women.

9 There should be something that says this
10 drug should not be taken if you are already taking X,
11 Y, Z, or other drugs that we saw might be in any way
12 additive or conflicting.

13 There seemed to be some drug interactions,
14 and perhaps the label should include information on
15 the drug interactions.

16 CHAIRMAN BONE: What would you say about
17 to the extent that drug interactions had not been
18 investigated as well?

19 In other words, if there is an absence of
20 information about certain classes of drugs, should
21 that be included?

22 DR. NEW: I really don't know enough to
23 answer you.

24 CHAIRMAN BONE: Okay. Thank you. Dr.
25 Critchlow, do you have labeling comments?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. CRITCHLOW: I felt the contra-
2 indications section should be expanded, and the other
3 was again depending on how the data eventually looked,
4 perhaps a slight expansion of the animal data.

5 There is one sentence in here on the
6 relationship, again, potentially to the neurotoxicity,
7 and again, in the warning section perhaps some type of
8 alter to potential signs of neuropsychiatric issues as
9 well.

10 CHAIRMAN BONE: Dr. Sherwin.

11 DR. SHERWIN: My only point is anorectic
12 agents that shouldn't be combined, not to mix anorectic
13 agents.

14 CHAIRMAN BONE: Dr. Borhani. Any
15 additional comments?

16 DR. BORHANI: No, I suppose.

17 CHAIRMAN BONE: What were the comments of
18 the other two members?

19 MS. REEDY: Dr. Kreisberg had none, and
20 Dr. Illingworth's was: Do not use with one of the
21 drugs in the same class, and mentioned the need for
22 ongoing follow up and continued lifestyle changes in
23 support of that, used as an adjunct to, not as a
24 substitute for caloric restriction and exercise.

25 CHAIRMAN BONE: Dr. Bilstad.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. BILSTAD: I have just a little
2 residual concern. I want to make sure that the agency
3 understands what the committee is recommending.

4 In view of the comments that I made
5 before, would it be worth while for the committee to
6 consider the question: "Should the drug be approved
7 on the basis of the information presented at this
8 time?"

9 The reason I ask that is I am still struck
10 with what appears to be different signals in the
11 response to question number 2 and the response to
12 question number 3.

13 What is your perception on that, Dr. Bone?

14 CHAIRMAN BONE: Certainly our principal
15 function here is to act to advise the agency on
16 questions which the agency would like advice about.

17 I think I put a preposition at the end of
18 a sentence. I am sorry.

19 I think we have a problem in that some of
20 the committee members were obviously not asked about
21 this.

22 Perhaps a way of handling that would be to
23 poll the remaining committee members but not regard
24 this as a formal vote of the committee. Would that be
25 acceptable to you?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DR. BILSTAD: On whether or not they
2 recommend that the drug be approved on the basis of
3 the information?

4 CHAIRMAN BONE: Right. It is, in effect,
5 adding an additional question.

6 DR. BILSTAD: I would like to add the
7 additional question: In evaluating the benefit risk,
8 the benefits and the risks of this drug, would the
9 committee recommend approval based on the data
10 presented.

11 In other words, it is a benefit/risk
12 assessment, based on the data presented does the
13 committee recommend approval.

14 CHAIRMAN BONE: We have five members of
15 the committee remaining. That is a point to consider.
16 It doesn't constitute a quorum.

17 DR. BILSTAD: I understand that.

18 CHAIRMAN BONE: Thank you. Dr. New.

19 DR. NEW: I would evaluate the
20 benefit/risk ration meriting approval.

21 DR. BORHANI: I agree with her.

22 CHAIRMAN BONE: Dr. Critchlow.

23 DR. CRITCHLOW: I think the agency should
24 consider approval.

25 DR. SHERWIN: I don't have enough

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 information to fully evaluate that issue. I would
2 have to say no, even though I suspect it would be yes
3 in a few months.

4 CHAIRMAN BONE: I would have to say that
5 the questions that remain open, based on the
6 information presented here today, would prevent me
7 from favoring approval at this time.

8 After closure of those questions it is a
9 different story. So that is a no.

10 DR. BILSTAD: One possibility would be for
11 us to poll the other members of the committee who have
12 left, since they did not have the opportunity to
13 answer that question. Would that be appropriate?

14 CHAIRMAN BONE: You can do that. You have
15 heard their comments, which I think were quite -- did
16 address that fairly well.

17 I think that would be reasonable and we
18 will ask the executive secretary of the committee to
19 do that with this question in mind.

20 I won't speak for the other members of the
21 committee. So we won't have a resolution on that
22 question today. Okay.

23 It is clear that there will not be an
24 majority answer to Dr. Bilstad's question today.

25 We won't have a final answer on that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 because the committee is divided 3 to 2 and several
2 members are not here.

3 Have we finished on labeling? The
4 Chairman would just endorse the labeling comments made
5 by the others, I think.

6 Well, it has been a long day and it is
7 5:36. So I think we will adjourn this meeting and
8 thanks very much to everyone who was involved.

9 (Whereupon, the proceedings were adjourned
10 at 5:38 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

CERTIFICATE

This is to certify that the foregoing transcript in
the matter of: Endocrinologic and Metabolic Drugs
 Advisory Committee

Before: Food and Drug Administration

Date: September 28, 1995

Place: Rockville, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in cursive script, appearing to read "Charles P. Ruff", written over a horizontal line.

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008