

FOOD AND DRUG ADMINISTRATION

+ + + + +

DRUG ABUSE, AND ENDOCRINOLOGIC

AND METABOLIC DRUGS ADVISORY

COMMITTEES JOINT MEETING

+ + + + +

CENTER FOR DRUG EVALUATION

AND RESEARCH

+ + + + +

OPEN PUBLIC SESSION

+ + + + +

Friday, September 29, 1995

+ + + + +

11-21-95A10:31 RCVD

The Committees met in Conference Rooms G, H, I & J, in the Parklawn Building, 5600 Fishers Lane, Rockville, Maryland 20857, at 9:00 a.m., Richard Meisch, M.D., Ph.D., and Henry G. Bone, III, M.D., Chairmen, presiding.

ORIGINAL

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

PRESENT:

RICHARD MEISCH, M.D., Ph.D., Chairman, Drug Abuse Advisory Committee
 HENRY G. BONE, III, M.D., Chairman, Endocrinologic and Metabolic Drugs Advisory Committee
 STEPHEN P. POLLITT, P.A.-C., Executive Secretary, Drug Abuse Advisory Committee
 LEO LUTWAK, M.D.
 GLORIA TOENDLE, M.D.
 SOLOMON SOBEL, M.D.
 PAUL LUISADA, M.D.
 ELIZABETH KHURI, M.D.
 NEMAT BORHANI, M.D., M.P.H.
 ALICE YOUNG, Ph.D.
 LISA MOJER-TORRES, J.D.
 CATHY W. CRITCHLOW, Ph.D.
 MARIA I. NEW, M.D.
 MICHAEL KLEIN, Ph.D.
 CURTIS WRIGHT, M.D.
 DOUGLAS KRAMER, M.D.

ALSO PRESENT:

RICHARD ATKINSON, M.D.
 DENISE E. BRUNER, M.D.
 PIETR HITZIG, M.D.
 BELINDA HAYES, Ph.D.
 THEODORE J. CICERO, Ph.D.
 GEORGE BRAY, M.D.
 ROBERT Y. MOORE, M.D., Ph.D.
 BRUCE CAMPBELL, M.D.
 STANLEY LAWRENCE, Ph.D.
 RICHARD GAMMANS, Ph.D.
 CAROLYN McCLOSKEY, M.D., M.P.H.
 JAMES WRIGHT, Ph.D.
 J. JOHN MANN, M.D.
 JAMES COOPER, M.D.
 MARK DEITCH, M.D.
 GARY WADLER, M.D.
 LEWIS SEIDEN, Ph.D.
 GEORGE RICAURTE, Ph.D.
 CHARLES R. SCHUSTER, Ph.D.
 ALEXANDER FLEMING, J.D.

SAG, CORP

4218 LENORE LANE, N.W.
 WASHINGTON, D.C. 20008

A-G-E-N-D-A

	<u>Page No.</u>
Call to Order, RICHARD MEISCH, M.D., Ph.D., Chairman, DAAC	5
Conflict of Interest Statement, STEPHEN POLLITT, P.A.-C	5
Introduction, CURTIS WRIGHT, M.D. and MICHAEL KLEIN, Ph.D. Pilot Drug Evaluation Staff	7 11
Open Public Hearing	
RICHARD ATKINSON, M.D.	14
DENISE E. BRUNER, M.D.	17
PIETR HITZIG, M.D.	21
Summary of the 9/28 E&M DAC, HENRY BONE III, M.D., Chairman, E&M DAC	32
FDA Report on the 9/28 Meeting, BELINDA HAYES, Ph.D.	38
Sponsors Presentation, INTERNEURON	40
PHARMACEUTICAL	
THEODORE J. CICERO, Ph.D.	40
GEORGE BRAY, M.D.	47
ROBERT MOORE, M.D.	65
BRUCE CAMPBELL, M.D.	70
PROFESSOR STAN LAWRENCE	72
RICHARD GAMMONS, M.D.	76
FDA Presentation	
Reports of Abuse in the Literature, DOUGLAS KRAMER, M.D.	87
Interpretation of Self-Administration and Drug Discrimination Data, BELINDA HAYES, Ph.D.	97
Epidemiology Summaries, CAROLYN McCLOSKEY, M.D., M.P.H.	101
Safety, LEO LUTWAK, M.D.	141
Guest Speakers	
Reports of Anorectic Abuse in Sports, GARY WADLER, M.D.	159
Reports of Anorectic Abuse in Bodybuilders, JIM WRIGHT, Ph.D.	111

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

AGENDA - (Continued):

	<u>Page No.</u>
Guest Speakers (Continued)	
MARK DEITCH	131
Neurotoxicity Risks of Fenfluramine,	
LEWIS SEIDEN, Ph.D.	169
The Massachusetts Law On The Prescription	
of Anorectics, ALEXANDER FLEMING, J.D.	185
DEA Presentation, JAMES TOLLIVER, Ph.D.	191

P-R-O-C-E-E-D-I-N-G-S

9:11 a.m.

CO-CHAIRMAN MEISCH: Good morning, people.

We are going to begin.

Steve Pollitt is going to read the Conflict of Interest statement.

EXECUTIVE SECRETARY POLLITT: Good morning. My name is Steve Pollitt, I'm the Executive Secretary for the Drug Abuse Committee and I'm going to read the Conflict of Interest statement for this meeting.

"The following announcement addresses the issue of conflict of interest with regard to this meeting and is made part of the record to preclude even the appearance of such at this meeting.

Based on the submitted agenda for the meeting and all financial interests reported by committee participants, it has been determined that all interests in firms regulated by the Center for Drug Evaluation Research present no potential for an appearance of a conflict of interest at this meeting with the following exceptions.

In accordance with 18 USC 208(b) Section 3, full waivers have been granted to Doctor Joanna Zawadski and Doctor Cathy Critchlow. A copy of these

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 waiver statements may be obtained from the agency's
2 Freedom of Information Office from Room 12A-30 of the
3 Parklawn Building.

4 We would also like to disclose for the
5 record that Doctor George Ricaurte, through his
6 employer, Johns Hopkins University, is involved as an
7 investigator in a National Institute on Drug Abuse
8 sponsored study in certain MDMA and fenfluramine.
9 Although this involvement does not constitute a
10 financial interest within the meaning of 18 USC
11 208(a), this involvement could create the appearance
12 of impartiality. However, the agency has determined
13 that the interest in the government in Doctor
14 Ricaurte's participation outweighs the concern that
15 the integrity of the agency's programs may be
16 questioned. Therefore, Doctor Ricaurte may
17 participate fully in all matters concerning
18 fenfluramine and its isomers.

19 In the event that the discussions involve
20 any other products or firms not already on the agenda
21 for which an FDA participant has a financial interest,
22 the participants are aware of the need to exclude
23 themselves from such involvement and their exclusion
24 will be noted for the record.

25 With respect to all other participants, we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

5 CO-CHAIRMAN MEISCH: Doctor Wright, we'll
6 start with you.

7 DOCTOR WRIGHT: Thank you, Mr. Chairman.
8 For those of you who don't know me, my
9 name is Curtis Wright. I'm the Senior Medical Officer
10 for Addiction Medicine for the Pilot Drug Evaluation
11 staff. I will be leading with some clinical comments,
12 Doctor Klein will follow with some comments pertaining
13 to the specific petition at hand.

14 Good morning, ladies and gentlemen. Today
15 we are here to consider a petition for decontrol of
16 the isomers of fenfluramine under the Controlled
17 Substances Act. It is a challenging petition for us,
18 for reasons that I hope to be able to explain.

19 Scheduling under the CSA invokes a system
20 of controls that are enforced by federal authority
21 under the Criminal Justice System. These controls,
22 although expensive, are needed to prevent, identify,
23 deter and punish the manufacture, importation or
24 diversion of drugs into illicit trafficking.

25 The CSA should be invoked when there is

1 sufficient evidence of the potential for abuse of a
2 drug substance that control under federal criminal
3 statutes is required.

4 Your task is to balance the need for
5 control against properties of the substance.
6 Unfortunately, you must do so within the context of
7 changing paradigms for government, popular culture and
8 the scientific community.

9 For these reasons, the study of drug abuse
10 remains an important scientific activity, for the
11 abuse potential of a drug is ultimately confirmed on
12 the streets of our cities and in the bodies of our
13 citizens.

14 In your deliberations today, it may be of
15 value for you to consider our own internal standards
16 for abuse potential. In our staff deliberations, we
17 think there are three necessary and sufficient
18 conditions for recommending control under the CSA.

19 First, there must be evidence the
20 substance is subject to self-administration due to a
21 direct or indirect pharmacologic activity. This may
22 be evidenced from structural relationships, receptor
23 activity, animal testing, human abuse liability
24 testing or evidence of actual abuse and diversion.
25 Please do not fail to consider the possibility of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 indirect reenforcement. The scheduling of the
2 anabolic steroids by Congress was not the result of
3 their concern about a perceived direct CNS reward
4 mechanism, but a somatic reward mechanism based on a
5 need to achieve a desired physical status that led to
6 pharmacological self-mutilation by users.

7 Second, there must be evidence the
8 substance is capable of producing physical or
9 psychological harm and the dose is likely to be
10 abused. Experience has clearly shown that our society
11 is unwilling to consider a substance a drug of abuse,
12 unless it can be shown that there is a risk of injury
13 to the user or to the public. We know, however, that
14 many drugs of abuse are self-administered in doses
15 that are far higher than the recommended dosage. For
16 these reasons, you should consider the risk that the
17 dose is likely to be abused.

18 Third, there must be evidence that there
19 is a substantial risk of diversion of the drug into
20 illicit trafficking on a regional, national or
21 international scale. The Federal Controlled Substance
22 Acts are not only national in scope, but are tied into
23 a network of international agreements that are global
24 in scope. Thus, the mechanisms of state control are
25 more appropriate to outbreaks of abuse that are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 limited by geography, in size or by local conditions.

2 These, then, are the dimensions of abuse
3 liability, evidence of self-administration, evidence
4 of a risk of serious harm, and evidence of a
5 substantial risk of diversion.

6 I have three other comments. First, I ask
7 you to remember that you are not here to discuss the
8 approval of dexfenfluramine. That is the
9 responsibility of another division, office and
10 committee. Your deliberations should take into
11 account the possible approval of this drug as a factor
12 affecting its availability and its properties, but the
13 two issues are not linked.

14 Second, you should know that you do not
15 bear the responsibility for the proper use of
16 anorectic agents in the practice of medicine. As you
17 shall hear today, this is the responsibility of state
18 boards of medicine and pharmacy, and I assure you they
19 take it very seriously, indeed.

20 Lastly, I wish to remind you that the
21 agency does have substantial authority over the
22 manufacture, distribution, promotion and labeling of
23 a drug under the Food, Drug and Cosmetic Act. If you
24 feel that there are not sufficient grounds for
25 continued control, but there are potential abuse risks

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 associated with the drug in specific circumstances,
2 you may make suitable recommendations regarding
3 labeling, promotion, distribution and post-marketing
4 study to assure that the public is protected.

5 I ask only that since implementing such
6 restrictions are an expense, not only to the sponsor,
7 but to the public, and to the taxpayer as well for
8 whom we must continue oversight, such that any
9 possible recommendations be limited to those which we
10 really need.

11 I'd like to turn over to Doctor Klein, who
12 will talk about the petition.

13 DOCTOR KLEIN: There are eight factors
14 that we must assess regarding the drug fenfluramine
15 and its isomers in making a scheduling recommendation,
16 whether we recommend to keep it controlled or to
17 recommend a de-control action.

18 As you can see, these factors expand
19 beyond the pharmacology. If the issue is just
20 assessment of the drug's pharmacology, we would just
21 consult our -- and Gilman and we wouldn't have to meet
22 here, but we have to take into consideration the
23 public health risks that are involved.

24 Now, the basis for the sponsor's petition
25 of March 18, 1991 on the de-control of fenfluramine

1 and its isomers were, one, the continued schedule of
2 fenfluramine adversely affects medical practices and
3 the patient; two, that fenfluramine is not
4 pharmacologically related or similar to the Schedule
5 II controlled stimulants of which amphetamine is a
6 prototypical example; and, three, fenfluramine is
7 practically devoid of dependence producing physical or
8 psychological properties or other serious adverse
9 effects.

10 This slide, which is based on IMS data,
11 shows the estimated numbers of prescriptions that
12 totals new and refills in the United States from 1990
13 through the first two quarters of 1995. So, you see
14 the projected use, which is the red bar, for
15 fenfluramine prescriptions within the United States
16 exceeds one million, which is certainly more than what
17 has been prescribed at least since 1990 for the
18 previous years.

19 Now, I passed around to the committee
20 members a copy of the product package insert to
21 support dexfenfluramine. I wish to point out to you
22 three aspects to that insert. Number one, there's a
23 warning of the risk of a withdrawal syndrome. There's
24 indications that fenfluramine may give a positive
25 reaction in drug screening that would be interpreted

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 as amphetamine, and that there's a warning that the
2 drug may give rise to depression or mood disorders.

3 Now, the WHO database, the World Health
4 Organization's Collaboration Center for International
5 Drug Monitoring provided us some data relative to both
6 fenfluramine and dexfenfluramine. These include 31
7 spontaneous reports of withdrawal syndrome for
8 fenfluramine and 27 spontaneous reports of withdrawal
9 syndrome for dexfenfluramine. In addition, there are
10 reports of suicide attempts, three reports of abuse
11 for fenfluramine, a few reports of dependence, and no
12 indication of tolerance development.

13 I would say at this point that Doctor
14 McCloskey, from our Division of Epidemiology, is here
15 and she will talk in greater detail about these
16 numbers later on. I would say at this point that I am
17 proposing to the committee that these numbers give you
18 a profile of the drug's effects.

19 So, in addition to the pharmacology of the
20 drug which we'll look at, we are going to consider in
21 our public health risk assessment portion of the
22 affect or analysis looking at the following issues,
23 primary pulmonary hypertension and neurotoxicity,
24 which were discussed at length at yesterday's meeting
25 considering the new drug application, and so we'll

1 just touch on those issues today, but in addition
2 we'll look at the issues of some of the concerns of
3 some of the states in the use of the anorectic drugs,
4 for which many of them have very stringent
5 restrictions on their use, we'll also look at the
6 connection of the depression and suicide attempt
7 issue, the use of what's now termed the fen-phen
8 combination, fenfluramine and phentermine in
9 combination, and the use of anorectics in body
10 building or sports. In addition, representatives of
11 the Drug Enforcement Administration are here today
12 also to update us on any information that they may
13 have relevant to abuse or diversion of the drug.

14 Thank you very much.

15 CO-CHAIRMAN MEISCH: We're going to
16 proceed to the open public hearing, and Doctor Lutes
17 is the first speaker. Is Doctor Lutes here?

18 The second scheduled speaker is Doctor
19 Atkinson.

20 DOCTOR ATKINSON: Hello, I'm Doctor
21 Richard Atkinson. I'm representing the American
22 Obesity Association Lay Advocacy Group to advance the
23 causes of obesity and obese people. I'm Professor of
24 Medicine and Nutritional Sciences at the University of
25 Wisconsin in Madison, and I am a researcher in obesity

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 with about 20 years of experience. I'm here to talk
2 about the use of fenfluramine and dexfenfluramine.

3 Currently, I am the principal investigator
4 on three separate studies that are using the
5 combination of d/l-fenfluramine and phentermine,
6 comprising approximately 2,400 patients with follow-
7 up, in a few people out as long as three years. We
8 have just submitted our data for the first 1,350
9 patients, of whom approximately 750 had the
10 opportunity to complete one year of treatment.

11 We have entered the data on each patient,
12 approximately once each month into a database, and
13 thus have a very clear idea of what is happening and
14 are able to capture the side effects and body weight,
15 blood pressure and so forth. What we can report is
16 that, of interest to this particular body, is that in
17 all the patients we've followed over approximately a
18 three-year period of time there's been no evidence
19 whatsoever that there's been any abuse of these drugs,
20 or attempted abuse, or attempted getting additional
21 prescriptions or anything like that.

22 I have to be a little careful because if
23 I give out all of our data the New England Journal
24 won't publish it, but at any right suffice it to say
25 we saw an excellent weight loss of approximately 15 to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 16 kilograms in a year. We had dramatic reductions in
2 patients with hypertension, hyperlipidemia or
3 hyperglycemia. We saw very nice reductions, and,
4 again, this is the combination of phentermine and
5 fenfluramine.

6 Our side effects profile, the most common
7 side effect was dry mouth. The next most common was
8 constipation. Of concern, "memory loss occurred in 13
9 percent of people," however, at each visit patients
10 fill out a checklist. And so, at any given time over
11 the period of up to three years, if a patient checked
12 off memory loss that was recorded. We dropped seven
13 patients over the course of the treatment for a short-
14 term memory loss.

15 We have, by the way, also used paroxetine
16 and phentermine alone, or have treated patients who
17 have used those drugs, and they have shown short-term
18 memory loss.

19 Our position is that certainly some of the
20 obesity drugs, such as dextroamphetamine, have an
21 addictive potential. The fenfluramine and
22 dexfenfluramine molecules are similar to those but do
23 not -- are not at all adrenergic or dopaminergic.
24 Obviously, they bind to a completely different set of
25 receptors and are serotonin agonists, not an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON D.C. 20008

1 adrenergic agonist, and for many of the experts on
2 this committee, obviously, you know this, but it seems
3 unlikely that there will be any abuse potential. Our
4 experience suggests that there is not any abuse
5 potential, and the position of the American Obesity
6 Association is that this drug would not be scheduled.

7 Thank you.

8 CO-CHAIRMAN MEISCH: Thank you.

9 The next speaker is Doctor Bruner.

10 DOCTOR BRUNER: Well, I'm certainly
11 honored to be here before the committee today and to
12 be honored to follow Doctor Richard Atkinson.

13 I'm a representative from the American
14 Society of Bariatric Physicians, and I also come to
15 you speaking as a private practitioner in bariatric
16 practice in Arlington, Virginia. Basically, the
17 American Society of Bariatric Physicians is a group of
18 physicians of over 600 members who are dedicated to
19 the ethical treatment of obesity. Our society has
20 developed standards of practice which I have included
21 for your information for the committee in your handout
22 to demonstrate our clear commitment to what we believe
23 in. I encourage physicians who are really interested
24 in acquiring more knowledge about the treatment of
25 obesity and prescribing techniques for anorectic

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 agents to pursue our courses that we offer, and we are
2 headquartered in Inglewood, Colorado.

3 But, as a private practitioner, I have
4 really treated approximately 9,500 patients over the
5 past 14 years. Currently, I have 428 patients who are
6 in various stages of weight loss and maintenance, and
7 of that group 87 percent are taking either
8 fenfluramine and/or phentermine in combination. And,
9 I can state with clear certainty that I have had no
10 patients abuse these drugs.

11 In fact, because, of course, as you know,
12 and I won't bore you, because of fenfluramine's
13 serotenergic action it's really devoid of any
14 stimulatory action and, in fact, can produce
15 drowsiness or lethargy. And, based on these facts, I
16 really feel myself, and according to the American
17 Society of Bariatric Physicians, that this drug,
18 fenfluramine, should really be descheduled.

19 Another reason for deregulation involves
20 state medical societies, and I really speak as a
21 veteran of fighting the Virginia Board of Medicine,
22 about this same time last year. The rigidity of
23 scheduling drugs seems to filter down to the state
24 regulatory level, whose medical boards can actually
25 set up barriers to the availability of therapeutic

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 treatment regimens. They seem to only remember the
2 long-ago days of amphetamine use for weight reduction
3 and consider the newer anorectic agents automatically
4 guilty by association. They lose sight of the fact
5 that obesity, like hypertension, is a chronic disease
6 with multiple etiologies, and that pharmacotherapy can
7 have a place in its treatment.

8 In Virginia, I wish to quote you the Board
9 of Medicine statute that is currently in effect. It
10 states: "It shall be unprofessional conduct for a
11 physician to prescribe amphetamine-like drugs,
12 Schedule III and IV, for the purpose of weight
13 reduction or control in the treatment of obesity,
14 except as a short-term adjunct to a therapeutic regime
15 of weight reduction."

16 Well, I found out about this regulation in
17 reading my quarterly newsletter, which the Board of
18 Medicine happens to publish in, it's called the
19 Richmond Times Dispatch. This paper is not available
20 in Northern Virginia. And needless to say, after my
21 office manager picked me up out of the floor, I
22 immediately underwent legal processes to repeal this
23 regulation.

24 But, during the course of the preliminary
25 hearings with the legislative committee, it was

1 obvious that no committee member had even bothered
2 obtaining any objective information regarding the
3 anorectic agents. Not one member was familiar with
4 Doctor Michael Weintraub's hallmark study in May of
5 1992.

6 Their judgment was based on several
7 anecdotal stories which date back to the amphetamine
8 era and not credible scientific publications. After
9 presenting my testimony to the committee, which
10 included a 245-page compilation of current information
11 which included letters of support from Doctor Richard
12 Atkinson, Doctor Sidney Schnoll and Doctor Mike
13 Steelman, the committee agreed to rewrite this
14 regulation, but it's going to take two years.

15 Now, the Board of Medicine in Florida has
16 attempted to impose similar regulations. However, the
17 ASBP found out about this before they were enacted,
18 and it's a direct result of our efforts. On October
19 28th, Doctor Michael Weintraub, Doctor George Bray,
20 Doctor Richard Atkinson, Doctor Theodore VanItallie
21 will be appearing before this committee, and the State
22 of Florida has invited all the state medical boards to
23 that meeting. We really consider that a hallmark.

24 So, in summary, I wish to say that I, and
25 the ASBP, support the deregulation of fenfluramine for

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the following reasons: it has no abuse potential,
2 and, most importantly, the FDA action would be
3 instrumental in removing restrictions placed by boards
4 of medicine that impede our ability to deliver the
5 best care for a condition that affects 58 million
6 Americans.

7 I thank you.

8 CO-CHAIRMAN MEISCH: Is Doctor Lutes here?
9 Doctor Hitzig will be the next speaker.

10 DOCTOR HITZIG: My name is Doctor Pietr
11 Hitzig and I'm an internist in the Timonium area of,
12 Maryland.

13 Over the last three years I've treated
14 more than 2,000 patients with what I call fen-phen,
15 and have found it to be a remarkable agent. As a
16 dopamine and serotonin agonist, that is an increase of
17 a dopamine serotonin, these two drugs exert great
18 effect on the entire body in many different systems.

19 Originally, as an anorectic, I have
20 discovered that these two drugs have been successful
21 in stopping the addiction to alcohol, cocaine, heroin
22 and other addicting substances.

23 Excuse me, sir, I haven't taken my fen-
24 phen yet.

25 Dopamine and serotonin have totally

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 reciprocal actions. What I mean by that is, dopamine,
2 for instance, increases body temperature, serotonin
3 decreases it. Dopamine increases blood pressure,
4 heart rate and pulse, serotonin decreases it.
5 Prolactin is increased by serotonin and decreased by
6 dopamine.

7 The two parts of the immune system, which
8 are now very much invoked, the TH-1 and the TH-2
9 cycles -- TH-1 and TH-2 divisions, the TH-1 type
10 increases the cytolytic capabilities of the immune
11 system. I mean by that that it is able to go out and,
12 take care of abnormal cells, bacteria, fungi of cells
13 infested with viruses and cancerous cells. While
14 dopamine increases those, serotonin decreases them.

15 Serotonin increases the TH-2 side, the
16 side that is the side responsible for antibody
17 formation and the dopamine decreases it.

18 I started first treating patients with
19 alcoholism in December of 1992. Patients craving
20 alcohol are treated with medications, fenfluramine and
21 phentermine, and within 90 minutes, if they are having
22 craving when they are in the office, they lose their
23 craving. If they are having craving later at an
24 expected hour they do not develop craving for alcohol
25 at that time. The same is true for cocaine and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 recently for heroin.

2 DOCTOR WRIGHT: Mr. Chairman, because of
3 technical difficulties, can we extend Doctor Hitzig's
4 time a little bit?

5 CO-CHAIRMAN MEISCH: Thank you, I will.

6 DOCTOR HITZIG: What did you say, sir?

7 DOCTOR WRIGHT: I was making sure that you
8 were not penalized in time for the technical
9 difficulties that we're having with the projector.

10 DOCTOR HITZIG: Fine, wonderful.

11 As I mentioned before, the relationship
12 between dopamine and serotonin is one of converse
13 symmetry. The blood pressure is increased by
14 dopamine, decreased by serotonin. The blood sugar is
15 increased by dopamine and decreased by serotonin.
16 Prolactin vice versa, and so. In mentation, dopamine
17 makes you more alert and serotonin, as we well know
18 with alcoholism, makes you dumber.

19 The reward seeking behavior is increased
20 with dopamine and inhibited by serotonin. In fact,
21 dopamine is equivalent to Freud's head and serotonin
22 is equivalent to a super ego.

23 As I said before, we have divisions in the
24 immune system, and these divisions were described by
25 Doctor Ruscetti as a yin-yang, a paired and polarized

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 system. I think he was very prescient when he did so.

2 The psychological tests we did in the
3 alcohol study, which I'll show you shortly, measured
4 somaticism, which is very much invoked now because
5 that's primarily fibromyalgia, obsessive compulsive
6 behavior and drug-seeking and alcohol-seeking behavior
7 to the internist is exactly the same behavior as an
8 obsessive compulsive trait.

9 Interpersonal sensitivity is a fancy way
10 of saying low self-esteem, the higher the score the
11 lower the self-esteem.

12 Somaticism obsessive compulsive in my
13 viewpoint are driven by dopamine deficits, and
14 depression is due to a dopamine deficiency. Anxiety,
15 phobia, paranoia and hostility, in my framework, are
16 the flight or fight responses, the acute response to
17 stress, and those are initiated with a low serotonin.

18 Psychotocism, which I prefer in my
19 patients to call muddled thinking, is clearly dopamine
20 driven. The global severity index is a composite of
21 all of them.

22 I started in the last four months of 1993
23 with 54 -- a total of 54 alcoholic patients. Twenty-
24 seven of them were severely depressed and 19 of them
25 were the cohort.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Here's the median scores. The top of the
2 line is three standard deviations above the norm,
3 right there, and you can see that these median scores
4 at three standard deviation, which are the top one
5 tenth of one percent, are extremely high. This was an
6 extremely scaled group. Two of the men were acutely
7 intoxicated because of the severe craving for alcohol,
8 seven of them were cocaine -- co-addicted to cocaine,
9 one co-addicted to three agents, heroin, cocaine and
10 alcohol. Two of the patients also had early signs of
11 withdrawal.

12 Within 19 minutes, every one of the
13 patient's craving lost their craving, not only for
14 alcohol, but also for cocaine and also for heroin.
15 Two weeks later, 16 out of the 19 had psychological
16 scores that had returned to normal, that had become
17 normal, and the other three became normal with slight
18 adjustment of the dopamine agonist phentermine.

19 You can see the remarkable differences
20 between the median on both sides. Look at the
21 hostility. We wouldn't be entertained by the O.J.
22 Simpson came if he and Nicole had both been taking the
23 medication.

24 Six months later there was a remarkable
25 normalcy to this testing material. Only two patients,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 we had one sub-scale score that was touching on the
2 abnormal range. I've got a disparity between the 16
3 and 19 that was corrected, it is 15 patients and the
4 numbers up there should be 78 percent.

5 We already have many examples of dopamine
6 and serotonin agonists working together to treat
7 multiple conditions, and these are just some of them.
8 Psychiatric disorders, when treated by MAO inhibitors
9 or antidepressants are basically being treated by
10 dopamine and serotonin agonists.

11 Migraine, which responds very well to fen-phen,
12 phen treatment, has been treated in the past by other
13 drugs that are dopamine and serotonin. Leprosy is
14 being treated with thalidomide, a dopamine and
15 serotonin agonist. And, in cardiac transplantation,
16 bromocriptin, which is one of the classic dopamine
17 agonists, is paired with cyclosporin, clearly an anti-
18 inflammatory TH-2 promoting agent.

19 Here are some more of them. We have,
20 obviously, fen-phen has been well documented by Doctor
21 Michael Weintraub as a treatment of obesity, and I
22 want to say thank you if he's in the audience, I want
23 to say thank you for changing my life.

24 The rat model and the mouse model for
25 alcohol, done both at Rutgers by Doctor Hans Fischer

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and done at Princeton by the group with Bart Hobel,
2 have shown consistently that alcohol consumption in
3 alcohol addicted mice is successful. It stops also
4 alcohol withdrawal seizures, a clinical finding that
5 I've also seen in the office. In fact, one of the
6 patients who came in for the study had such severe
7 tremors he couldn't pick up a Dixie cup, but after 90
8 minutes he could pick it up with two fingers.
9 Incidentally, it also takes care of hangovers in 15
10 minutes.

11 To continue on other treatments, xanthines
12 are being used for asthma and even marijuana is very
13 successful in the studies of the '70s to be effective
14 against asthma. Immune deficiency is currently being
15 treated with xanthines, pentoxifylline and also with
16 thalidomide.

17 Here is a list of some of the diagnoses
18 that I've had successful treatment. Psychological
19 disorders include those difficult ones, chronic
20 fatigue, chemical hypersensitivity, fibromyalgia.
21 Fibromyalgia is an extremely common condition, it
22 affects more than 25 million Americans. It is about
23 to be studied extensively at the University of
24 Wisconsin by Doctor Dan Malone.

25 SADS, currently being treated with an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 antidepressant and with lights, is now 100 percent
2 being successfully treated with fenfluramine and
3 phentermine.

4 Attention deficit disorder, an epidemic of
5 which is raging in this country, is markedly
6 responding to the same treatment, and PMS, the
7 symptoms of PMS, depression, irritability, hostility,
8 menstrual cramping, and even engorgement of breasts
9 with pain are all responsive to adjustment of dopamine
10 and serotonin.

11 The addictions, as I mentioned before,
12 alcohol, cocaine, narcotics, interestingly enough
13 narcotics did not fall until the addition of a
14 precursor for serotonin, 5-hydroxy-tryptophan.

15 PCP, the only one that totally sticks its
16 head above the water now is nicotine, but Linda
17 Farragut, who might be in the audience, is making
18 strikes on that out in California.

19 Obsessive compulsive disorders it's 100
20 percent if a patient continues to have, let's say,
21 nail biting, or hair touching, it serves nothing more
22 than a guide is that you need more dopamine.

23 Bulimia, an attractable condition
24 frequently, is now responding, 22 patients who have
25 been treated, 22 have had a resolution of their

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 problem, and these are graduates of tertiary care
2 hospitals, may be the first quaternary care center.

3 Immune disorders, 100 percent, or very
4 nearly. You can take patients refractory to
5 treatments with high dose steroids and all other
6 appropriate medications and resolve the problem.

7 Hay fever is one more guide to whether you
8 have sufficient dopamine. Anaphylaxis or allergic
9 shock has also been remarkably resolved with this, and
10 hives are the same.

11 Those are problems that are due to excess
12 of serotonin and can be alleviated by the increase of
13 dopamine.

14 On the other side, at least of the
15 autoimmune disorders, I feel that most of the will
16 respond to the increases of serotonin or increase in
17 the TH-2 side of the immune system.

18 I've had three patients with HIV, two of
19 them were cocaine addicted, depressed and also with
20 HIV, of course, and they resolved their cocaine and
21 depression as expected, and one doubled and one
22 tripled his T-cell count. The third case, a man in
23 his 50s, a long-time survivor of HIV, was in his
24 terminal stage, he had a T-cell count of five, he had
25 anxiety, depression, anorexia, wasting, thrush, yeast

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20006

1 infections, pneumonia, abdominal pain most likely due
2 to inflammatory bowel, and he hadn't had a normal
3 bowel movement for more than a year.

4 I told Fred that we would go first to
5 treat his anxiety and depression, and that resolved
6 after three days, all of his other conditions
7 improved.

8 This is too busy a slide to really go into
9 too deeply, but I believe it's the mechanism of how
10 HIV works. The fine work of people like Raymond Brown
11 at the University of Wisconsin, and Melvin Hayes at
12 the NIH, has shown that the metabolic pathway of
13 tryptophan is perverted by enough numerous agents.
14 Instead of going down to form serotonin, it instead
15 goes down massively to this pathway, and on to
16 quinolinic acid. Quinolinic acid is a strong
17 neuroexcitatory, neurotoxin for dopamine cells, and,
18 therefore, dopamine is reduced in HIV, I believe.

19 Tryptophan, metabolism, the serotonin is
20 markedly decreased and there's a loss of dopamine and
21 serotonin as a result. It has been clearly
22 established that patients with low dopamine and low
23 serotonin have a markedly damaged immune system, and
24 so, after that occurs we have a vicious cycle going on
25 with HIV and the decrease in serotonin and dopamine.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I postulate that the marked deficiency of
2 tryptophan, which is an essential immunoacid, it
3 cannot be ingested, I mean, it has to be eaten, it
4 can't be manufactured, caused by tumor necrosis
5 factor, cytokines, tumor necrosis and interferon
6 deplete the body of tryptophan, and as a result the
7 body is forced to cannibalize on its own non-essential
8 cells. I think the term is called wasting. In fact,
9 tumor necrosis factor has another name, and that's
10 called cachexin, because of its noted wasting
11 characteristics.

12 The condition pellagra, which was endemic
13 in the poor in England in the 19th Century, suffers
14 from -- and that was supposedly corrected last night
15 -- dermatitis, dementia, diarrhea and to death. As an
16 internist that sounds awfully like AIDS.

17 Fen-phen also treats post-traumatic stress
18 disorders. Vietnam, I have several cases of it, and
19 so does Doctor Dan Malone out at the University of
20 Wisconsin. We just submitted a grant to the
21 Department of Defense for Persian Gulf illness,
22 another that now the appropriate name for Desert
23 Storm.

24 Nothing is new under the sun, but what the
25 great man said, the humor is controlled, and by humors

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I think we mean -- humors, I think we can say dopamine
2 and serotonin control man's pain and health. Good
3 health exists when the humors are duly proportional,
4 and pain is felt when one of these humors is
5 deficient.

6 Thank you very much.

7 Any questions?

8 Thank you.

9 CO-CHAIRMAN MEISCH: Doctor Bone, you are
10 going to present a summary.

11 CO-CHAIRMAN LONE: I'm Doctor Henry Bone,
12 I chaired the Endocrinologic and Metabolic Drugs
13 Advisory Committee meeting yesterday, to which the
14 registration of dexfenfluramine for long-term
15 treatment of obesity was discussed.

16 As many of you are aware, the final
17 decision of the committee, or recommendation I should
18 say of the committee, on this subject is still pending
19 for reasons that I will explain.

20 Because of this, I will, perhaps, take one
21 or two more minutes than I otherwise might in order to
22 give you a flavor of the discussion, so that you'll be
23 informed as to where we left things.

24 The committee was really asked to address
25 a series of questions, as is customary, and the first

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 question had to do with whether the evidence for
2 efficacy was adequate to warrant approval for the
3 indication of long-term or indefinite use.

4 The clinical trials for this indication
5 were completed long before the recent formulation of
6 guidelines for this indication, and I think this was
7 taken into account in evaluating the information
8 presented.

9 While the studies, and particularly the
10 long-term study, did not meet the primary criterion of
11 showing a mean weight loss of more than five percent,
12 in comparison with placebo, that is to say five
13 percent more than the placebo, five percent of
14 baseline weight more than placebo, the median did, and
15 there were significantly more responders at five and
16 ten percent levels upon treatment than on placebo.

17 The committee voted that the efficacy data
18 were adequate, although there were a number who agreed
19 with a comment that the data on effects of treatment
20 on obesity associated conditions was somewhat less
21 than we would have like to have seen, especially in
22 the long study, that the so-called co-morbidities
23 would have liked to seen more data on that.

24 The major discussion centered around the
25 safety issues. There were two main areas of concern.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 First was the issue of pulmonary hypertension, which
2 is certainly associated with the use of the drug,
3 particularly when it is used for more than three
4 months. This condition is usually fatal, so any
5 prediction of reduced morbidity and mortality due to
6 weight reduction must be balanced against the deaths
7 which would likely be caused by the drug.
8 Fortunately, even though the risk is increased the
9 number is still probably in the range of tens -- say,
10 20 to 100 per million various estimates were provided,
11 and I don't think we have an exact figure, but this
12 was regarded as an infrequent event, very infrequent
13 event.

14 There was more uncertainty about the
15 question of neurotoxicity, and this is where the major
16 part of the discussion concentrated. Invited speakers
17 presented some information which was particularly
18 worrisome regarding the evidence they presented for
19 axonal degeneration and subsequent abnormal
20 regeneration of fine fibers from the dorsal raphe.

21 The clinical implications of this were
22 somewhat unclear, as the function in humans of these
23 fibers was not really predictable.

24 The sponsor took issue with this or
25 disagreed with these findings on two grounds. One was

1 in terms of the significance of some of the findings,
2 as, perhaps, being more related to the desirable
3 action of the drug, and the degeneration and
4 regeneration issues the sponsor said had not been
5 reproduced in their laboratories.

6 So, we made quite an attempt to achieve
7 some closure on this, but it was impossible to do that
8 in the course of the meeting, and it may that some
9 additional studies will be necessary to achieve
10 closure on that issue.

11 Furthermore, it was noted by the FDA
12 reviewer that in the sponsor's long-term
13 carcinogenicity studies in rats and mice
14 calcifications were found in the brain at higher rates
15 in the treatment groups than in placebo-injected
16 controls -- or, placebo-dosed, I'm sorry, controls.

17 The medical -- as the sponsor again
18 pointed out, the medical significance of these
19 calcifications was not clear.

20 From the standpoint of clinical, you know,
21 toxicity information, this was much less specific,
22 although -- well, the areas of anxiety and depression
23 were not discussed in as much detail. In the clinical
24 trials, at the recommended dosage of 15 milligrams
25 BID, the rate of abnormal thinking was similar between

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the treatment and placebo-treated groups, but at the
2 higher dose of 30 milligrams of BID it was a rate of
3 about ten percent for this finding, 10.3 percent in
4 the treated patients versus the 1.2 percent in placebo
5 patients, and this was calculated to be significant
6 with a p value of less than .03.

7 Although there was some concern expressed
8 that in the absence of a specific set of science and
9 symptoms such association might not be apparent,
10 mental impairment of the type described has not
11 emerged as a problem, we were told, in the spontaneous
12 reports based a very extensive post-marketing
13 experience over the last decade in Europe.

14 With all this in mind, the majority of the
15 committee voted that the safety data at this point
16 were not adequate for approval. I suspect that the
17 absence of the additional co-morbidity data may have
18 been a factor in weighing this on a risk/benefit
19 basis. However, we came back to this issue later.

20 The third question asked of the committee
21 had to do with what might be required in Phase IV if
22 the drug were approved at this point, and there was
23 discussion about a suggestion of a two-year study in
24 which evaluation of the sequelae of obesity, the co-
25 morbidities, would be of prominent important. This

1 would be looking at things like cardiovascular
2 incidence, for instance.

3 Since the committee had already voted that
4 the safety had not been sufficiently well established,
5 the implication was that the drug would not be
6 recommended for approval without resolution of those
7 questions, so I asked the committee, rather than
8 looking at this as a strictly Phase IV question, but
9 I asked the committee members whether they favored
10 carrying out such a study, irrespective of whether it
11 was done in Phase III or IV. All the committee
12 members favored doing such a study, but in the course
13 of the discussion there appeared to be additional
14 concern emerging about the public health and other
15 implications of deferring approval.

16 In that context, the Center Director,
17 Doctor Bilstad, asked the committee to address a
18 further question, which was explicitly whether the
19 drug should be recommended for approval at this time,
20 and essentially combining the first and second
21 questions in a more explicit way.

22 Since some members of the committee had
23 actually left by that time, and it was late in the
24 day, the voting has not been completed on that
25 question, and I think that's the point at which things

1 were left, essentially, unresolved as to whether the
2 committee specifically would recommend approval or
3 not, weighing all these things together.

4 CHAIRMAN MEISCH: Thank you.

5 Doctor Hayes will now give the FDA report.

6 DOCTOR HAYES: Doctor Bone has done an
7 excellent job of summarizing what was discussed and
8 the outcome of yesterday, so I won't bore you again.
9 I am just going to point out a few other things that
10 I was going to mention.

11 The medical officer from Metabolic had,
12 pointed out some interesting post-marketing safety
13 data on fenfluramine. The sponsor supplied this data
14 with the NDA and reported some CNS effects that they
15 classified as either serious or non-serious. With the
16 serious CNS events, they had 162 that occurred from
17 August, 1984 to December, 1994 with dexfenfluramine
18 worldwide, and these were classified as strokes, 79 of
19 these events was over dose, which ended up as suicides
20 or hospitalization. There were 703 non-serious CNS
21 events, of which 227 were sleep disturbance,
22 nightmares, difficulties in sleeping, 115 events
23 related to drug dependency, and also they mentioned
24 about withdrawal symptoms in some of the subjects, and
25 that actually should have been under serious CNS

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 events. And, some patients had amnesia, 29 events,
2 which was basically short term.

3 Drug interactions was one thing that was
4 brought up several times by some of the committee
5 members. They also were concerned about possible drug
6 interactions from psychiatric drugs, in particular,
7 Prozac, and the sponsor had stated that they will
8 mention that in the label, that you should not co-use
9 Prozac with dexfenfluramine.

10 Several of the committee members was
11 concerned about that and have requested that the
12 sponsor do a much more expanded section on drug
13 interaction in the label.

14 That's, basically, all I'm going to say,
15 since Doctor Bone did an excellent job on summarizing
16 yesterday's events.

17 CHAIRMAN MEISCH: Doctor Bone has an
18 additional comment.

19 CHAIRMAN BONE: Thank you. Just to add,
20 with respect to the need for further studies in the
21 discussion I mentioned a few minutes ago, one of the
22 concerns expressed by the committee had to do with the
23 lack of extensive information on formal structured
24 neuropsychiatric testing in clinical trials, and it
25 was suggested as another component of further studies,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 particularly, long-term studies, that this be pursued
2 in a rigorous way.

3 CHAIRMAN MEISCH: We're going to defer
4 taking a break at this point and begin with the
5 sponsor's presentation.

6 DOCTOR CICERO: Thank you, Mr. Chairman.
7 My name is Ted Cicero, with the Washington University
8 School of Medicine. I'm also a consultant, obviously,
9 for the sponsor, Interneuron, and it's marketing
10 partner, Wyeth Ayerst.

11 Our purpose today is basically to discuss
12 fenfluramine and dexfenfluramine, particularly with
13 respect to their abuse potential and our specific
14 petition that they be descheduled at this time.

15 I think in 1973 they were scheduled, for
16 reasons which will become clear as we give our
17 presentation, but there's been a substantial amount of
18 preclinical, clinical and epidemiologic data which
19 strongly indicate that these compounds are simply not
20 abused. And, therefore, we really believe it's in the
21 interest of public health, and the obesity indication
22 is the main point I want to get to, that these
23 compounds should be descheduled and made more widely
24 available to people desperately in need of them.

25 A word before we begin about defining

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 abuse and mis-abuse, I've heard that term today, and
2 I think we, perhaps, saw a few examples of misuse.
3 Abuse is going to be very clearly defined by the
4 Controlled Substance Act and I think we need to very
5 carefully consider abuse in terms of what the
6 Controlled Substance Act tells us.

7 Doctor Wright did a very good job of
8 explaining what that is, self-administration,
9 withdrawal behavior that leads to drug-seeking
10 behavior, these are what's on the Controlled Substance
11 Act, that is what we need to be discussing today.
12 Misuse is any off-label use of a compound. There is
13 nothing a sponsor can do to control off-label use of
14 their compounds.

15 If we begin to expand the Controlled
16 Substance Act to control off-label uses of compounds
17 we are in for a big amount of trouble. I dare say 80
18 percent of every drug used by a pediatrician is off
19 label, and I would prepare to control it. I think we
20 need to bear this in mind as we go along, really
21 distinguishing misuse and abuse, they are not
22 equivalent terms, and I think we must take this into
23 account when considering the Controlled Substance Act.

24 Although the primary focus of what I'm
25 going to be discussing about is, in fact, the abuse

SAG, CORP

4218 LENOIRE LANE, N.W.
WASHINGTON, D.C. 20008

1 liability of dexfenfluramine and fenfluramine, I think
2 it's also very useful for us to spend a brief period
3 of time on the clinical indication for the drugs,
4 specifically, obesity management. I think it's very
5 important that when you assess the overall picture of
6 what decision you are going to make today that you
7 have a context of risk benefits. We always have to
8 assume that, and if there is a low level abuse, which
9 we do not believe there is, if there are some other
10 factors potentially that might be of some concern,
11 what are the potential benefits of this as well, and
12 the issue to deschedule.

13 I have assumed the major responsibility
14 today for walking you through the abuse liability
15 data, just so we don't have a bunch of popping people
16 up and down throughout the day, and try to get some
17 coherent flow to the presentation, but if I need help
18 I have a whole crew of people surrounding me that can
19 answer the questions. I'll give the brief
20 introductory remarks, Doctor George Bray will discuss
21 obesity as need for treatment, I'll then move into a
22 discussion of the mechanism of action of this
23 particular compound, Doctor Moore and I will jointly
24 address this issue of, let's call it what it is,
25 neurotoxicity, that has been raised as an issue

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 certainly yesterday and it's certainly in your packet,
2 is a very heavy emphasis of the FDA so we ought to
3 deal with that head on. Finally, I think Doctor
4 Schuster would like to give some perspectives on
5 fenfluramine and the Controlled Substance Act. I will
6 then discuss the descheduling decision, which is
7 basically a lack of abuse potential. Doctor David
8 Smith, from Haight Ashbury, will also discuss some
9 data that he carried out on behalf of the FDA,
10 actually, to survey treatment centers to get some idea
11 of the scope of the current abuse of dexfenfluramine..

12 I will then summarize the abuse potential
13 and have a few concluding remarks.

14 Okay, what is the current status of
15 dexfenfluramine and fenfluramine. In 1973, and I'll
16 be slipping back and forth saying fenfluramine and
17 dexfenfluramine, you all understand, and I may be a
18 little simplified today because we do have non-Drug
19 Abuse Committee members present, and while I think
20 many of you are very familiar with the guidelines and
21 what's required, perhaps, some of the people on the
22 Endocrinologic and Metabolism may be as familiar, so
23 I will tend to walk through this a little more slowly
24 and explain, I think, again what are the criteria for
25 control under the Controlled Substance Act, and,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 really, what are the criteria for abuse, so that we
2 can really deal with this issue.

3 In 1973, fenfluramine was scheduled in
4 this country on a preliminary basis, and I want to
5 stress that, as a Schedule IV substance by the Bureau
6 of Narcotics and Dangerous Drugs. It was done so
7 because it structurally looked remarkably similar to
8 amphetamine, and even though there was no evidence of
9 abuse at that time, and, in fact, the decision was
10 that it was felt that we will temporarily schedule
11 this for 18 to 24 months, until data could be produced,
12 that would document that this compound, although it
13 bears structural similarities to amphetamine, in fact,
14 shares no pharmacological properties with that drug.

15 I think now 22 years later we can come
16 back to state that, in fact, substantial
17 epidemiological, clinical and pre-clinical data
18 collected since 1973 indicate a clear lack of either
19 past, current, or the potential for abuse. On this
20 basis, I think the Controlled Substance Act would
21 mandate that we recommend fenfluramine as an isomer
22 should, in fact, be descheduled.

23 Before reviewing any of the data with
24 respect to the abuse potential, I do again want to put
25 it in context what we are going to be using these

1 drugs for and the clinical indication for the drug,
2 and again, both fenfluramine and dexfenfluramine will
3 be used in the management of obesity.

4 In connection, I want to stress this, this
5 is going to be in connection with a physician-directed
6 weight loss program, this is not a magic bullet, this
7 is not going to cure this terrible problem facing this
8 country, but as an adjunct, and people have to adjust
9 lifestyle, diet, et cetera to go along with it, the
10 sponsor is absolutely committed to marketing this in
11 a responsible fashion.

12 Before we begin that discussion, though,
13 I don't know, the Endocrine and Metabolism people are
14 very aware of this, but when you talk about obesity
15 and try to define it I get very confused. They talk
16 about a BMI, and I had all kinds of illusions of what
17 that meant in the beginning, I won't go through what
18 that is, it's a body mass index which is defined as
19 kilograms per meter squared. You'll see numbers
20 throughout the remainder of the slides that refer to
21 BMIs of 22, 27 or 30. I wanted to put this in terms
22 of, at least I can understand what that means, a five
23 foot ten male weighing about 150 pounds would have a
24 BMI of about 22. A five ten male of 190 pounds would
25 be about a BMI of about 27, and you can see the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 remainder.

2 Obesity has been defined by the NIH and
3 other consensus committees as a BMI exceeding 27. In
4 part, this cut off has been established on the basis
5 of well-established morbidity and mortality data,
6 which would suggest that 27 is a threshold, but I
7 think you've got to realize, for the most part this is
8 quite an arbitrary number. And, it's arbitrary in the
9 sense -- I think it's reasonable, but it's arbitrary
10 in the sense that one could argue that given the fact
11 that it's been amply documented that any weight gain
12 over an ideal level is detrimental, one could argue
13 that 24 or 25 is just as reasonable as 27, 28 or 29,
14 but you will, in fact, hear and I want to define for
15 you, in fact, what obesity is. Pleasantly plump falls
16 somewhere in there, but I can't figure out where that
17 might be.

18 Okay, let's deal with -- move then to a
19 discussion of obesity and it's need for treatment.
20 Just a couple of bullet points, and then I want to
21 turn it over to someone who can really more
22 effectively deal with this, obesity is associated with
23 excess morbidity and mortality. There is really
24 absolutely no question about that, and you'll hear
25 some, I think, very compelling data to that effect in

SAG, CORP.

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a few moments.

2 Weight reduction of any amount, as little
3 as five percent or less, can, in fact, decrease risk.
4 Fenfluramine and dexfenfluramine, in combination with
5 a physician-directed weight management program, have
6 been shown to be a useful tool for obese patients as
7 an aid to successful weight loss and reduction of
8 risk.

9 Doctor George Bray will now discuss these
10 data. Doctor Bray?

11 DOCTOR BRAY: Thank you, Doctor Cicero.

12 Good morning, ladies and gentlemen. It's
13 a pleasure to be here to talk about a subject on which
14 I've spent the last 30 years or so of my professional
15 career.

16 The issues that I want to talk about this
17 morning are the need for treatment and to talk about
18 one of the barriers to this appropriate treatment
19 which I wrote about in the Annals of Internal Medicine
20 some years ago, and which we are dealing with this
21 morning.

22 The six points that I will go over are
23 listed here on the outline for this talk on my first
24 slide, and this will take me a few minutes. I have
25 presented some of this material with my colleagues

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 yesterday, the Endocrine and Metabolic Section as well
2 in more detail last fall, in order to give them the
3 background and hopefully the conviction that this is
4 a serious problem for which we need a growing
5 armamentarium of drugs which can be used in the long
6 term.

7 First, obesity is a chronic disease which
8 is increasing in prevalence. The most compelling data
9 are those of Kuczmarski, which I have plotted here,
10 published last fall using the National Center for
11 Health Statistics, showing for all subjects, for men
12 and for women, that the prevalence of obesity has
13 risen from about 25 percent of our population in the
14 last ten years to nearly 33 percent or some 60 million
15 Americans. So, it is a problem which has increased
16 dramatically into epidemic proportions in a matter of
17 less than a decade in this country. It is the only
18 goal in the Year 2000 Report which is going in the
19 opposite direction from the Surgeon General's
20 proposals.

21 The second point is that it increases the
22 risks for mortality and morbidity. I will show you a
23 figure from the recent paper by Manson in the New
24 England Journal of Medicine, but there could be a
25 substantial number of additional data from men, from

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 women, from life insurance sources, from prospective
2 trials. This is the Nurses' Health Trial, and over
3 this range of body mass indexes, which Doctor Cicero
4 kindly defined for you, which is essentially the
5 normal range of weights between 20 and 25, covers the
6 life insurance lowest weight for small frames for
7 highest weight for large frames. And, as you get
8 above that in the Nurses' Health Trial and in all
9 other trials, there is a progressive increase in the
10 risk of death from heart disease, particularly of
11 diabetes, of osteoporosis -- osteoarthritis, sorry,
12 and a variety of other conditions, so it is a major
13 health risk which is increasing in prevalence.

14 My third point is that it not only
15 increases risk to health, but the costs that we pay
16 for the health of treating America. I've taken this
17 data from Colditz's paper from the Harvard School of
18 Public Health in 1992, in which he estimated that
19 costs attributable to obesity in billions of dollars
20 per year for a variety of diseases for which obesity
21 plays an important role, the highest, because it is
22 the most prevalent, is heart disease with 22.2
23 billion, musculoskeletal diseases, primarily,
24 osteoarthritis, at 17 billion, diabetes at 11 billion,
25 and a variety of others at somewhat less cost, but a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 total figure that approaches 56.2 billion or 7.8
2 percent of our total health care budget, a major
3 contribution from obesity.

4 That obesity increases risks is countered
5 by the, I think, important observation for this group
6 that weight reduction lowers that risk and that a five
7 to ten percent reduction can play a significant role
8 in reducing risk, and I will show you three slides to
9 illustrate this point. This is data from Scott Grundy
10 at Southwestern Medical School and his estimate of the
11 impact on cardiovascular disease of a 20 pound weight
12 loss, roughly, ten percent of body weight for someone
13 weighing 200 pounds, of the impact of this loss on
14 cholesterol, ten milligrams per deciliter decrease,
15 which translates into a ten percent reduction in the
16 risk of heart disease, a three milligram per deciliter
17 increase in HDL cholesterol, which translates into a
18 six percent reduction in cardiovascular disease risk
19 and a five millimeter fall in diastolic blood
20 pressure, which translates into a reduction of
21 approximately 15 percent in cardiovascular disease
22 risk. That is a 20 pound or ten percent over average
23 reduction for an over weight population group that
24 many of us see would reduce cardiovascular disease
25 risks by some 30 percent or more.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Two studies, two parts of a study using
2 the American Cancer Society's database by Williamson
3 earlier this year show the effect of weight loss on
4 mortality risk in never smoking women who had no pre-
5 existing illnesses, there were 28,000 women in this
6 group who with an intentional loss of 20 pounds or
7 more there was a 25 percent reduction in all cause
8 cardiovascular and cancer mortality, that's very close
9 to the 30 percent that Scott Grundy estimated from his
10 estimates of the changes in cardiovascular risk
11 factors and their impact on heart disease.

12 In this same group, there were another
13 subset of women who had comorbid conditions, including
14 all of these, there were 15,000 women in this group
15 and in the intentional weight loss here of any amount,
16 five percent, ten percent or more, there was a 20
17 percent reduction in all cause mortality, a 30 to 40
18 percent reduction in diabetes associated mortality,
19 and a 40 to 50 percent reduction in mortality from
20 obesity-related cancers. So, these are major changes
21 that can be produced by relatively small reductions in
22 weight, which you can achieve with chronic treatment.

23 Obesity has many causes and many
24 treatments. This is a list slanted from an
25 endocrinologist's perspective, which I am, showing a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 variety of diseases, most of which are rare and,
2 indeed, in most cases we can't identify the specific
3 cause for the obesity, and, thus, our ability to cure
4 it is rare, long-term palliative treatment is what we
5 are about.

6 Because there are many causes, there are
7 also many treatments. These are a variety of them,
8 including several ways in which you can use
9 pharmacologic agents to reduce weight. One of the
10 problems that exists for physicians in this country in
11 using drugs is the scheduling, particularly of the
12 fenfluramine group, where the risk of abuse from our
13 perspective, that is, those who deal with it, is
14 vanishingly small, but the barrier to use of these
15 agents appropriately for treatment of a serious
16 problem is made very difficult by this barrier, and I
17 would urge that you deschedule this drug for the
18 benefit of the country and the physicians who deal
19 with this serious problem.

20 Treatments don't work when not used, when
21 drugs are stopped, as they often are, because
22 physicians feel compelled by their agencies to stop in
23 three months or so, weight is regained, and you would
24 expect it to be. You do not expect anti-hypertensive
25 drugs to lower blood pressure when the drug is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 stopped, you do not expect anti-high cholesterolemic
2 drugs to lower cholesterol when the drug is stopped,
3 and you don't expect anti-obesity agents to lower
4 weight when the drug is stopped. So, weight regain is
5 to be expected, and recidivism is thus a common
6 problem which would be alleviated for many patients if
7 they were allowed to continue treatment beyond
8 barriers erected by most state regulatory agencies and
9 by the Drug Enforcement Agency.

10 Recidivism, and this is from the NIH
11 Health Consensus Conference, suggests that somewhere
12 between 20 and 80 percent of patients drop out of
13 trials or treatment, that something like a third of
14 patients will regain their weight in a year after
15 stopping treatment, another third in the second year,
16 and almost all by the end of three years after
17 treatment, as you would expect when drug treatment has
18 been discontinued.

19 Well, in the last few minutes I've tried
20 to put the need for treatment into perspective, but
21 let me do that from a personal point of view. We have
22 a group of men, and now women, in Baton Rouge that we
23 treat using a program very similar to the one that
24 Doctor Atkinson described, and we have a protocol
25 under which we do this, because the state regulatory

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 barriers are severe in Louisiana. And, under that
2 protocol we now have men treated for a year with,
3 essentially, no drop out because they pay for the
4 program, where we have a 25 percent overall weight
5 loss, that is a 25 kilogram loss, these men were 125
6 kilograms when they started, from their perspective
7 health benefits had been substantially improved. The
8 barriers for us to provide this treatment would be
9 greatly facilitated if the drugs which we are
10 considering today were descheduled.

11 Doctor Cicero, let me turn the program,
12 back over to you.

13 DOCTOR CICERO: Thank you, Doctor Bray.

14 Let me give you a brief review of the
15 history of these compounds. Dexfenfluramine and
16 fenfluramine, fenfluramine is a racemate. It's
17 approved in the United States for the treatment of
18 obesity, and it has been approved since 1973.
19 Worldwide, there have been an estimated 30 million
20 patients that have utilized this drug.
21 Dexfenfluramine is the d-isomer of fenfluramine,
22 again, worldwide ten million patients have been
23 treated with this worldwide and it is currently under
24 review, as you heard Doctor Bone mention for its
25 approval by the Endocrine and Metabolism Advisory

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Group of the FDA.

2 Fenfluramine and dexfenfluramine are going
3 to be positioned and marketed as pharmacological tools
4 to help weight management in obese people, involving
5 a physician-directed weight loss program. The
6 specific indications are shown on the next slide.

7 Fenfluramine and dexfenfluramine should be
8 used only in conjunction with a physician-directed
9 weight loss program. They will be recommended for
10 obese patients with a BMI greater than 30, that's a 5
11 foot ten inch male, 107 pounds, or a BMI of 27 with
12 co-morbid conditions.

13 I very briefly want to move on and discuss
14 the pharmacology of dexfenfluramine and fenfluramine.
15 Basically, it's shown on the next slide.
16 Dexfenfluramine and fenfluramine share, obviously, the
17 same -- many of the same properties. Dexfenfluramine
18 is the serotonin re-uptake inhibitor. It's isomer
19 dexnorfenfluramine is the serotonin releaser, which
20 also acts as a serotonegic agonist. Fenfluramine
21 looks very much the same, with one exception, the l-
22 isomer, in fact, has slight dopamine antagonistic
23 properties.

24 What I want to contrast it to is
25 sympathomimetics like amphetamines, the compounds,

1 remember, which I said it bears structural
2 similarities to, it has a very different neurochemical
3 profile. Sympathomimetics are norepinephrine
4 releasers, they are dopamine releases and adrenergic
5 agonists.

6 The take-home message from here, the only
7 purpose for me discussing it, and that's the last
8 you'll hear of mechanisms of action, is, although
9 fenfluramine and dexfenfluramine has structural
10 similarities to amphetamine, they bear no similar
11 pharmacology.

12 As we will walk through the data later
13 today, you are going to see that that distinction has
14 been amply documented in all the pre-clinical,
15 clinical and epidemiological evidence, these drugs
16 don't look like amphetamines.

17 Okay. I'm not going to discuss the
18 efficacy of fenfluramine and dexfenfluramine, because
19 I think they are both well established. I think you
20 heard from Doctor Bone yesterday that the FDA Advisory
21 Committee actually agreed that the efficacy for
22 dexfenfluramine was there. Fenfluramine, again, has
23 been approved since 1973, and has a well-established
24 efficacy profile.

25 What I would like to do is briefly discuss

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a few safety concerns, which I think you've heard a
2 bit of a discussion earlier today, and certainly
3 Doctor Bone reviewed those that occurred yesterday as
4 well.

5 This is a general statement, and I'm not
6 going to review any of this data for you, both with
7 respect to fenfluramine and dexfenfluramine, they
8 appear to be in clinical trials and in 40 million
9 patient exposures worldwide. I think we have to keep
10 that figure in mind. They are well tolerated. The
11 common adverse events are mild and self-limiting. In
12 the post-marketing experience, your serious events are
13 very rare. The figures that Doctor Hayes showed
14 earlier, put those in context, they showed a few
15 examples of CNS events, we are talking about 40
16 million patient exposures. When you look at serious
17 adverse over a ten-year period and a conductor rate,
18 these are minuscule. These are extraordinarily safe
19 compounds.

20 Although I believe the safety of
21 fenfluramine and dexfenfluramine are quite clear, I
22 was a bit disturbed reading the FDA packet that a
23 large number of tables were included that dealt
24 specifically with intentional over doses with
25 dexfenfluramine in France, but there was no attempt to

1 interpret these data.

2 I must make an assumption that the
3 inclusion of these data suggests that the FDA
4 reviewers are very concerned about excess suicide in
5 patients exposed to dexfenfluramine.

6 I would like to take this opportunity to
7 discuss this issue with you directly, and I think to
8 correct what I believe is a misinterpretation.

9 In your insert, in your background packet
10 that you received, the FDA report of 41 intentional
11 over doses from dexfenfluramine, in the period of 1987
12 or 1993, or an incidence rate of 5.8 per year, 6.6
13 million people were exposed during that period of
14 time. If you assume that the incidence of reported
15 over doses is only one tenth that which occurred, I
16 think a very conservative estimate, then we would
17 estimate there would be 58.6 cases per year, or a
18 rate, assuming the denominator here, of 6.2
19 intentional over doses per 100,000.

20 Let's look at France as a whole, and this
21 data, by the way, are based primarily in women, there
22 are 28 million French women, the rate of suicides was
23 350, by drug now, drug over doses in France, was 350
24 per year. Again, we made an assumption that suicides
25 are one tenth the actual number of over doses, so

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 we've multiplied this by ten just to try to give us
2 some assumption.

3 If you do this and do these calculations,
4 they actual -- and most epidemiologists who have
5 looked at this data will confer will agree these are
6 conservative estimate, the actual rate of drug over
7 dose is actually 125 per 100,000, indeed, fenfluramine
8 appears to be -- or dexfenfluramine in this case --
9 appears to be highly protective in this situation.

10 That is not probably very surprising.
11 This is a drug which has powerful serotonergic, it
12 looks very much like some of the other antidepressants
13 currently in use.

14 One additional safety concern that has
15 been raised about fenfluramine, and particularly
16 dexfenfluramine, is the claim that these agents may be
17 neurotoxic, and once again I note in the FDA packet
18 that there was a very large number of articles that
19 contained documentation that, in fact, this was the
20 case, but I was a little disappointed at the arguments
21 of the opposite side and the number of papers that
22 failed to show such effects were not included.

23 We'd like to take the opportunity today to
24 address this issue, and we've actually expanded it a
25 bit in view of the extensive discussion that occurred

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 yesterday with respect to neurotoxicity.

2 At the outset, I just want to make a
3 couple of general comments. I'm certainly not an
4 expert in this field. My perception is that we have
5 a bunch of preclinical observations, and we have some
6 superb scientists who happen to be very good friends
7 of mine, I think good scientists can have reasonable
8 differences of opinions. I think Lou Seiden, without
9 question, passionately believes in what he's shown,
10 he's done it in a highly rigorous fashion. George
11 Ricaurte, impeccable credentials once again, Bob
12 Moore, again, I think everyone dealing with the pre-
13 clinical model is doing exquisite work in this area.

14 I think we have a distinction. I think we
15 have a problem. We are going to have a little bit of
16 discussion of that, but I think, again, the focus
17 ought to be, we are dealing with a pre-clinical
18 situation, and its relevance to the human is the big
19 unanswered question. And, while I sort of jokingly
20 refer to this, we could have the dueling
21 neurobiologists present an hour and a half or so of
22 talk, the real crucial issue to me is, does this have
23 clinical relevance?

24 Why I don't think this is going to get
25 resolved, I'm mindful of the fact, one of my dear

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 colleagues was involved in the MSG controversy back in
2 the late '60s, there is still a dispute 25 years later
3 about whether that compound is neurotoxic, based upon
4 mouse and rat studies. I don't know whether it is,
5 and I certainly don't know whether in humans it is.
6 I don't know that we can resolve the situation. The
7 acid test is, is there a problem in humans. That's
8 the unanswered question.

9 There are numerous animal studies that
10 have shown that have shown that high dose
11 dexfenfluramine administration may produce decreases,
12 in forebrain serotonin content. No dispute on that.
13 I think everybody would agree with that. There is a
14 reliable relationship across species between
15 dexfenfluramine concentration and reduction in brain
16 serotonin. The brain dexfenfluramine, the metabolite
17 concentration of these patients receiving
18 dexfenfluramine is substantially below those that
19 produce significant prolonged decrease in the
20 serotonin content.

21 Let me give you an example. You'll see
22 when Doctor Moore presents data at a normal
23 therapeutic level, 15 milligrams twice a day of
24 dexfenfluramine, you get brain levels of four
25 micromolar in all these situations. Let's not worry

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 about doses and milligrams per kilogram, although I
2 will point out the human dose is .3 milligrams per
3 kilogram. All of these studies involving rats, mice
4 and primates have used doses that are at least 20 to
5 100 times that level.

6 But the acid test is what are the brain
7 levels. The brain levels are four micromolar after
8 human administration. We are reaching levels between
9 50 and 150 micromolar in all of the animal studies.
10 So, the brain concentration in these studies, there is
11 a huge margin of difference between the brain levels
12 generated in these studies and what one sees in a
13 human. So, we need to bear that in mind as we look at
14 the human significance.

15 Even with the very high doses that
16 decrease brain serotonin content in animals, we are
17 going to show you today that using the same identical
18 doses that reported caused brain lesions, the animals
19 have completely normal neuropsychological function.
20 So, even if a rat has reportedly what appears to be a
21 destroyed brain, they have normal function as assessed
22 by a battery of tests.

23 With human therapeutic dose there is no
24 evidence of significant changes in neurological
25 function shown by neuropsychological testing in

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 clinical trials or by post-marketing experience. Let
2 me expand on that. There have been four or five
3 studies that have indeed examined neuropsychological
4 status in patients that have been maintained long-term
5 on dexfenfluramine. In addition, I do want to remind
6 you that there have been 40 million patients exposed
7 to this compound worldwide since 1973. There has been
8 no indication. We can pick up primary hyper -- what
9 is it? Pulmonary. We can pick that up. It's a very,
10 very rare event. No one has been able to detect any
11 evidence of any neuropsychological impairment.

12 I think we have to bear these in mind as
13 we approach the significance of what I believe to be
14 solid pre-clinical findings. I believe that Lou
15 Seiden, George Ricaurte and Bob Moore are finding
16 similar sorts of things. I think there are other
17 explanations for it. I think we have to rise above
18 that and ask, "Is it clinically relevant?" I think
19 the issues that I've just raised to you would argue
20 that, no, I don't think it's clinically relevant.

21 Is the question addressed finally? No, it
22 isn't. What we need to do now is do more systematic
23 studies as this compound is approved and marketed in
24 this country to, in fact, demonstrate in a fashion
25 that would satisfy everyone that, in fact, there is no

1 neuropsychological deficits here. Certainly this
2 sponsor doesn't want to produce a drug and certainly
3 this committee doesn't want to get involved in
4 anything that's going to hurt people. Again, we're
5 talking about risk benefit. We have to realize what
6 that risk benefit is. You've heard the enormous toll
7 of obesity and how we could help that. We've got to
8 balance off some risk associated with that. But
9 certainly no one wants to promote a drug that's going
10 to cause gross neurotoxicity.

11 I think you'll hear later that the company,
12 is actually committed to making sure when this
13 compound is, in fact, marketed that this issue is more
14 rigorously examined. But I think in contrast, Doctor
15 Bone accurately reflected what occurred yesterday.
16 That frankly was the sponsor's problem. The sponsor
17 did not present this case clearly to you to indicate
18 this has no clinical relevance. I think had that been
19 done, it would have been a very different tone of the
20 discussion. I'm here to present that to you and I
21 think we needed to get that data in front of you.

22 I'm not going to turn this over to Doctor
23 Moore who will, in fact, discuss some of the
24 preclinical data and then we have two or three
25 speakers which I promise are only going to mention a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

13 We are talking about serotonin neurons.
14 In the top panel is the primary nucleus of serotonin
15 neurons in the brain stem that projects to the
16 forebrain. This is the dorsal rafe nucleus. The
17 small brown dots that you see are the serotonin
18 neurons shown with an antibody to serotonin. On the
19 bottom panel you can, at least if you're close, see a
20 very fine lacy network of fibers which is the axon
21 terminals and terminal plexus of those serotonin
22 neurons in cerebral cortex.

23 It is clear that the administration of
24 high doses of dexfenfluramine will produce decreases
25 in brain serotonin content. Here is an example of

1 this. This is in an ongoing, long-term study in which
2 the drug is given over a 21 day period in oral doses
3 with pair-fed controls. The values are shown as
4 either percent of pair-fed control or percent of
5 control and the doses are two, four, eight and 16
6 milligrams per kilogram. When one gets to doses in
7 the range of eight and 16 milligrams per kilogram,
8 there are quite large decreases in serotonin content
9 that over at one week after discontinuation of the
10 drug at 13 weeks there is recovery at the eight
11 milligram per kilogram dose. This is not in my view
12 regeneration. When I look at the histochemistry with
13 this, this is simply a reappearance of serotonin in
14 the fiber plexic and all of the dose levels are back
15 to the level of pair-fed controls by six months after
16 the termination of dosing.

17 Another example was brought up yesterday,
18 but I think is an important one and this is a long-
19 term mouse study which was part of the carcinogenicity
20 trials. In this, mice were given 27 milligrams per
21 kilogram per day of dexfenfluramine in feed for 106
22 weeks. At the immediate end of this two years of
23 treatment, the serotonin content in the brains of
24 these animals was normal. Paroxetine binding, which
25 is an independent measure of the integrity of the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 serotonin neurons, it is a measure of the serotonin
2 transporter. This is also normal. Then, two months
3 later, after the cessation of treatment, it is also
4 normal.

5 There was a question raised yesterday as
6 to whether the animals were actually receiving it in
7 the feed. I think if you look at what the brain
8 concentrations of the drug and metabolite were at the
9 end of the two years of treatment, it's clear that the
10 animals were receiving it. That is the concentrations
11 were quite high, 51 micromolar.

12 The issue of calcification was also
13 brought up. Let me try to put that into context. It
14 appears that there was more calcification in the
15 thalamus of the brain of the animals receiving the
16 dexfenfluramine. Calcification by itself, in my view,
17 is not a meaningful thing. Most of the people in this
18 room will have some calcification of their pineal
19 gland, but in that context the pineal gland still
20 functions perfectly normally.

21 In addition, there is a condition which
22 has been discovered since modern imaging techniques
23 and that's calcification of the basal ganglia. By
24 looking at basal ganglia with CT or MRI, you see
25 marked calcification in individuals who otherwise are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 absolutely asymptomatic. It's a finding that came up
2 with the techniques. So, calcification by itself
3 really doesn't mean anything.

4 I think it's necessary to deal with the
5 issue of what doses mean and whether one can
6 extrapolate from animals to man. We tend to think
7 that perhaps a monkey would be a better experimental
8 animal than a rat or a mouse, but in this context I
9 don't think that that's the case. There are data that
10 suggest that the acute effects of dexfenfluramine on
11 brain 5-HT levels are related to brain drug and
12 metabolite concentration and that this is similar in
13 rats, mice and primates and that any species
14 differences are pharmacokinetic.

15 This is shown in this graph where brain
16 serotonin content is plotted against the concentration
17 of the drugs and you can see that the brain serotonin
18 content is a function of concentration of drugs along
19 this curve regardless of whether we're looking at
20 mouse, rat, rhesus monkey, cynomolgous monkey or
21 squirrel monkey. All of these behave exactly the
22 same.

23 Consequently, we think it is reasonable to
24 extrapolate from measured human levels and to compare
25 then humans and animals. This is data obtained from

1 a magnetic resonance spectroscopy study in the human
2 with obese subjects given dexfenfluramine in a dose of
3 15 milligrams twice a day for 90 days and their
4 concentrations of drug and metabolite were obtained at
5 the beginning of the study, at 10, 60 and 90 days. As
6 you can see, there is a rapid rise of the drug and
7 metabolite in brain as measured by MRS spectroscopy at
8 ten days and that this is maintained and there is no
9 accumulation of drug metabolite with continued
10 treatment.

11 If we then take these values and put them
12 on the curve that I just showed you from the animals,
13 you can see this is all of the patients from the study
14 and all of these fall well below the part of the curve
15 where one begins to see significant or large changes
16 in brain serotonin as were shown in the study that I
17 showed you, the first study of the rats, the long-term
18 study. The 50 percent level is over here and this is
19 substantially higher. It would require substantially
20 higher brain concentrations than are obtained in the
21 human with therapeutic doses. These are levels in the
22 range of 2, 4 micromolar.

23 I should have said that with the prior
24 human study that I showed you, that that was not
25 corrected for concentrations as shown in the monkey

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 study in which the MRS was done and then brain
2 concentrations were obtained directly.

3 I will now turn it over to Doctor Bruce
4 Campbell who was going to tell you about the English
5 conclusions regarding neurotoxicity.

6 DOCTOR CAMPBELL: Mr. Chairman, ladies and
7 gentlemen, I'm Doctor Bruce Campbell, Director of
8 International Scientific Affairs for Servier in the
9 U.K. I've just been asked to say a few words because
10 clearly the experience that we have in English and in
11 Europe is obviously very much larger than you have
12 with this drug, because the drug is not on the market.
13 It was clear from yesterday's discussions that it
14 really wasn't apparent that we do have an enormous of
15 experience in Europe and clearly we can't forget that.

16 We obviously as a company have been very
17 concerned with the possibility of these so-called
18 neurotoxic effects. Together with the various
19 regulatory agencies, we've been looking at all the
20 adverse reactions. We saw some of these already today
21 and I would also like to comment. The fact that
22 relatively low levels with 10 million to me is
23 surprising and also surprising to the agency because
24 these are very little.

25 This we have recently received from the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 MCA, which is the medicine control agency in the U.K.
2 who commissioned an independent report by an expert in
3 neurotoxicity, Professor Atterwill, and also together
4 with all the spontaneous reports that they have
5 English, together with the adverse reactions which we
6 have to give from all over the world. You note the
7 date is the 5th of September. So, it's as much up to
8 date. There is no different information that they
9 have that the FDA have. Based on this, you will see
10 that they have reviewed the spontaneous reports and
11 the neurological adverse drug reactions associated,
12 with dexfenfluramine and fenfluramine received to
13 date. We conclude that no action is required in
14 relation to this aspect of the drug safety profile at
15 present. Clearly, like yourselves and ourselves, we
16 must continue to evaluate it. But based on this very
17 large experience that we have for more than ten years
18 in the U.K., the MCA feel that this is of no clinical
19 importance.

20 Thank you.

21 Now I'd like to introduce Professor Stan
22 Lawrence, Professor of Pharmacology and
23 Neuropharmacology at Chicago University who
24 specifically looked at the meaning of these very high
25 doses in animals in terms of their potential changes

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 in behavior.

2 Professor Lawrence?

3 PROFESSOR LAWRENCE: Good morning, ladies
4 and gentlemen. The introduction is not quite correct.
5 I'm not at the University of Chicago, although like
6 Doctor Seiden I was trained there by an eminent group
7 of serotonin pharmacologists, neuroscientists,
8 including Doctor John Harvey, who happened to direct
9 my dissertation. I might add that Doctor Moore played
10 a vital part in my dissertation research as well
11 because he was on the committee. I had learned
12 greatly from that experience.

13 My laboratory since that time, over 20
14 years, has been concerned with the functional
15 properties of serotonin neurotransmission, and more in
16 particular the functional effects of CNS serotonin
17 depletion. Our efforts have been greatly
18 disappointing from the standpoint that central nervous
19 system serotonin depletion, and by that I mean falls
20 in 5-HT and its metabolites content of greater than 80
21 percent, do not result in major functional or
22 neurological deficits.

23 Now, let me just, to be brief, review
24 studies that were conducted beginning in the 1970s
25 with 5,7 dihydroxytryptamine and continued up through

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 1989. I'd like to emphasize that none of this work
2 was supported in whole or part by either Interneuron
3 or Servier. We're funded, in fact, in part by funds
4 from the National Institute on Drug Abuse, university
5 funds and others.

6 Now, what we have done is to compare the
7 effects of intraventricular bilateral administration
8 of 5,7 dihydroxytryptamine, which is a classical
9 serotonin and neurotoxin. The administration of 100
10 micrograms bilaterally intraventricularly results in
11 serotonin depletion in various regions of the brain
12 which measure in the magnitude of 85 to 96 percent.
13 In comparing the effects of d/l fenfluramine to those
14 of 5,7 dihydroxytryptamine, we have measured brain
15 levels after these two different treatments at two and
16 eight weeks in separate groups of animals, two and
17 eight weeks post-treatment.

18 Now, you'll note that the doses of d/l
19 fenfluramine that were used here fall into the so-
20 called neurotoxic range. Five or 20 milligrams per
21 kilogram were administered subcutaneously twice a day
22 on four consecutive days and a variety of behavioral
23 tests were then used to look for functional
24 alterations between two and eight weeks post-
25 administration.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now, the neurochemical evidence indicated
2 that these doses will produce falls throughout the
3 central nervous system in serotonin which maximally
4 reach, in our experience, about 70 percent, 70 percent
5 reductions. By eight weeks post-administration, these
6 falls have been normalized in the sense that the falls
7 have been overcome and measure in our experience about
8 35 percent. So, you have recovery of the effects on
9 at least fenfluramine on serotonin content.

10 In contrast, 5,7, DHT produces a
11 prolonged, I would say probably permanent reduction in,
12 CNS 5-HT consistent with its true neurotoxic effects.
13 So, at eight weeks post-administration 5,7 DHT
14 administration will result in 95 percent reductions,
15 continued reductions in the hippocampal 5-HT, 5-HI AA
16 levels.

17 Now, we have looked at the effects of
18 fenfluramine using a variety of behavioral tests,
19 including exploratory behavior, motor coordination and
20 stamina and that's in the Loyola University Medical
21 Center swimming pool. That's a joke. In fact, the
22 only swimming pool at the medical center is in this
23 testing facility.

24 Defensive behavior toward an intruder in
25 the home case is a measure of hypergrasivity and I'd

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 like to mention that perhaps one of the most commonly
2 reported or certainly the research evidence from
3 Linoila's lab from Finland, from other groups, is that
4 CNS serotonin depletion or major reductions leads to
5 violent combative behavior. You will note that these
6 doses on fenfluramine do not in any way affect this
7 aggressive behavior of treated animals toward an
8 intruder.

9 We've also looked at one and two way --
10 this is a place conditioning two way discriminated
11 condition avoidance, acquisition retention, spacial
12 memory formation using an eight arm radial maze for
13 food reinforcement, thermal pain sensitivity,
14 morphine-induced analgesia. We have found no adverse
15 effects of these treatments with fenfluramine on any
16 of these functional measures.

17 In contrast, 5,7 dihydroxytryptamine will
18 produce deficits in exploratory behavior, can produce
19 dysfunctions in motor coordination and stamina.
20 Clearly, this effect, the 5,7 DHT has been reported by
21 several laboratories, major reductions in CNS
22 serotonin result in hyperaggressive, combative
23 behavior. In addition, 5,7 DHT can affect thermal
24 pain sensitivity using a hot plate method and morphine
25 analgesia. None of these treatments effect learning

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 capability or cognition using these preclinical tests.
2 It's overwhelming evidence that serotonin depletion
3 induced by this toxin do not lead to learning and
4 memory deficits using classical tests.

5 Thank you.

6 Oh, excuse me. I'd like now to introduce
7 Doctor Richard Gammons from Interneuron.

8 DOCTOR GAMMONS: Thank you.

9 I am, again, Doctor Richard Gammons. I'm
10 the Vice President of Clinical Research at
11 Interneuron. As Doctor Bone correctly and succinctly,
12 pointed out, there was a burning interest first
13 expressed yesterday in neuropsychological tests that
14 might be available and I'd like to at least briefly
15 and succinctly review that, which I have at hand.

16 In the interest of both clarity for those
17 that are not familiar with the test and explaining
18 abbreviations that are on the final slide, I'd just
19 like to explain what the tests were. First, the
20 profile of mood states which uses 65 descriptors rated
21 on a five point scale by patients and addressed the
22 dimensions of mood listed, depressions, anxiety,
23 hostility, fatigue, vigor, confusion or bewilderment.
24 There was a reaction time test employed in one study
25 which is a test designed to test vigilance,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 integration of reaction to that stimulus and ability
2 to respond to it and the Stanford sleepiness scale,
3 which is a widely used scale to look at sleep
4 disturbances both directly and self-rated by the
5 patient as regards their sleep and their daytime
6 sequelae, if there were lack of sleep or other loss of
7 alertness from other sources.

8 In one study, two studies actually, there
9 was a Center for Epidemiologic Studies depression
10 scale, again a self-report questionnaire, 21 items, a
11 wide variety of symptoms that are associated with
12 depressive illness, not just the word "depression" as
13 it might be commonly used in adverse experience
14 reporting on in a lay sense and the Mini-Mental Status
15 Scale, which is a widely used, relatively simple and
16 straightforward test of orientation as to location,
17 attention, registration, recall, language skill.

18 There were three studies that we share
19 with you today, all of them small and carefully
20 conducted to assure consistency with respect to
21 administrations of the instruments. Two of the
22 studies were MIT studies identified as 291 and 296.
23 291 was, in fact, a smoking cessation study, not an
24 obesity study. It was five weeks of treatment
25 duration. The dose was 30 milligrams per day. The

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ends are shown, 15 and 16. Palms and the reaction
2 time test were employed in that and there were no
3 significant differences at weeks baseline or weekly
4 during the course of that study.

5 The second study was, in fact, the weight
6 loss study and employed the ends as shown at doses of
7 either 30 or 60 milligrams per day, 12 week treatment
8 duration and two weeks post-treatment cessation.
9 Follow-up, profile of mood states and the CESD were
10 used. Again, there were no significant difference
11 noted on the items tested.

12 These two studies are published and
13 included as such in various submissions we have made.
14 In fairness, the remaining study is -- these data from
15 the remaining study were not analyzed until just very
16 recently and have not been submitted to the agency.
17 It is the study which we refer to as the Noble study.
18 Again, the dose was typical of the 30 milligrams per
19 day. The treatment duration was six months and then
20 there was a 12 month post-treatment follow-up
21 assessment. The ends again are small.

22 The data that we have available with us
23 that has been analyzed are the Stanford sleep
24 questionnaire and the Mini-Mental Status. Again, no
25 significant difference either during the treatment

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 phase or at the end of it there at six months or
2 during the follow-up period.

3 Also, I'd like to mention one remaining
4 psychometric assessment that was, in fact, included in
5 two of the weight loss studies, the Hamilton
6 Depression Scale and it was included at the suggestion
7 of the Neuropharmacologic Drug Division to address the
8 issue of either treatment emergent or post-treatment
9 withdrawal, depression, illness. For those of you not
10 familiar with it, it's a 17 item scale. It assesses
11 the whole dimension of symptoms typical of depressive
12 illness. Each are rated on various scales, usually
13 zero to four and some zero to two. Typically when
14 employed in depressed patients, an entry score for
15 moderately depressed patients would be 18. These
16 again were included not because the patients were
17 depressed but because of an interest in assessing the
18 emergence of a depressive syndrome similar to that of
19 major depression.

20 At entry into the study, the scales in
21 both studies average something below four which is not
22 depressed. At the end of 12 weeks of treatment,
23 either on 30 milligrams per day, which was included as
24 one group in the dose response study and was the only
25 treatment group in the remaining weight loss study,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 both studies combined at that dose approach 150. The
2 ends at 10 milligrams per day are 50 and end at 60
3 milligrams per day are 50.

4 I'll just try to speak globally rather
5 than the specific value for each group. At baseline
6 those values average under four. At the end of 12
7 weeks treatment they were unchanged. At the end of
8 the four week post-treatment follow-up they remain no
9 change.

10 To provide further reassurance and to
11 assure we weren't obscuring something in the total
12 score, although it's very difficult to imagine with a
13 mean of four, the data I just described to you are
14 included in the regular study report. In addition, in
15 the recent days, I went back and looked at the
16 individual scores on each of the key items, for the
17 central symptoms of depression, such as depressed
18 mood, suicidal ideation, guilt, somatic or psychic
19 anxiety, psychic retardation, loss in work and
20 interest, and identified those patients who at entry
21 in the study, which was the vast majority, did not
22 have those symptoms and assured that at either the 12
23 week treatment point nor at the four week post-
24 treatment follow-up point were those patients
25 exhibiting signs of moderate symptoms that were not

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 present at baseline. Again, those were uniformly
2 convincing that there were no emergence of either the
3 depressive syndrome or a suggestion, a hypothesis that
4 I could generate that would suggest that that were
5 true.

6 In addition, it is customary in those two
7 studies in particular to include a structured
8 neurological assessment of those were again negative.

9 Thank you for the opportunity to speak to
10 you about this.

11 DOCTOR CICERO: I'll just wrap it up.
12 This is the very first slide I began with, but I'm not
13 going to bore you by going over it.

14 I think what you have heard is that I
15 think without question there's some indication at
16 preclinical model that you had some reduction of
17 serotonin and I believe there's a debate about how
18 long that does persist. I think what we try to do is
19 put into perspective that at least in the animals
20 treated with similar doses, there don't appear to be
21 any neuropsychological substrates or correlates of
22 this behavior. To the extent we have systematic data
23 in the 50 or 60 patients that they've just reviewed,
24 there don't appear to be any neuropsychological
25 deficits once again.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I guess I still have to keep reminding us
2 that we've had 10 million people worldwide exposed to
3 dexfenfluramine and 30 million people exposed to
4 fenfluramine and no agency, the WHO, anyone has been
5 able to -- including the company, has found anything
6 indicative neuropsychological deficits.

7 Now, I want to stress it doesn't mean that
8 there's something very subtle and we've been hearing
9 that yesterday. I don't know how one measures it if
10 it's so subtle we can't see it, but clearly the
11 company is committed to doing a careful, careful,
12 neuropsychological screening in a systematic, large
13 data set once the compound is available. At the
14 current time there is just no evidence to suggest that
15 this compound had any neurotoxicity.

16 Mr. Chairman, we have about 20, 25 minutes
17 left. I noticed we skipped our break. This would be
18 a wonderful opportunity to break in our presentation,
19 if you so desire.

20 CHAIRMAN MEISCH: Exactly my idea. We'll
21 take a 15 minute break.

22 (Whereupon, at 11:08 a.m., off the record
23 until 11:36 a.m.)

24 CHAIRMAN MEISCH: Doctor Cicero, please go
25 ahead.

1 DOCTOR CICERO: Thank you, Doctor Meisch.
2 Why am I so mechanically inept?

3 I'll bring us back. We've had an extended
4 discussion of the safety issues and the indications
5 for the compound and I think the need for this
6 compound in treating obesity. I hope that point has
7 been driven home.

8 Just to remind you again, the compounds
9 were scheduled in '73 on a preliminary basis because
10 of structural similarities.

11 I think, going to a second point, I'm not,
12 going to present these data and I've talked to Doctor
13 Meisch and I think we can all generally, I believe,
14 concede at this point that the epidemiological,
15 clinical and preclinical data collected since 1973
16 indicate that there really is either a lack of past,
17 current or potential abuse of these compounds. Again,
18 abuse being defined by the three factors.

19 I'd like to read Doctor Wright's three
20 comments, introductory comments he made at the
21 beginning of the meeting.

22 A substance should only be controlled if
23 it meets all of the three following criteria. One,
24 evidence of self-administration because of
25 pharmacologic activity. We will all concede there is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 no evidence that point to this effect.

2 Two, evidence that the substance is
3 capable of producing physical or psychological
4 dependence at high doses. I think again the data is
5 compelling to indicate that that is not the case.

6 Three, evidences of a substantial risk of
7 diversion of illicit traffic at the local, state,
8 national or international levels. Once again, we
9 submit there are no data to support this position.

10 On this basis, the sponsor would like to
11 recommend that fenfluramine and its isomers should be
12 descheduled. We will not present any further data
13 relevant to that point today.

14 The issue of misuse is an important one,
15 as is toxicity. I think there are a number of
16 concerns that one could raise about any compound.
17 However, this is not the format, I believe, for us to
18 be discussing toxicity issues. It is not the forum
19 for us to be discussing efficacy issues. This is the
20 Controlled Substance Act. We are dealing with the
21 abuse liability of this compound and that is what our
22 discussion has to focus on.

23 So, the remainder of the discussion of
24 neurotoxicity and/or efficacy or lack of efficacy to
25 me is not a suitable topic for this discussion. It

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 may be informative, but I don't think it's germane
2 certainly to the Controlled Substance Act, because
3 remember, we're talking about public health interest
4 if there is a substantial abuse problem. That is
5 factor 6. Clearly, there is no substantial abuse
6 problem. So, we do not have to address the public
7 health interest.

8 That's not to say that we're not all
9 interested in public health decisions. I do want to
10 clarify my previous statement about misuse which my
11 dear friend Curt Wright pointed out I overstated the
12 case. I said basically that a sponsor or a
13 pharmaceutical company has no control over misuse of
14 the compound. That clearly is an overstatement.
15 There are a number of recent examples of companies
16 that have taken a position to take strong post-
17 marketing surveillance programs which will tend to
18 identify pockets of misuse and take corrective actions
19 should those things come up.

20 You'll find the sponsor -- and that will
21 be presented at some later point. I'll ask the
22 Chairman's discretion on when he wants that presented
23 -- is committed, in fact, to the responsible marketing
24 of this compound and indeed will investigate should
25 there be pockets of misuse and attempt to educate and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 correct that problem. So, thank you, Doctor Wright,
2 for correcting that perception.

3 Mr. Chairman, the sponsor is willing to
4 not discuss any of the data further, of course pending
5 if there's any additional comments that should
6 question that conclusion. But our recommendation to
7 this Committee is to deschedule at long last
8 fenfluramine and its isomers.

9 CHAIRMAN MEISCH: Doctor Cicero, we're
10 going to have, I guess, a couple of people from the
11 FDA give some information. If you want to add some
12 qualifications at that point, fine. Otherwise, I'm
13 going to poll the Committee after the FDA
14 presentations.

15 DOCTOR CICERO: Thank you.

16 CHAIRMAN MEISCH: Doctor Wright, who are
17 the people now that want to talk?

18 DOCTOR WRIGHT: Well, during the break,
19 Mr. Chairman, you asked me if any of the agency
20 personnel had specific information with respect to
21 self-administration.

22 CHAIRMAN MEISCH: Yes.

23 DOCTOR WRIGHT: And I will honor your
24 request. I will ask two of our speakers and I will
25 call for any of our other speakers that have

1 information that I don't know about to specifically
2 talk about the data that they hold that is related to
3 the potential for self-administration of this drug.
4 Will that acceptable to you?

5 CHAIRMAN MEISCH: Yes, sir.

6 DOCTOR WRIGHT: Thank you.

7 Doctor Kramer, will you address that
8 issue?

9 DOCTOR KRAMER: Yes, I will.

10 The basic clinical data relating to the
11 abuse liability of fenfluramine includes case reports,,
12 a survey of addiction medicine specialists and recent
13 popular press coverage relating to fenfluramine and
14 phentermine. The reports of dependence and abuse of
15 fenfluramine date from the 1970s. Three case reports
16 and one case series comprise the body of this data.

17 The first case was reported by Harding and
18 is of a woman being treated for post-partem depression
19 and weight loss with amitriptyline and fenfluramine.
20 She became depressed, sleepless, anorexic, agitated
21 and suicidal when she discontinued her fenfluramine.
22 She was successfully treated with reintroduction of
23 fenfluramine and taper of her antidepressant followed
24 by fenfluramine over four months.

25 Rosenvinge reported the second case of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fenfluramine abuse in 1975. The patient was a woman
2 who had successfully lost weight on the drug and
3 continued to use it for four years at doses up to 240
4 milligrams per day. She experienced euphoria, excess
5 energy, little need for sleep and increased libido.
6 When she came to treatment she had forged three or
7 four prescriptions.

8 The third case was reported by Dare from
9 Australia in 1976. At that time fenfluramine was
10 available without prescription. He reported a man and
11 a woman who presented together with acute symptoms of
12 fenfluramine abuse. The man, aged 29, had a ten year
13 history of drug abuse, including amphetamine and LSD.
14 He had been using 200 to 300 milligrams of
15 fenfluramine two times a week for a month.

16 His companion, an 18 year old woman with
17 anxiety, hallucinations and depersonalization
18 following 200 milligrams of fenfluramine and alcohol.
19 She gave a one month history of twice weekly use.

20 Of note, the man in question reported
21 sadness as a mild withdrawal symptom, which he readily
22 treated with additional fenfluramine.

23 Levin reports on what appears to be an
24 accumulated case series of fenfluramine abuse among
25 young drug dependent South African servicemen. He

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 reported treating 60 drug dependent young men who
2 claim to have used fenfluramine as a drug of abuse and
3 reported euphoria, derealization and hallucinations
4 after ingesting 80 to 400 milligrams. Increasing
5 quantities of the drug were required to achieve the
6 same initial high, suggesting tolerance development.

7 In 1971, fenfluramine abuse was identified
8 in 7.4 percent of patients screened. In 1972, that
9 proportion rose to 22 percent. Since then, it was
10 seen in 13 percent of those screened. The author
11 notes that this increase occurred around the time that
12 amphetamines were withdrawn from the South African
13 market in December of 1971. He reports that the
14 source of abuse fenfluramine was regularly illicit
15 with no fenfluramine abuser using fenfluramine for
16 weight control.

17 In addition, that's the body of the case
18 series data. Some of the other data that we have
19 relevant to human self-administration include a survey
20 of addiction medicine specialists affiliated with ASAM
21 reported by the sponsor. This specifically included
22 the ASAM Board of Directors and ASAM Chapter
23 Presidents. None of those interviewed had heard of a
24 case of fenfluramine abuse or addiction. None of the
25 eight treatment facilities interviewed reported having

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 treated such a patient. Pharmacists at the
2 institutions surveyed were not aware of problems with
3 falsified prescriptions or persons going to multiple
4 doctors to obtain medication.

5 Finally, I would raise the question again
6 of recent reports of combination of fenfluramine and
7 phentermine being used in the treatment of a wide
8 variety of addictive disorders in addition to obesity.
9 You have heard some about this today. While there may
10 be reason to rigorously investigate the possible
11 therapeutic use of fenfluramine and/or phentermine in
12 the treatment of addictions, there is concern about
13 the possible increasing use of fenfluramine and
14 phentermine in a population of patients particularly
15 vulnerable to drug addiction.

16 I would summarize this data in addition to
17 some other laboratory type studies that I included and
18 summarized in your packets by saying that the
19 available reports are sporadic, that high doses of
20 fenfluramine greater than 80 milligrams a single dose
21 seem to be the most common subject of abuse. These
22 doses appear to be capable of producing euphoria,
23 hallucinations and other psychotropic effects.
24 Although reports are few, abuse of fenfluramine
25 originally perspective for weight control may be

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 associated with stimulant effects and euphoria, while
2 primary abusers may be more likely to report
3 hallucinations in addition to stimulatory effect.

4 The largest case series suggests that the
5 emergence of fenfluramine abuse occurred at a time
6 when access to amphetamine became relatively more
7 restricted to a population with a substantial drug
8 abuse problem. Other reports of abuse are potentially
9 consistent with these observations. Reports suggest
10 that abusers of fenfluramine may experience tolerance
11 dependence and a withdrawal-induced depression in
12 addition to euphoria and hallucination. It has been
13 suggested that dependence on fenfluramine may result
14 in order to maintain a normal mood.

15 The report of the ASAM survey suggests
16 that the problem of fenfluramine abuse, however, is
17 not likely to be widespread at the current level of
18 control and with no changes in the availability of
19 other more attractive stimulants.

20 I would add one more note to this, which
21 is that the analytical toxicology of amphetamines and
22 anorectic drugs is of some concern here. While
23 fenfluramine will produce a causative result in
24 screening for drugs of abuse, it does not appear
25 likely that fenfluramine would be identified as the

1 cause of such a reaction in the absence of a specific
2 program screening for its possible abuse.

3 CHAIRMAN MEISCH: Doctor Kramer, I think
4 that some of the information presented suggests that
5 within the last eight years or so there have been no
6 systematic series of cases of fenfluramine abuse. Is
7 that something you would agree with or disagree or
8 comment on?

9 DOCTOR KRAMER: I would agree with that
10 and I would just add the concern about the analytical
11 toxicology of amphetamine is not resolved in the
12 extent to which one might see fenfluramine as a
13 potential drug of abuse resulting from routine drug
14 abuse screening is not clear to me.

15 DOCTOR WRIGHT: May I ask you to clarify
16 that for me, Doug? If I understand you, what you're
17 saying is that since a common method for detecting
18 drug abuse in America is toxicologic screening, there
19 exists a possibility that cases of fenfluramine have
20 not been identified because of deficiencies in the way
21 in which laboratories screen for stimulant compound.

22 DOCTOR KRAMER: That is correct.

23 DOCTOR WRIGHT: Thank you.

24 CHAIRMAN MEISCH: Doctor Cicero?

25 DOCTOR CICERO: I apologize for the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 spontaneous outburst by that group down there.
2 They're not to be doing that. I think I just have a
3 couple observations and we'll directly address Curt's
4 point.

5 Doug, I don't have any difference of
6 opinion with you whatsoever on the data that you
7 presented. I would remind you, as the Chairman did,
8 that the period covered 1971 to 1975. Since 1975
9 there has been nothing, not even a case report. I
10 think that's important for us to remember.

11 There is an issue with respect to the
12 measurement of fenfluramine in urine which prompted
13 the outburst. I'll ask Doctor Campbell to address it.

14 DOCTOR CAMPBELL: I originated the first
15 methods for measuring amphetamines and fenfluramine in
16 blood in the world, so I have a little bit of
17 expertise in this area.

18 Could I just ask what the screening method
19 is for these drugs in urine?

20 DOCTOR WRIGHT: There's no simple answer
21 to that. The difficulty is that in American practice
22 over the last 20 years the standard for screening has
23 been very, very variable, has gone all the way from
24 very rudimentary TLC screening in some locales through
25 antibody testing by a variety of different kits and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 methods in others, sometimes with confirmation,
2 sometimes without. I do not think the implication is
3 that there has been a widespread complete failure to
4 recognize fenfluramine.

5 The concern which I believe is legitimate
6 and must be raised, that given the wide variety of
7 testing methods that have been used in drug abuse
8 treatment, that it is clear that fenfluramine is
9 likely to show up positive on many forms of antibody
10 screening, but unless it was looked for on
11 confirmatory GC mass spec it might not be identified,
12 by all facilities or it might not be identified by
13 facilities that did not routinely use GCMS
14 confirmation of positives.

15 DOCTOR CAMPBELL: As far as I know, the
16 sort of methods which I use, TLC, GC, HPLC, and even
17 ELISA methods, they would all pick up fenfluramine and
18 its metabolite. In fact, I know of none which
19 probably won't.

20 The problem that you might have is false
21 positives in comparison with, let's say, amphetamines,
22 and therefore you might pick up fenfluramine thinking
23 that it was an amphetamine. But clearly, if that was
24 the case, your techniques would do GCMS and you would
25 be able to separate those out. So I must admit, I --

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 even though you --

2 DOCTOR WRIGHT: Let me try to clarify
3 again. I'm sorry to interrupt you, but our concern
4 was that it was only in the very recent years that
5 routine GCMS confirmation of positives became a
6 standard of practice. Our concern was that
7 fenfluramine would be identified as amphetamine if
8 only antibody testing was done.

9 DOCTOR CICERO: Absolutely a valid point.

10 DOCTOR CAMPBELL: But I think now things
11 have changed.

12 Coming back to the original point, would
13 you now say that even with the methods which are now
14 in routine practice the majority, except if they're
15 very bad, even though you're denigrating those, would
16 pick up fenfluramine?

17 DOCTOR WRIGHT: My perception is that at
18 this point in time with many programs adhering to the
19 federal standards which require confirmation and
20 certification of the laboratories that fenfluramine
21 would be identified perhaps within studies done within
22 the last five years, ten years, five years certainly,
23 ten years possibly.

24 CHAIRMAN MEISCH: Doctor Kramer?

25 DOCTOR CICERO: I think Doctor Wright was

1 referring to, again, the Controlled Substance Act
2 which we're discussing, which refers to past as well,
3 and I think Doctor Wright was trying to make some very
4 perceptive comments on that point. They're well
5 taken.

6 CHAIRMAN MEISCH: Doctor Kramer?

7 DOCTOR KRAMER: I would bring to your
8 attention the fact that, for example, under NIDA drug
9 testing regulations it is not required that
10 fenfluramine be identified as the cause of, for
11 example, a false positive screening for amphetamine,
12 and that is part of my concern in talking about this
13 particular issue. I don't know the extent to which
14 clinical screening laboratories that have adopted a
15 screening program based on that type of philosophy
16 will go on to identify the positive or false positive
17 screening for amphetamine.

18 DOCTOR CICERO: Well, if that is true, I
19 think there probably are steps that could be taken to
20 correct that. In fact, the educational material --
21 what you're really talking about is if somebody is
22 using it in an inappropriate method some form of
23 education should take place, but I'm not aware of what
24 you're speaking in terms of NIDA.

25 Jim Cooper is sitting there. I don't know

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 if he can comment.

2 DOCTOR WRIGHT: I made a promise to the
3 Chairman that I would try to keep our attention
4 focused to those issues that deal with self-
5 administration in the interests of serving the
6 Committee.

7 I think there is a legitimate point that
8 when a new drug enters into increasingly common use
9 that acknowledging that and dealing with it in the
10 routine toxicologic testing that's done is there.

11 I think Doug's point that there is a
12 possibility of under-recognition is there as well.

13 Mr. Chairman, I'd like to call upon Doctor
14 Hayes to give some of the parts of her talk that
15 pertain to self-administration at this time.

16 DOCTOR HAYES: Well, look like you all are
17 going to be spared from a long preclinical
18 presentation on fenfluramine.

19 I have to agree with Doctor Cicero that
20 the preclinical and the clinical studies that has been
21 conducted with fenfluramine and dexfenfluramine have
22 shown that is lacking in reinforcing efficacy. The
23 discriminant stimulus properties of the compound, in
24 other words the subjective effects of it, have shown
25 that it is -- first slide -- it is not amphetamine-

1 like in a variety of species that has been used in the
2 various types of drug discrimination paradigms. Rats,
3 monkeys do not call it amphetamine-like, but there is
4 some overlaps with the subjective profile of
5 fenfluramine with cocaine.

6 Next slide.

7 Consistent with its mechanism of action,
8 drug discrimination studies have shown that apparently
9 there's a serotenergic component to its subjective
10 profile such that varieties of 5-HT1 and 2 direct
11 agonists were generalized to fenfluramine and vice,
12 versa. Serotonin antagonists will attenuate the
13 stimulus effects of fenfluramine. Consistent with
14 this mechanism there is some overlaps in the
15 subjective profiles with LSD, mescaline, MDA and MDMA.
16 I won't go into details with this studies.

17 Next one.

18 Self-administration paradigm is routinely
19 used to look at the reinforcing efficacy or, in other
20 words, this positive reinforcing effects in a variety
21 of laboratory animals. Looking at rats, primates and
22 dogs, the results are very consistent. Fenfluramine
23 is not self-administered in these animals that are
24 trained to self-administer cocaine or the amphetamine
25 or the one study, methohexital by Woods and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 colleagues.

2 In a study on the progressive ratio, I
3 want to point these two studies out. They are used to
4 measure the strength, the reinforcing efficacy
5 strength of a compound such that an animal in this
6 study done by Roland Griffin and colleagues, the
7 animals, baboons in particular, started off at FR 160.
8 These animals are allowed to -- I mean with each
9 session the FR values increase until the animals reach
10 what we call a breaking point. A breaking point is
11 how high can you push the FR value and the animal will
12 still self-administer drugs.

13 Well, when fenfluramine was tested in
14 animals trained to self-administer cocaine, it would
15 not maintain self-administration and no breaking point
16 value was attained. Similar results was attained with
17 dogs, which again support the lack of reinforcing
18 efficacy of fenfluramine.

19 Next slide.

20 Now, very few clinical studies have been
21 done with humans, but on the few studies that's been
22 done either using something similar to the drug
23 discrimination paradigm that is used in animals or
24 used in a standard double blind clinical trials have
25 shown that within therapeutic dose ranges fenfluramine

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 is not amphetamine-like. But when you start pushing
2 the doses up and what you will see in some of the
3 cases that Doctor Kramer was discussing where people
4 were abusing fenfluramine, when you start getting into
5 these high dose ranges you do get an LSD-like
6 subjective effect which is consistent with what you
7 see in drug discrimination paradigms. When you get to
8 80 to 400 milligrams, some of the patients describe it
9 as being euphoric-like, hallucinations and
10 derealization.

11 But in a study done by Johanson looking at
12 five and 20 milligrams of fenfluramine in comparison
13 to d-amphetamine, when the subjects had a choice in
14 whether they wanted to take d-amphetamine or
15 fenfluramine, they chose amphetamine, 5 milligrams
16 over 20 milligrams of fenfluramine.

17 Next slide.

18 So, in conclusion, the racemic
19 fenfluramine and dexfenfluramine subjective effects
20 are dissimilar to those of d-amphetamine. Apparently
21 the subjective effects appear to consist of a non-
22 hallucinogenic serotenergic component and a
23 hallucinogenic serotenergic component and fenfluramine
24 does not possess reinforcing efficacies at doses that
25 are comparable to those used at therapeutic levels.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN MEISCH: Okay. Thank you.

2 DOCTOR WRIGHT: If it is acceptable to
3 you, Mr. Chairman, I would ask Doctor McCloskey to
4 talk a little bit about some of the suicide data.

5 CHAIRMAN MEISCH: That's acceptable.

6 DOCTOR WRIGHT: The reason is that our
7 current divisional experience with drug addicts
8 suggests that the fatalities among drug dependent
9 individuals run about one-third trauma, one-third
10 overdose/suicide and one-third medical complications.

11 CHAIRMAN MEISCH: All right.

12 DOCTOR McCLOSKEY: Good morning. I'm
13 Carolyn McCloskey from the Epidemiology Branch and
14 I've been asked to present the drug use data and the
15 adverse event report information for certain events
16 associated with the abuse potential of fenfluramine.

17 Next slide.

18 Fenfluramine has been available in the
19 U.S. since 1977. It was approved and controlled in
20 1973. The U.S. FDA Adverse Drug Event database called
21 the Spontaneous Reporting System or the SRS contains
22 reports which are voluntarily submitted from U.S. and
23 from foreign cases. This table shows the numbers of
24 reports from both U.S. and foreign cases for each of
25 the specified COSTARTs or coding symbols for adverse

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 reaction terms in the SRS database. Under reporting
2 of adverse drug events is well known and duplicate
3 reporting of cases is not unusual. Each case may have
4 more than one COSTART term to describe the event. So,
5 the numbers in the charts are the numbers of reports,
6 not necessarily cases which were obtained by computer
7 line listings. So, the next to the last column should
8 really be reports total.

9 I reviewed the hard copies of fenfluramine
10 reports and found that the two suicide attempt cases
11 were also COSTARTed as a type of overdose. There are,
12 four additional intentional overdoses and five
13 additional overdose reports. Therefore, withdrawal
14 syndrome cases, but no drug dependence, addiction or
15 increased tolerance cases. The two deaths were an
16 overdose and an attempted suicide case. The other
17 anorectic agents in the SRS are listed in this table.
18 However, these numbers reflect on the computerized
19 data and not a hard copy review. Thus, these numbers
20 may include the duplicate reports.

21 Of the fenfluramine cases, the two suicide
22 attempt cases were both reported from France and
23 neither had much information, but both had elevated
24 blood levels of fenfluramine. One also had an
25 elevated blood alcohol level and died with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 bronchopneumonia. The other case also took
2 chlorodimethyl diazepam in a suicide attempt and was
3 hospitalized in a coma with seizures and hypotension.

4 As mentioned, two of the 14 overdose cases
5 are also costarted as suicide. So, I'll just cover
6 the remaining 12 overdose cases of four intention
7 overdose, five unspecified overdose, and three
8 accidental overdose cases.

9 Of the four intentional overdose cases,
10 two were U.S. cases, a 36 year old female with
11 hypotension, and a 13 year old male with mydriasis.
12 There's little additional information. The other two
13 were reported from France. A 36 year-old woman who
14 was found dead in her home with an elevated
15 fenfluramine blood level and evidence of chronic
16 fenfluramine use and a 12 year old girl who was
17 admitted with hallucinations and nystagmus.

18 There are five unspecified overdose cases,
19 all from the U.S. Two may be intentional overdose
20 cases. Both were female. One had no additional
21 information and the other was a 12 year old who had
22 tachycardia and mydriasis but there was little
23 additional information. The other two cases were
24 female. One had AV block. The other reportedly also
25 took diethylpropion which has been alleged to be the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 cause of her acute psychosis with hallucinations,
2 depression, schizophrenia and brain damage. The fifth
3 case, a 51 year old male, developed a personality
4 change with assertiveness and forgetfulness after
5 starting what his wife believed was a higher than
6 prescribed dose of phentermine and fenfluramine.

7 The three accidental overdose cases were
8 all in children.

9 There were four withdrawal syndrome cases
10 associated with fenfluramine in the SRS. Two were
11 from the U.S., one from the Netherlands and a 13 year
12 old female from France. In all of these cases, the
13 onset of symptoms occurred one to three days after
14 decreasing or stopping the fenfluramine. The two
15 hospitalizations were the 13 year old female who
16 developed seizures and a 49 year old female without a
17 prior psychiatric history who developed an acute
18 psychosis. The other two cases had insomnia which
19 resolved on restarting fenfluramine. These withdrawal
20 syndrome reports indicate that patients on
21 fenfluramine can develop a dependence to the drug.

22 Next slide.

23 Since dexfenfluramine is not available
24 currently in the U.S., the World Health Organization's
25 database of worldwide reports of adverse drug events

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

VIDEO TRANSCRIPTIONS

1 was searched for reports of potential abuse with
2 various anorectic agents. The under reporting to this
3 database makes it unreliable but we used it to find
4 the dexfenfluramine reports. This table is of WHOART
5 counts from the WHO database. WHOART stands for WHO
6 Adverse Report Term. As you can see, there were five
7 reports of suicide attempt for dexfenfluramine and
8 three for fenfluramine.

9 For drug dependence and drug abuse,
10 dexfenfluramine had two dependence reports and
11 fenfluramine had one. There were three abuse reports,
12 for fenfluramine and none for dexfenfluramine. There
13 were 31 withdrawal syndrome reports for fenfluramine
14 and 27 for dexfenfluramine. There were no reports of
15 increased tolerance for fenfluramine or
16 dexfenfluramine. All but one of the reports were non-
17 U.S. reports and the one U.S. withdrawal syndrome was
18 for fenfluramine. We received these WHO counts but
19 not line listings or case reports.

20 Next slide.

21 The Drug Abuse Warning Network, or DAWN,
22 is handled by the U.S. Substance Abuse and Mental
23 Health Services Administration. They collect data
24 from about 500 to 600 emergency departments around the
25 U.S. Available data is from 1988 to 1993.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Fenfluramine is implicated in six cases of attempted
2 suicide and two cases of using the drug for a psychic
3 effect. Two of the suicide cases also reportedly took
4 phentermine as well.

5 The following Pondimin drug use data is
6 from the National Prescription Audit Plus, or NPA
7 Plus, computerized records of IMS America. Since
8 1992, NPA Plus collects data from 20,000 computerized
9 retail pharmacies and 600 manual data pharmacies in
10 the U.S. These are independent chain and food store
11 pharmacies. The estimated new dispensed,
12 prescriptions, not refills, of fenfluramine has been
13 increasing, 39,000 in 1991, 64,000 in '92, 155,000 in
14 '93, 282,000 in '94 and 547,000 as of July of 1995.

15 The demographic data for fenfluramine drug
16 use is from the National Disease Therapeutic Index, or
17 NDTI, also of IMS America. This information is based
18 on patient and treatment data collected from 980
19 randomly selected office-based physicians each month
20 which includes new and refill prescribed or office-
21 dispensed fenfluramine. Information is available
22 starting in 1994 because the previous years had too
23 few numbers. Of the office visits where fenfluramine
24 was dispensed, 89 percent were women, all of them in
25 the 20 to 59 year old age range.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Of the males, the remaining 11 percent, 64
2 percent of them were in the 40 to 59 year old age
3 range. For all patients, obesity was diagnosed in 97
4 percent of them and obsessive compulsive disease in
5 three percent. Phentermine was the concomitant drug
6 in 66 percent of these visits.

7 The reporting rate for fenfluramine
8 suicide attempts in 1993 is two per 100,000 new
9 prescriptions calculated from the DAWN cases and the
10 NPA Plus prescription data. This is only a rough
11 estimate due to the wide confidence limits for such
12 small numbers and certainly is not a valid incidence
13 rate. There was only one case reported in any
14 particular year for the other event categories.

15 Last slide.

16 There are several limitations of
17 voluntarily reported data and the SRS database. These
18 should be identified clearly before interpreting this
19 data. Due to the voluntary reporting of cases, there
20 is no consistent quality of data. There may be
21 duplicate reports and under reporting of a particular
22 adverse event. In discussing the tables, I have
23 already mentioned that one case may have more than one
24 COSTART term to describe it and therefore may be
25 counted under more than one COSTART term in the table.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 The drug use data presented as new
2 prescriptions is our best estimate of the number of
3 persons exposed to the drug or who used the drug, but
4 these are only estimates of the denominator. These
5 COSTART counts and drug use data can be used to
6 calculate a reporting rate but incidence rates and
7 estimates of drug risk cannot be assessed based on
8 this data alone due to the duplicate reporting and
9 under reporting.

10 It is not recommended to make comparisons
11 of the number of reports between different drugs,
12 because of a number of factors. One, the length of
13 time a drug has been on the market. Two, the type of
14 use the drug has. Three, the population in which it
15 is used. Four, the advertising. These factors affect
16 the type of reports, the number of reports, and the
17 periodicity of reporting. Therefore, it is not
18 recommended to compare reporting rates, the number of
19 reports per year or other types of comparison. Once
20 again, because voluntary reports do not reflect the
21 actual numbers of an outcome, it is impossible to
22 determine incidence rates.

23 In conclusion, we cannot determine
24 incidence rates for these events and these reports
25 should not be used as a predictor of events,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 especially if there are different drug use
2 circumstances. However, the numbers of dispensed
3 prescriptions of fenfluramine, although low, are
4 continuing to increase, thus increasing the
5 probability of more reports of suicide, overdose or
6 withdrawal.

7 The anorectic agents, fenfluramine,
8 phentermine, amphetamine and benzphetamine, do have
9 several spontaneous reports of attempted suicide and
10 intentional overdose associated with the drug. These
11 and other reports, such as unspecified overdose and
12 withdrawal syndrome, do lend credence to the
13 possibility of drug dependence and of drug abuse for
14 the anorectics, including fenfluramine.

15 Just before I leave the microphone, I felt
16 that somebody should address Doctor Cicero's statement
17 earlier in his presentation that dexfenfluramine has
18 a protective effect on overdose as compared to the
19 whole population of all women in France.

20 First, I just wanted to point out that it
21 appears there are two populations being compared here.
22 One was the population of dexfenfluramine users and
23 the other population was of all women in France. I
24 suggest that since these are different populations,
25 comparing the different drugs is questionable. To say

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that dexfenfluramine is protective is really not a
2 valid statement unless we know more about it.

3 CHAIRMAN MEISCH: Thank you.

4 DOCTOR WRIGHT: I have talked with Doctor
5 Lutwak and he does not have any information that
6 pertains to the self-administration of the drug. I
7 would ask the guest speakers, Doctor Wadler, Doctor
8 Wright, and Doctor Seiden, if they have any
9 information that pertains to the likelihood of self-
10 administration in man.

11 CHAIRMAN MEISCH: Doctor Seiden says no.

12 DOCTOR WRIGHT: All right. I think there
13 is some information held by these speakers that we
14 should go through, but I understand your desire to
15 press the main question at this time, Mr. Chairman.

16 Is Mr. Tolliver here?

17 DOCTOR J. WRIGHT: Good morning. My name
18 is Jim Wright. I'm a physiologist retired from the
19 military and presently am serving as the editor of
20 Muscle and Fitness magazine, one of these bodybuilding
21 publications.

22 Obviously, bodybuilders and other strength
23 power athletes do use drugs and other legal substances
24 with anorectic properties. However, their primary
25 intent in using these substances is not appetite

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 suppression, but rather a search for the stimulatory
2 effects of the sympathomimetic type effects that they
3 might offer.

4 The most common stimulating/anorectic
5 substances currently used by the bodybuilding
6 community are ephedrine, caffeine, often accompanied
7 by aspirin.

8 The use of fenfluramine in physical
9 culture came to my attention last year. As a result
10 of a talk I was asked to give to the Department of
11 Justice, I surveyed 18 top level athletes, coaches and
12 gym owners around the country. In the course of those
13 surveys and subsequent interviews, I was able to
14 identify six individuals, all males, all in their mid-
15 30s, all at or about at some point in the past a
16 national level status in bodybuilding or the strength
17 power sports. These six individuals had indicated
18 that they had used fenfluramine in dosages ranging
19 from 15 to 60 milligrams. All used fenfluramine to
20 induce drowsiness, not for the stimulating effects.
21 All were also simultaneously using anabolic steroids
22 and a variety of stimulants, mostly over-the-counter
23 substances like ephedrine. All were heavy ephedrine
24 users.

25 A follow-up approximately a month ago on

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 five of these six individuals indicated all five had
2 terminated use. The longest period of use of any of
3 these individuals was approximately ten weeks. The
4 rationale for cessation of use was that there was sort
5 of -- not a depressive state. I didn't attempt to
6 pursue any definitive psychological thing, a
7 diagnosis, but there was a sense of fatigue that even
8 though these individuals were continuing to take,
9 self-administer these stimulatory substances, they
10 felt that they were unable to train at the requisite
11 volume and intensity that they desired.

12 So, essentially, my conclusion from a
13 rather limited survey is that there is essentially no
14 misuse and no diversion in the bodybuilding and
15 strength training community at this time. However, if
16 a PR and marketing campaign gears up, any time the
17 public becomes education, and if in fact any
18 thermogenic or antilipogenic effects of these drugs
19 are made available to the public, this type of
20 information in the popular press, particular the
21 bodybuilding press, then I would see certainly the
22 potential for some increase in use of these
23 substances.

24 CHAIRMAN MEISCH: Thank you.

25 DOCTOR WRIGHT: Doctor Wadler has spoken

1 to me and he does not have specific information with
2 respect to fenfluramine and would like to speak later.

3 Doctor Mann?

4 DOCTOR MANN: Well, I was actually
5 expecting to present after lunch and I had some
6 slides. But very briefly, let me give you some
7 background.

8 The data that we have, having used
9 fenfluramine as an acute challenge agent to look at
10 the responses of serotonin in normal controls in
11 patients over approximately a 12 year period doesn't,
12 pertain directly to the question of its abuse
13 potential, but does address a number of sort of
14 related issues, like the question of the relationship
15 of dose to CNS effects, specificity for the
16 serotenergic system and the effect of test, retest in
17 animals, non-psychiatric subjects and in psychiatric
18 subjects. So, all of this to some degree addresses in
19 a way the potential, I suppose, for adverse CNS
20 effects.

21 First, just sort of an obvious thing. I
22 can see a fingerprint on this transparency. But
23 anyway, the dose of the drug that's administered to
24 individuals is of some considerable relevance. We've
25 tended to use approximately one milligram per kilogram

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and here an important divergence begins to emerge
2 between normal subjects in patients with various kinds
3 of conditions.

4 Briefly, what you can see from this slide
5 is that there is somewhat of a correlation between the
6 plasma level of the drug achieve and the weight-
7 related dose.

8 If I could have the next transparency, the
9 relevance of this will become clearer. I'm sorry for
10 those of you who are at the back. I didn't realize
11 this was such a large room.

12 These data come from a study that my
13 colleagues Matthew Muldoon and Steve Medig and I have
14 been performing at the University of Pittsburgh where
15 I was until about a year and a half ago. It's a study
16 that's being done in non-psychiatric individuals who
17 are presenting with mildly elevated cholesterol for
18 cholesterol reduction. All of these have received a
19 very detailed psychometric battery before they begin
20 a course of cholesterol lowering.

21 From this study, looking at the baseline
22 data, you can see that body weight does correlate with
23 plasma concentration. In other words, the heavier the
24 individual the lower the concentration that's achieved
25 in the blood. I think the most important issues here

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 really relate to the symptoms that are generated by
2 administering the drug. It's clear I think from this
3 slide that plasma concentration does bear some
4 relationship to the symptom severity. The level of
5 prolactin which is serotoninly mediated in its release
6 bears a still stronger relationship.

7 Now, if I could have the next slide,
8 please.

9 The types of symptoms that are generated
10 on acute administration are listed as follows. You
11 can see that actually fatigue is at the top. That
12 covers a large range of types of symptoms. In fact,
13 83 percent of the subjects reported that 43 percent
14 reported headache, 41 percent lightheadedness, 34
15 percent cold and 18 percent difficulty concentrating.

16 Now, the significance of these data are
17 that, in fact, if you asked the question how many
18 patients reported on the first day after receiving
19 fenfluramine symptoms that interfered with normal
20 activities, the answer is approximately 50 percent.
21 If you ask how many people still had symptoms on the
22 second day, the answer is about 30 percent. So, the
23 appearance of symptomatology in response to the drug
24 that involved what we would regard as CNS effects are
25 really very prevalent.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now, what's interesting is that when we
2 set up the study and the dose that averaged out to a
3 little just over .7 milligrams per kilogram, we had
4 assumed that there would be relatively few symptoms.
5 In fact, the symptoms were far more common than in our
6 patients. The reason for that, we assume, is related
7 to the prolactin response as a guide.

8 The prolactin response in these
9 individuals tends to be far more robust than in many
10 patient groups. People who are suffering from major
11 depression, people who have certain types of
12 personality disorders characterized by impulsivity and
13 aggressivity, people who have a lifetime history of a
14 serious suicide attempt, all of these types of
15 psychopathologies are associated with a blunted
16 prolactin response to fenfluramine. And
17 interestingly, relatively little symptomatic response
18 to acute challenge.

19 So, there's an apparent difference in the
20 severity of responses behaviorally and in the brain
21 neuroendocrine wise in normal subjects compared to
22 people with psychopathology. Another way of putting
23 it is that effects on the brain in psychiatric
24 patients tends to be in those diagnostic categories or
25 with those behavioral characteristics tends to be less

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 than in normal subjects. I think that may be relevant
2 for the Committee's deliberations.

3 The second point that I'd like to make in
4 relation to this -- in fact, we actually reduced the
5 dose subsequently in normal subjects because we were
6 having trouble with people tolerating the acute
7 challenge.

8 The second I'd like to make is that when
9 you rechallenge subjects two weeks later, and these
10 are not our data, these have been reported elsewhere,
11 the neuroendocrine responses are blunted. But if you,
12 rechallenge subjects four weeks later, and we have
13 data on this in monkeys, in healthy individuals to a
14 very limited degree and also in patients to a
15 significant degree, the degree of response, at least
16 from the neuroendocrine standpoint and the
17 symptomological standpoint, appears to be the same.
18 So, there isn't really much evidence that the first
19 dose, which had been 60 milligrams for an average
20 individual, produced an effect that was diminished
21 when retesting subjects four weeks later. I think
22 that speaks to the question of some sort of enduring
23 CNS effect.

24 The final point that I think I'd like to
25 make before closing relates to methodology of --

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 actually, if you could go to the very last
2 transparency, I think this shows you actually.

3 If you use the prolactin response, and
4 I've referred to that sometimes as an index of effect
5 on the CNS, you can see that it's actually not a bad
6 index because the symptom severity shows quite a
7 strong relationship to the prolactin response. You
8 can see that more clearly in this slide, this
9 transparency.

10 The final point that I wanted to make is
11 that we've been using very crude measures. We now use
12 far more sophisticated measures to look at CNS. We're
13 developing cognitive batteries that we think would be
14 more sensitive to the effects of drugs like
15 fenfluramine that cause an increase in serotonin
16 release. There are really very minuscule data on this
17 subject. I see the Committee is struggling to find
18 relevant information.

19 What I had in the slides was a series of
20 pet studies showing the effects on the brain directly,
21 the regional brain effects of giving acute challenges
22 of d/l fenfluramine to normal controls and to
23 patients. When you do that, what you see is that
24 although there's very little difference between the
25 groups in terms of prolactin response, a mild blunting

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 in patients compared to controls, and the blood levels
2 achieved by the patients are comparable to the
3 controls, the effects on the brain are dramatically
4 different. There are substantial robust increases and
5 decreases in different brain regions as measured by
6 regional glucose metabolism on PET scanning in normal
7 individuals receiving fenfluramine. They are
8 substantially greater than as seen in patients.

9 So, this represents functional brain
10 imaging data to support the notion that for some
11 reason patients, particularly with the major
12 depression on which you have the most data show a
13 blunted behavioral, biochemical, neuroendocrine
14 response to this agent.

15 Thank you.

16 CHAIRMAN MEISCH: Thank you.

17 I want to keep the Committee focused on
18 the question of abuse. Actually, I'd like to know at
19 this point where we stand.

20 Doctor Wright, was there any additional
21 speakers at this point?

22 DOCTOR WRIGHT: I just simply want to ask
23 the speakers.

24 The Chairman has called for any
25 information we hold on the self-administration of this

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 drug. Doctor Fleming, do you have any information in
2 that regard? Okay.

3 Doctor Tolliver?

4 DOCTOR TOLLIVER: No, I don't have any
5 information on self-administration.

6 DOCTOR WRIGHT: Okay. Do any of the
7 liaison members have any additional information on
8 self-administration that has not been presented at
9 this point?

10 DOCTOR COOPER: I have information --

11 DOCTOR WRIGHT: Of what type of abuse?

12 DOCTOR COOPER: Well, what we've actually
13 done is gone through and looked at some of the other
14 surveys data on actual abuse and looked to see whether
15 or not any reports have been actually reviewed.

16 DOCTOR WRIGHT: I think that would be very
17 germane.

18 DOCTOR COOPER: That's not self-
19 administration.

20 My name is Doctor Cooper and I'm the
21 liaison from the National Institute on Drug Abuse.

22 Maybe what I'll do is I'll also hand out
23 something that we've prepared. We were going to speak
24 this afternoon, but I can tell you what I've actually
25 been able to find so far.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CHAIRMAN MEISCH: Good. Please.

2 DOCTOR COOPER: I would ask that the
3 handouts that I've presented or am handing out, I'd
4 ask you not to look at that information at the moment
5 because it needs to be put in the context of the other
6 information we have.

7 From the discussions you've heard this
8 morning so far, we face the same kinds of questions
9 ourselves. Here's a drug that's not self-
10 administered. From the case reports, it's relatively
11 rare and abuse and consequences. So, we went back and
12 looked at it. For those of you who are not aware,
13 there are two different surveys, the Household Survey
14 and the High School Senior Survey, which NIDA has had
15 some part in for a long time. The Household Survey
16 has been moved to SAMSA, but its origin started in
17 NIDA. We have frequent communication, liaison with
18 those folks.

19 So, we went back and looked at the data
20 from those over the last ten years in both of those
21 surveys and found absolutely nothing as far as the
22 abuse of fenfluramine in either the Household or the
23 High School Senior Survey.

24 We looked at the DAWN data, the DEA
25 report, which those of you who have this in your

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 packet have seen and also found results similar to
2 what Doctor McCloskey showed this morning, that indeed
3 since 1988 when they've been collecting from this
4 particular panel that there's been eight reports and
5 total mentions.

6 So, what I had prepared this afternoon and
7 what I have handed out here for the Committee is
8 trying to put it in some perspective. In thinking
9 this thing through, clearly how would we compare it
10 with what and whether it would be stimulant or a
11 depressant or LSD type drug. We chose, because of its
12 indication, it's most often associated as an
13 anorectic, to compare it with other stimulants.
14 That's what I'd like to move to and that's what I
15 handed out.

16 If you'll go to the last page first,
17 you'll get a sense. What I tried to do was to put in
18 perspective for the Committee the relative -- DAWN
19 data is obviously a consequence, potentially a
20 consequence of abuse. What I tried to do is compare
21 other stimulants, both scheduled drugs as well as
22 uncontrolled drugs and just take a look at the
23 aggregate mentions of these various drugs, keeping in
24 mind during the same time there were eight mentions of
25 fenfluramine. I think it becomes fairly obvious when

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 we talk about the fact of
2 the consequences at least. And it also put into
3 context the fact that over the last few years the
4 actual number of fenfluramine prescriptions is going
5 up.

6 Okay. Now, just to help you understand
7 the first chart, the second page actually talks in
8 terms of percent of total ER mentions. Again, you
9 have to keep in mind fenfluramine doesn't appear on
10 here because, again, the data only talks about --
11 there's no data on anything under the 200 mentions.
12 The chart actually gives you again some perspective,
13 which I would leave you with and, in fact, it is far
14 and above. The drugs that are primarily abused in the
15 stimulant category are amphetamine and methamphetamine
16 and according to DEA those drugs are primarily
17 clandestinely manufactured.

18 CHAIRMAN MEISCH: Okay. Doctor Cooper,
19 just to summarize, you've mentioned that it was not
20 self-administered, that the abuse is rare, and then
21 what you've stated, that it's rarely or never
22 mentioned in some of the surveys. Is that correct?

23 DOCTOR COOPER: That's correct, in
24 comparison to these other drugs, both controlled and
25 uncontrolled, and two of these compounds we chose to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 mention in this draft are actually over-the-counter,
2 or three of them, actually, ephedrine, caffeine, and
3 pseudoephedrine are over-the-counter products.

4 CHAIRMAN MEISCH: All right.

5 Doctor Wright, I want to proceed ahead
6 unless you have some additional people that want to
7 speak.

8 DOCTOR WRIGHT: I know of no additional
9 speakers that we have that have information relating
10 to the likelihood of self-abuse, of self-
11 administration and abuse of this agent. Am I mistaken,
12 in this? Does anyone hold any additional information
13 at this time?

14 Mr. Chairman, it is your meeting.

15 CHAIRMAN MEISCH: I want, to state again,
16 to focus on abuse at this point, not on efficacy and
17 not on toxicity.

18 DOCTOR BONE: I just have a question on
19 the distinction between abuse and misuse. I
20 understand that misuse would be considered, for
21 example, off-label or inappropriate prescribing, and
22 abuse, as we've heard about it, would be, for example,
23 self-administration in order to get high or have some
24 experience like that. What would be the
25 classifications for the persons for whom the drug had

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 not been prescribed taking it for weight loss? For
2 example, adolescents that did not receive
3 prescriptions from their doctors but were wanting to
4 obtain it from others.

5 DOCTOR MEISCH: I don't know if there is
6 a technical term or phrase for that. Doctor Wright?

7 DOCTOR WRIGHT: Generally, failing to use
8 a drug in accordance with the recommended labeling is
9 considered to be off-label use or misuse. Obtaining
10 a drug through illicit means for purposes of abuse is
11 considered to be abuse. Traditionally, someone
12 obtaining a drug for its psychoactive effects or to
13 get high would be abuse. Someone illicitly obtaining
14 a drug for purposes of weight loss would be misuse.

15 DOCTOR MEISCH: All right. Doctor Cicero,
16 did you want to say something?

17 DOCTOR CICERO: As usual, Doctor Wright
18 summarized it beautifully. I don't have to make any
19 comment.

20 DOCTOR MEISCH: All right. I want to poll
21 the committee at this point to see where people stand.
22 The question is, is there evidence for self-
23 administration/abuse? I would like the question
24 considered without regard to whether it is effective
25 or not and without regard to whether the drug is toxic

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 or not. Who wants to start that? Doctor Young?

2 DOCTOR YOUNG: Mr. Chairman, in view of
3 the presentation by both the FDA sponsor and by Doctor
4 Cooper from the National Council on Drug Abuse, I
5 would
6 -- if you are asking us to answer the first question,
7 do we recommend decontrol -- is that the question?

8 DOCTOR MEISCH: Well, the question is very
9 specific, which is about abuse.

10 DOCTOR YOUNG: I saw no evidence to
11 suggest that this compound supports self-
12 administration abuse.

13 DOCTOR MEISCH: All right. You, sir?

14 DOCTOR BORHANI: I agree with her.

15 DOCTOR KHURI: You've focused us so well,
16 Mr. Chairman. I certainly agree.

17 DOCTOR MEISCH: Okay. Doctor Luisada?

18 DOCTOR LUISADA: I agree with Doctor
19 Young.

20 DOCTOR MEISCH: Okay. The next person,
21 please?

22 UNIDENTIFIED SPEAKER: Agency.

23 DOCTOR MEISCH: Okay. Doctor Bone?

24 DOCTOR BONE: I agree that the information
25 we've heard does not indicate that there is a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 significant problem with abuse.

2 DOCTOR MEISCH: Ms. Torres?

3 MS. TORRES: No, I agree.

4 DOCTOR MEISCH: Okay.

5 DOCTOR CRITCHLOW: I also agree. But I
6 would also like to state that -- I guess I would like
7 to state that I am not sure that all -- that we can
8 say based on this data that incidences that are out
9 there would be picked up. I don't know to what
10 extent--

11 DOCTOR MEISCH: Well, I think you have to,
12 make that judgment, again, based on the data.

13 DOCTOR CRITCHLOW: I mean, based on what
14 we heard. What I don't know is what else might be out
15 there that -- I mean, this has not been systematically
16 looked for in my opinion. But at least based on what
17 I hear today, I would have to agree that the evidence
18 is not there.

19 DOCTOR KHURI: I would just like to make
20 the comment that with increased use -- vastly
21 increased used and increased marketing, abuse might
22 occur. But we can't get a handle on that now.

23 DOCTOR MEISCH: Well, all we can do is
24 take the existing information and make some statement.
25 Doctor Wright, the general thought of the committee is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that these substances are not abused and do not seem
2 to have potential for abuse. This statement is made,
3 once again, independently of presence or absence of
4 efficacy and independent of presence or absence of
5 toxicity.

6 DOCTOR WRIGHT: It is necessary for you to
7 address the questions. Those are what we did ask you
8 to address. It appears, if it is the uniform opinion
9 of the committee that there is no or vanishing small
10 evidence of abuse of this substance at this time, that
11 you may properly address question 1. The rest of the
12 presentations, I think, do bear on question 3. So,
13 from my perspective, you may, if you choose, using the
14 powers of autonomy that have been granted to you,
15 address question 1 at this time. I would ask that you
16 hear the remainder of the presentations before you
17 address question 3 in any way.

18 DOCTOR MEISCH: How about question 2?

19 DOCTOR WRIGHT: Question 2 will depend on
20 the answer to question 1.

21 DOCTOR MEISCH: Okay. Can I have a motion
22 to decontrol?

23 DOCTOR BORHANI: So moved.

24 DOCTOR MEISCH: A second?

25 DOCTOR YOUNG: Second.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR MEISCH: Okay. Any more discussion
2 at this point?

3 DOCTOR WRIGHT: You need to specify what
4 you plan to decontrol.

5 DOCTOR MEISCH: Yes. The statement is to
6 decontrol fenfluramine and all its isomers, including
7 dexfenfluramine.

8 DOCTOR WRIGHT: Fenfluramine and all its
9 isomers?

10 DOCTOR MEISCH: Yes.

11 DOCTOR WRIGHT: Mike, I need a chemists
12 opinion. Does that cover both of them?

13 DOCTOR MEISCH: Yes. That is it. All in
14 favor, please raise their hand for decontrol.
15 Opposed? Abstain? Two abstentions.

16 DOCTOR WRIGHT: Steve, will you give me
17 the totals now?

18 MR. POLLITT: That is 6, 4, and 2 abstain.

19 DOCTOR WRIGHT: Mr. Chairman, I am
20 receiving mixed messages here. Could we call for that
21 vote again just to make sure that we've got the
22 numbers right?

23 DOCTOR MEISCH: Sure. All those in favor
24 of decontrol of fenfluramine and all its isomers,
25 please raise your hand. Opposed? Abstain? All

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:49 p.m.

1
2
3 DOCTOR MEISCH: People, please sit down.
4 We are about to start. Doctor Cicero?

5 DOCTOR CICERO: Thank you, Doctor Meisch.
6 I want to thank the committee for their favorable
7 reaction to the petition by the sponsors to deschedule
8 fenfluramine and its isomers. I think it was not only
9 a wise decision based upon the scientific evidence
10 available to us and in conformity with the Controlled
11 Substance Act, but I think most importantly there has
12 been a major step taken toward developing and getting
13 appropriate medications to the millions of obese
14 people in this country who need it.

15 Although that actually completes our
16 portion of the presentation, and I think we have
17 hopefully convincingly demonstrated that there is a
18 lack of abuse potential with this product. Wyeth
19 Ayerst and Interneuron, the co-sponsors of the
20 petition, are committed to insure that their products
21 are used appropriately and safely. To that end, Mark
22 Deitch, Vice President of Medical Affairs and the
23 Medical Director of Wyeth Ayerst would like to address
24 the committee briefly.

25 DOCTOR DEITCH: Thank you, Ted. Mr.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 right. We can --

2 UNIDENTIFIED SPEAKER: Could you announce
3 those numbers?

4 DOCTOR MEISCH: Yes, I'm sorry. 5
5 individuals were in favor of decontrol and 3
6 abstained. By the positive answer to 1, we can bypass
7 question 2 and start considering question 3. And
8 Doctor Wright, does the FDA want to comment on number
9 3?

10 DOCTOR WRIGHT: Well, I believe that it is
11 necessary to hear the presentations.

12 DOCTOR MEISCH: Yes. We will take an hour
13 break at this point and come back and begin to hear
14 those presentations. Thank you.

15 (Whereupon, at 12:45 p.m. off the record
16 until 1:49 p.m.)

17

18

19

20

21

22

23

24

25

1 Chairman and members of the committee, thank you for
2 the opportunity of appearing before you today. Let me
3 first apologize if my voice goes. I am suffering from
4 about the third day of a first grader's cold.
5 Fortunately, mine is worse than his. He is in school
6 and I am here.

7 Let me first tell you a little bit about
8 Wyeth Ayerst and sort of how we got here and what our
9 relationship is with Pondimin and, of course, with
10 dexfenfluramine. Many of you know Wyeth Ayerst is a
11 substantially large pharmaceutical company in the
12 United States. We are number one in total
13 prescriptions in the United States for our products.
14 We are quite well known, I think, to the endocrine and
15 metabolism division as a leader in women's health
16 care.

17 We also have what we consider to be one of
18 the most highly trained and professional territory
19 representative staffs marketing our products
20 throughout the United States, and they are very much
21 accustomed to educating physicians and health care
22 providers on the proper use of products.

23 Let me give you an example that may be
24 relevant to the drug abuse advisory committee. You
25 may not know it, but Wyeth Ayerst, through one of its

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 divisions, the Elkins-Sinn Pharmaceutical Division,
2 does, in fact, market many controlled substances such
3 as morphine and demerol and has for many years, and
4 developed the TAMP-R-Tel system to show tampering. It
5 has manufactured the TUBEX System and one of the main
6 educational programs that they have participated in
7 for years is in helping pharmacies in small hospitals,
8 as well as large hospitals, set up inventory control
9 systems to be in accordance with DEA regulations.

10 So with that as a background, Wyeth Ayerst
11 acquired A. H. Robbins and thereby acquired Pondimin,
12 in 1989. Of course, together, this product has been
13 marketed in the United States for a considerable
14 period of time as you hears this morning, well over 30
15 million exposures worldwide since its introduction.

16 Some of you in looking at the slide that
17 was shown this morning may question why the increase
18 in prescriptions in the past couple of years, and I
19 think I need to explain that to you and give you a
20 little bit of perspective. It was mentioned, I
21 believe, earlier this morning that there was a
22 publication in 1992 by Doctor Michael Weintraub, who,
23 as many of you know, is currently head of the OTC
24 Division here at FDA. At that time, at the University
25 of Rochester, he had published what was considered

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 then a fairly landmark study demonstrating the
2 effectiveness of Pondimin when used with phentermine
3 and also when used alone in a very well-managed
4 program of diet and behavior modification.

5 The time was probably ripe at that moment
6 for many of the lay press and the magazines, women's
7 magazines especially, to have picked this up, and what
8 occurred was that patients, as they often do, brought
9 those articles in to their physicians, and we have, of
10 course, experienced an increase in prescriptions at
11 that time.

12 Against that background of increased
13 prescribing, you certainly have noticed that there has
14 not been any kind of concomitant increase or spike in
15 adverse event reports of anything very unusual.

16 What I am going to go through with you
17 today is what our plans are, what we would offer up,
18 and maybe put into context the types and kinds of
19 things that are available to us in the pharmaceutical
20 industry for managing the use of our products, for
21 tracking the use of our products, and for assurances
22 that they are, in fact, used appropriately.

23 Let me put into context what we consider
24 what we are calling here initiatives for proper use.
25 Interneuron and Wyeth, as co-marketing partners, as

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Wyeth Ayerst expects to market the product in the
2 United States, that being dexfenfluramine, but
3 currently is the marketer of Pondimin or fenfluramine,
4 as with any of our products are committed to the
5 appropriate and proper use of these products.

6 First and foremost, as we do with all of
7 our products, we will be marketing them in accordance
8 with the FDA-approved labeling -- the currently
9 approved labeling for Pondimin and what we expect to
10 be the approved labeling for dexfenfluramine in the
11 future.

12 As with any product, since we have not
13 been promoting Pondimin, but of course when the
14 decision is rendered to deschedule, as has been today,
15 that possibility does exist and with increased usage,
16 we will be training our sales force once again in the
17 proper use of this product, as we would with
18 dexfenfluramine. Our sale force will be trained
19 exquisitely well. They are trained generally by the
20 physicians who are in my medical affairs department as
21 well as very well trained trainers as well as outside
22 individuals. Especially in this case, we will
23 certainly be putting it in the proper context.

24 What we also do is review very carefully
25 any communications that go from our sales department

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 either directly to physicians and health care
2 providers or to our sales force. They are under not
3 only control for medical accuracy, which my people
4 look at, but also to make sure that they are in
5 concordance with the regulations, that they are in
6 adherence to the labeling, and they also have legal
7 review. We do that with all of our products and will
8 be especially careful here.

9 Initiating medical education programs for
10 health professionals and patients to stress proper
11 utilization. There are many ways we can do this,
12 through professional communications, professional
13 education, pharmacy education, and patient education.
14 We have developed quite a bit of expertise in this.
15 There are many newer technologies that are available
16 to us today that were not available before such as
17 interactive video, which is often used at conventions
18 and medical meetings and is often even brought in to
19 smaller medical meetings, even at the hospital level.

20 There is computer assisted learning and
21 program learning. But in this area, as we have done
22 in other areas such as the in the area of
23 contraception in trying to educate health care
24 providers on the proper ways to avoid unintended
25 pregnancy, in the area of menopause management, and so

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 on, we will put together equally exquisite programs
2 for education.

3 More importantly, let me tell you about
4 the methods by which we currently do and will continue
5 to do, and we will even upgrade systems if necessary,
6 so that both Interneuron and Wyeth Ayerst can conduct
7 surveillance programs to detect any potential abuse or
8 any misuse of Pondimin, fenfluramine, and
9 dexfenfluramine.

10 Now the components can include, will
11 include, and may include some of the things that we,
12 can do. We do have the intention of establishing an
13 independent expert review panel. This would consist
14 of individuals who are, in fact, experts in areas not
15 only of obesity and obesity management, but in fact of
16 abuse and drug abuse. We would have these people meet
17 with us on a regular basis. Our adverse event
18 reporting system is such that it can be looked at on
19 a daily basis. It can be queried on a daily basis.
20 We can see any signal that comes up, and we would
21 utilize these experts to give us feedback on what is
22 it that we are seeing so that, in fact, if there are
23 subtle signs or subtle signals of any potential misuse
24 or abuse, it would not just be the medical people at
25 Wyeth Ayerst and Interneuron who would be seeing

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 these, but we would have independent review.

2 We will be able to, and we certainly
3 would, conduct surveys in specific settings to
4 identify abuse and misuse. And since, as was noted
5 this morning by Doctor McCloskey, I believe, from the
6 NDTI information on prescription use for Pondimin in
7 the past year or so, we are looking at a baseline as
8 we start out of approximately 97 percent use for the
9 indication of obesity. That NDTI data base can be
10 used on a regular basis. If we see slippage there or
11 if we see other mentions coming up, we can certainly
12 take educational action to try and correct that.

13 There are, as you know, many eating
14 disorder clinics. There are substance abuse treatment
15 programs which we've heard about and national sports
16 federations. We will provide them with educational
17 materials and provide them with a line to us, not only
18 our 800 number but other methods of contacting us, and
19 contact people so that we will have an early warning
20 system should there be any indication of misuse or
21 abuse.

22 Now something that is something new in
23 pharmaceutical medicine and in health care in the
24 United States is the existence and the ability to link
25 and cross-link data bases on not only prescription use

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 but on, in fact, the indication for use, the length of
2 a prescription, the number of tablets prescribed.
3 That is very sophisticated and, I guess, it really is
4 as a result of managed care and of pharmacy benefit
5 management companies. The so-called PBMs, the
6 pharmacy benefit management companies, didn't exist
7 several years ago, but I am sure if we polled everyone
8 in this room and asked them to hold up a little
9 plastic card in their pocket that was their health
10 care provider card, many of them would have a pharmacy
11 benefit card.

12 Those records are kept and they are shared
13 with insurance carriers and there are several data
14 bases. In fact, we have exclusive access rights to
15 several of these, where not only can we link the
16 actual patient's usage and prescription usage, but we
17 can link the diagnostic criteria, and in fact we can
18 look at outcomes. This is a tool that many of us use
19 to determine whether or not the proper outcomes have
20 been achieved. Through this, we can also work with
21 the pharmacy benefit managers in a drug utilization
22 program to provide them with educational programs.
23 And to take it one step further, we have full
24 intentions of instituting what some people call
25 disease management programs. We prefer to be less

1 pejorative and call them health management programs
2 for obesity and weight control. Elements of that
3 certainly would be, among others, proper use of the
4 products with attention to the fact that the labeling,
5 as we've proposed, should include at least a marker of
6 efficacy at four weeks of a pound a week over that 4-
7 week period of time or 4 pounds in 4 weeks.

8 So through this, we can establish baseline
9 utilization, even though we do have a handle right now
10 on baseline utilization as was shown this morning.
11 That can be refined. We can certainly identify,
12 unusual usage. We can easily identify unusual
13 purchase patterns. We do that now under the
14 Controlled Substances Act, and we can continue to do
15 that.

16 We can look at total exposures and so on.
17 And should misuse or abuse be detected, both
18 Interneuron and Wyeth Ayerst will intervene
19 appropriately. So that pretty much puts into context
20 what we have put together as a plan. This would be
21 the plan that we would initiated immediately or be
22 ready to initiate immediately and certainly be very
23 happy to discuss with Food and Drug Administration
24 other elements that they might suggest or certainly
25 any of the suggestions the committee may have. Thank

SAG, CORP

4218 LENOIRE LANE, N.W.
WASHINGTON, D.C. 20008

1 you.

2 DOCTOR MEISCH: Thank you. Doctor Wright,
3 the third question that was here for us to discuss
4 relates to decontrol. If it is recommended, does the
5 committee recommend the sponsor implement a risk
6 management plan to detect, evaluate, and intervene in
7 cases of abuse. I, therefore, ask the committee to
8 turn their attention to this for a few minutes.
9 Comments from committee members?

10 DOCTOR WRIGHT: Mr. Chairman?

11 DOCTOR MEISCH: Yes.

12 DOCTOR WRIGHT: I think there is more
13 information to be heard. I think there are other
14 speakers to be heard before we address question 3.

15 DOCTOR MEISCH: I didn't mean this is the
16 last question. I mean that the sponsor had --

17 DOCTOR WRIGHT: I understand. I am sorry,
18 sir.

19 DOCTOR MEISCH: We will hear additional
20 people speak.

21 DOCTOR WRIGHT: I believe, if I've kept
22 track properly -- we did jump around quite a bit here
23 -- the next speaker on the program was Doctor Lutwak.

24 DOCTOR LUTWAK: In a way, what I'm going
25 to say is background to try to help the panel come to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a decision or partial decisions as to what constitutes
2 overall benefit/risk in the use of a medication, and
3 in particular, dexfenfluramine and its closely related
4 parent, fenfluramine.

5 This is the sort of process that we go
6 through on a regular basis. Many of you heard me say
7 this yesterday. Much of what I am going to say has
8 been touched on earlier this morning. But I think it
9 bears repetition.

10 When we deal with any drug that has any
11 potential for risks or harm, we are concerned with two,
12 aspects, the benefits and the risks. Obviously,
13 nothing is totally risk free and, therefore, we have
14 to accept a certain amount of risk depending on the
15 amount of benefit one expects.

16 We have heard yesterday and today about
17 the horrendous nature of the growing problem of
18 obesity in this country. The large impact it has in
19 the development of other conditions. We have heard
20 that weight loss may be related to improvement in some
21 of the co-morbidities that produce huge expense to the
22 medical system and tremendous impact on the health of
23 the patient. The epidemiologic studies suggest that
24 voluntary weight loss can improve many of these
25 problems.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 What we have not heard yet and what we
2 have not seen in the agency are any solid control data
3 indicating that drug-induced weight loss is the same
4 as the weight loss that has been used and demonstrated
5 to have beneficial effect on heart disease, diabetes,
6 hypolipidemia, et cetera, with weight loss instituted
7 by hygienic methods and by dietary control and
8 improvement in exercise and so forth. As a matter of
9 fact, we know that change in diet and institution of
10 exercise, even without weight loss, does have very
11 definite benefits, particularly in Type II diabetes.

12 Now where does the drug under
13 consideration today fit into this. The weight loss
14 that has been produced by administration of
15 dexfenfluramine in well-controlled studies shows a
16 statistically significant benefit as compared with
17 placebo. It is borderline from a clinical point of
18 view but it is statistically significant, which falls
19 within the agency's older guidelines.

20 Decreased co-morbidity has been suggested
21 in various studies that have been carried out on
22 populations that were under control, but these are not
23 quite as clear cut and really have not been defined.
24 Obviously, prolongation of life is not something that
25 we can expect to be demonstrated to us before the drug

1 is considered. But this is to be hoped for if there
2 can be any clear cut demonstration of improvement to
3 co-morbidities.

4 That is the one side. So we know what the
5 potential benefits are of wide use of these drugs.
6 And as we heard this morning, if these drugs are
7 decontrolled, they are going to be used extremely
8 widely. We know that even with controls in effect
9 right now with the scheduling of the drug, the drugs
10 are being used quite widely in off-label uses and for
11 off-label periods of time, which are considerations,
12 that the FDA has no control over, of course. But
13 control does seem to have some aspect of control over
14 the misuse of the drug.

15 Now what are the potential risks that we,
16 as clinicians, have to consider. The neurotoxicity is
17 obviously one of considerable importance to this panel
18 and to this consideration today, and I have to admit
19 that as a clinician I am still confused by the data
20 that have been presented on both sides of the issue.
21 We know that there are histologic tissue changes that
22 occur in a wide species of animals. We do not know
23 what the clinical correlates of these changes may be.
24 We do not know whether these clinical correlates are
25 of any significance. And to me, this remains a wide

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

WIDE TRANSCRIPTIONS

1 open question.

2 Many drugs in this category have effects
3 on blood pressure, and there have been reports of
4 hypertension reported in certain types of patients
5 when drugs of this type are given and also hypotension
6 with certain anergic drugs. Stroke has been reported
7 in the spontaneous reporting of adverse events. And
8 the one that we are most concerned with is the adverse
9 event of pulmonary hypertension.

10 As Doctor McCloskey pointed out this
11 morning, most of the data we have concerning adverse
12 events come from spontaneous reporting. And
13 spontaneous reporting is a very poor way of getting a
14 handle, with both over-reporting and under-reporting
15 being possible, depending on the publicity associated
16 with an adverse event. And the true significance is
17 hard to determine without adequate control studies.

18 But we have to remember something. When
19 we talk about benefit, we want clear cut evidence of
20 benefit. We want good solid data. When we talk about
21 risks, we have to accept much softer type of data. We
22 accept epidemiologic surveys. We accept epidemiologic
23 evidence of potential risks because if we error, we
24 have to error on the side of the patient on protection
25 of the patient.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now fenfluramine has been widely used, as
2 we've heard, since it was approved on June 23, 1973 by
3 the agency. It has been widely distributed in other
4 countries. Dexfenfluramine has not been available in
5 this country as an approved drug, and we have to
6 combine our experiences or our knowledge by using data
7 from both fenfluramine and dexfenfluramine. As was
8 pointed out, fenfluramine was a drug that was not
9 widely used. Probably it was not promoted widely. It
10 was controlled, but so are all of the other anti-
11 obesity agents, which were used much more widely. And
12 it isn't until we come down to 1993 and 1994 that we
13 start seeing a rise in the use of fenfluramine in this
14 country with a projected use as was pointed out this
15 morning of about 1,100,000 prescriptions this year.

16 Now the serious adverse events that have
17 been reported with fenfluramine thus far are also
18 rather small in worldwide reporting. Nothing really
19 stands out, but we notice that these data end about
20 1994. The neuropsychiatric aspects that have been
21 discussed here up until now are effects that one may
22 expect to see soon after the patient starts taking the
23 medication.

24 Primary pulmonary hypertension and renal
25 failure are conditions that have prodromata that are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 very often overlooked and may not be diagnosed until
2 the conditions are full-blow, which may occur sometime
3 after increased use of the drug becomes apparent. So
4 we may expect to start finding, if there is a
5 relationship -- if there is a relationship -- between
6 fenfluramine and dexfenfluramine and primary pulmonary
7 hypertension and possible renal failure, we may expect
8 to start seeing a rise in reports sometime this year,
9 and the data, of course, are not here.

10 Now we have some other information that we
11 can use to substantiate these hypotheses. The sponsor
12 for dexfenfluramine submitted post-marketing safety
13 data in the past few weeks of all of the data that had
14 been summarized from August 1984 to December 1994, 10
15 years of experience with this drug. Again, these
16 spontaneous reports, the majority of these, have been
17 reported to various vigilant agencies worldwide and
18 they have been categorized using coaster terminology
19 as serious events or non-serious events. I have
20 listed them here in parallel fashion. CNS events, as
21 was pointed out this morning, includes many that would
22 be considered serious such as stroke, which may or may
23 not be related to the drug use. They also include
24 psychiatric disorders such as severe depression, but
25 these include disorders that led to discontinuation of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the use of the drug or hospitalization of the patient.

2 Non-serious events are categorized as
3 those that did not lead to discontinuation of the drug
4 and that may have been evanescent and that may have
5 disappeared on discontinuation and the patient did not
6 require hospitalization and never was followed up. So
7 these are rather crude numbers.

8 But of interest is the fact that of the
9 703 events that have been reported in these 10 years,
10 a large number of them were listed as some type of
11 sleep disturbance generally characterized as
12 nightmares or daytime somnolence. Dependency was
13 listed -- and of course, many of these occurred in the
14 same patient. So dependency may be the same patient
15 that also showed withdrawal symptoms. But dependency
16 was reported in 115 instances.

17 Memory loss, specifically listed as memory
18 loss, was reported in 39 cases. There were others
19 that were included as miscellaneous in my breakdown of
20 this because I couldn't determine what the event
21 really was from reading the brief description -- such
22 items as confusional thinking, disordered thinking,
23 aggression, hostility, and so forth.

24 What I am particularly concerned with is
25 primary pulmonary hypertension. There have been 101

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 defined cases of primary pulmonary hypertension.
2 Primary pulmonary hypertension is generally an
3 extraordinarily rare disorder. Most of us who have
4 been in practice for any length of time have never
5 seen a case. In 40 years, I have seen one case of
6 primary pulmonary hypertension. So this is a rare
7 condition. Yet, 101 cases have been characterized as
8 primary pulmonary hypertension by the sponsor with
9 full case reports including cardiac catheterization,
10 profusion scans of the lung, with deaths and lung
11 transplants as the consequence.

12 There were 27 other cases that had
13 pulmonary symptoms. Pulmonary symptoms are very
14 common, of course, in a general population, and
15 extremely common in a population of obese patients.
16 But many of these may be prodromata of primary
17 pulmonary hypertension and at least raise a slight
18 suspicion.

19 Well going through these 101 cases that
20 have been characterized in the reports from the
21 sponsor as primary pulmonary hypertension seen with
22 dexfenfluramine, we come up with some interesting
23 demographics that lead to a strong suspicion of risk.
24 In the approximately 100 cases that were reported, the
25 female to male ratio was 93 to 7, which is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 characteristic both of obesity and thus the population
2 that uses drugs for treatment of obesity, and for
3 primary pulmonary hypertension, which is primarily
4 seen in women.

5 There were 14 deaths that occurred or that
6 were reported. Because in many cases, follow-up was
7 not possible. Lung transplants were carried out in 6
8 of the reported cases. Doctor Stuart Rich discussed
9 primary pulmonary hypertension yesterday, and he had
10 two or three points that I think are extremely
11 relevant to our discussion here.

12 One, primary pulmonary hypertension is
13 almost invariably lethal. The treatment to date
14 prolongs life anywhere from 6 months to 5 years. The
15 treatment consists -- at present, the major treatment
16 is the use of calcium channel blockers, which is
17 relatively unsuccessful, lung transplantation, which
18 is extremely expensive and requires continuing
19 expensive medications thereafter, and recently the use
20 of prostacyclin, which Doctor Rich estimates will cost
21 the patient approximately \$5,000.00 to \$20,000.00 per
22 month, with the added risks of infection due to
23 indwelling catheters, et cetera.

24 The patients with primary pulmonary
25 hypertension associated with the use of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 dexfenfluramine had an average age of 49 years, plus
2 or minus 13, with an age spread of 18 to 78, in other
3 words, the general population seen with obesity. BMI
4 was average, 31.0 plus or minus 5.8. But of interest
5 is the fact that one third of the patients taking
6 dexfenfluramine who developed pulmonary hypertension
7 had BMI values well below the range for which
8 dexfenfluramine has been advertised. One fifth of the
9 patients had BMIs in excess, in the high range, where
10 drugs to assist weight loss may be of some value. But
11 approximately 20 percent, 1 out of 5 patients, who go
12 on to die within 6 months of diagnosis or go on to
13 live for 4 or 5 years at extreme cost to society and
14 to themselves, were well below the range of weight
15 where the drug logically should be used or where the
16 drug has been used and probably will be used.

17 Of interest also, and of extreme
18 importance and consideration here, is that many of the
19 cases with primary pulmonary hypertension used
20 additional medications. Again, this is not unexpected
21 since obese patients generally are on many medications
22 and have tried many medications -- antihypertensives,
23 antidepressants, antianxiety drugs, and hypoglycemics,
24 surprisingly, in a very small population. In other
25 words, the patients who may have Type II diabetes are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 generally not those that go on to use this drug to the
2 extent that it produces death, and similarly with
3 dyslipidemic drugs.

4 The drug of most concern is the
5 concomitant use of other anorectics. For various
6 reasons, dexfenfluramine has been used in conjunction
7 with other drugs. Frequently other drugs that have
8 been prescribed for that specific patient's condition
9 and also equally frequently patients obtaining these
10 drugs on their own by doctor shopping and obtaining
11 multiple prescriptions. And of interest are the drugs
12 that were used in conjunction with dexfenfluramine.
13 Fenfluramine has been frequently prescribed in
14 addition to dexfenfluramine. If an education program
15 is to be instituted, physicians have to be educated
16 that the two drugs are almost identical.

17 Diethylpropion, which is sold in Europe as
18 amfepramone, an amphetamine derivative, has been used
19 in conjunction with this and has been responsible for
20 a large proportion of the primary pulmonary
21 hypertension. Phentermine, another potent amphetamine
22 derivative which we heard much about earlier this
23 morning, is frequently used in conjunction with
24 fenfluramine because of the push/pull effect on
25 obvious side effects, and is also responsible for the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 high risk of primary pulmonary hypertension. Other
2 amphetamines, many of which are not available in this
3 country, have also been reported as part of the
4 primary pulmonary hypertension picture.

5 Now to put this into perspective, even if
6 it can be shown, and even as it probably will be
7 shown, that weight loss induced by the use of
8 fenfluramine or dexfenfluramine does have some
9 protective effect in hypertension and diabetes, this
10 is a long-term protective effect. It may, in a
11 certain proportion of the patients, protect against
12 long-term complications of these serious diseases.
13 One case of primary pulmonary hypertension that will
14 occur through the use of this drug will lead to death
15 in every patient who develops it and will lead to an
16 increased cost of medical care.

17 Now what is the true incidence in the
18 population that will be taking the drug. We have
19 heard all kinds of numbers that this is a rare disease
20 that occurs in 1 in a million. Yes, it occurs in 1 in
21 a million in the general population. But it is much
22 more prevalent in obese patients. It is much more
23 prevalent in obese patients who take medication for
24 their obesity. And if we use that as our denominator
25 rather than the 40 million patients who have received

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

13 pattern which demonstrates dramatic increased usage.
14 And we know that once a drug is decontrolled, the
15 usage will increase even further. But if we use that
16 as a rough estimate, 1 in 1.1 million, which means
17 approximately 1 in 500,000 patients per year, and we
18 expect 1 in 10,000 to develop the disease, we are
19 talking about 50 new cases that are going to die of
20 primary pulmonary hypertension every year through the
21 use of this family of drugs. Now this is a projection
22 that should be taken into account in the consideration
23 of making this drug widely available, and secondly, in
24 consideration of what type of controls should be used
25 to monitor the proper usage of the drug. At present,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fenfluramine is approved by the FDA for no more than
2 3 months continuous use, and yet we heard here today
3 widely discussed the wide use of this drug
4 continuously by many physicians. There is no way of
5 stopping that. That is a state regulatory device.
6 The only control we have at present are our
7 regulations. Thank you.

8 DOCTOR MEISCH: Thank you. Doctor Cicero?

9 DOCTOR CICERO: I would like to put this
10 a bit in perspective because a number of things were
11 thrown up there and you didn't have a denominator. It
12 might help the committee to understand. When you
13 threw up the CNS adverse events, for example, you had
14 159 during that 10-year period, serious events. There
15 were 10 million patient exposures during that period
16 of time. I think it is a bit misleading just this
17 number of 159 as being significant. It is 159 out of
18 10 million. That incidence rate, according to my
19 calculation is .01 percent. That wouldn't even appear
20 on the label as far as I know.

21 It is a little bit troublesome as to the
22 definition of terms. You have indicated that there
23 are a number of cases of dependency. In a prior
24 report delivered by the FDA, and with which we agree
25 completely, there were 0 mentions of drug abuse. I

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 don't know what dependence means under these terms.

2 You also listed 74 cases of withdrawal.

3 And I will remind you COSTART defines withdrawal
4 reactions as any adverse event which appears upon
5 withdrawal. I don't think most of us in this room
6 that know anything about drug abuse would classify
7 that. Because you lump that, Doctor Lutwak. You lump
8 that with --

9 DOCTOR LUTWAK: I stated very clearly that
10 these -- that each of these reports may have occurred
11 in more than -- more than one report may have occurred
12 in the same patient.

13 DOCTOR CICERO: But if you've got 115
14 cases of dependency --

15 DOCTOR LUTWAK: Not 115 cases, 115
16 reports. 115 reports. That is not 115 cases.

17 DOCTOR CICERO: Thank you. If we are
18 going to talk about risk/benefit --

19 DOCTOR LUTWAK: And these data,
20 incidentally, are taken directly from the submission
21 from the sponsor. These are not cases that were
22 reported to us. These are cases that were reported to
23 the sponsor. Submission number 019 to the NDA.

24 DOCTOR CICERO: Thank you. To put this
25 into some frame of reference if we are looking at

SAG, CORP

4218 LENDRE LANE, N.W.
WASHINGTON, D.C. 20008

1 risk/benefit ratios, and that was, I thought, the
2 topic of your presentation, one thing we seem to
3 forget is there are 290,000 deaths last year
4 attributable to obesity. You see figures that
5 demonstrated and documented that there can be
6 protective effects of weight loss ranging anywhere
7 from --

8 DOCTOR LUTWAK: That is a projection based
9 on a hypothesis that has yet to be proven.

10 DOCTOR CICERO: I beg your pardon. That
11 is CDC --

12 DOCTOR LUTWAK: I beg your pardon, sir.
13 This is based on epidemiologic data which offer us the
14 clue to where research has to be done. This is a
15 projection. This is not 290,000 people dying off each
16 year because -- this is a long-term, cumulative death
17 result. You have not shown us that 290,000 patients
18 can be prevented by giving dexfenfluramine.

19 DOCTOR CICERO: No, I said -- the
20 statement I made is the CDC estimates that 294,000
21 people die each year from obesity related causes. I
22 did not make any claim that dexfenfluramine is going
23 to cure all 294,000. There is going to be some
24 benefit to that. So I think you would have to put
25 that into context when you are talking about any of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 these other adverse side effects. That was an issue
2 that really got hung up yesterday, and I just wanted
3 to clarify that. We are not considering what are the
4 tangible benefits of weight loss. Because truly your
5 balance in your first overhead was risk/benefit. And
6 what we heard you saying is a lot of risk things,
7 which I think we can all question the assumptions
8 underlying some of those, but the benefits. Let's not
9 forget the benefits. That was my only point for
10 getting up.

11 DOCTOR WRIGHT: Doctor Cicero, I would,
12 like us to not have an opportunity to replay what was
13 clearly an extensive discussion yesterday on an
14 approval decision for this drug. What I am interested
15 in, and I thank you very much Paul, was your, I
16 thought, reasonable presentation that an event with a
17 relatively uncommon base rate in the population, fatal
18 primary pulmonary hypertension, may be associated with
19 the use of this drug and is one of the elements that
20 should be looked for in any program of surveillance
21 associated with its use.

22 DOCTOR CICERO: Okay. Absolutely. I
23 agree with that.

24 DOCTOR MEISCH: Our next speaker will be
25 Doctor Wadler.

1 DOCTOR WADLER: Thank you very much. I
2 guess most of you are saying, who is Doctor Wadler, so
3 I guess I ought to introduce myself because I work for
4 no company. I work as a solo practitioner in sports
5 medicine and internal medicine. But I do have some
6 special knowledge and expertise as it relates to use
7 of drugs in sports. I am an associate professor of
8 Medicine at Cornell. I am a fellow at the American
9 College of Physicians, the American College of
10 Preventive Medicine, the American College of Clinical
11 Pharmacology, and I am a fellow and trustee of the
12 American College of Sports Medicine, and a trustee of
13 the Women's Sports Foundation.

14 Some of you may have seen me over the
15 years at the U.S. Open. I was the tournament
16 physician for 12 years at the U.S. Open Tennis
17 Championships, and I am proud to have been the
18 recipient of the IOC President's Prize in 1993 for my
19 work in drugs in sports.

20 I sat here this morning and listened to
21 the past presentations and I am quite concerned about
22 the decision to deschedule or decontrol these drugs.
23 I am going to try to put this in a little bit of an
24 athletic context. That is a different context than
25 what you've been discussing today. I admit it is from

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 the mindset of drug use in sports and not the
2 population at large, but I think there are messages
3 here for the population at large.

4 The International Olympic Committee and
5 the United States Olympic Committee defines doping as
6 "the administration of or use by a competing athlete
7 of any substance foreign to the body or any
8 physiologic substance taken in an abnormal quantity or
9 taken by an abnormal route of entry into the body with
10 the sole intention of increasing in an artificial and
11 unfair manner his or her performance. When necessity,
12 demands medical treatment with any substance which
13 because of its nature, doses, or application is able
14 to boost the athlete's performance in competition in
15 an artificial or unfair manner, this too is regarded
16 by the IOC as doping."

17 Now I wanted to talk briefly about some
18 sympathomimeticamines, which have a long history in
19 sport. I am very mindful that although fenfluramine
20 is a sympathomimeticamine, its anorectic effect is
21 somehow related to serotonin metabolism.
22 Historically, the amphetamines have been shown in
23 elegant studies by Beecher and Smith in the 1950's to
24 be ergogenic or performance-enhancing in throwing,
25 swimming, and running sports.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Now several points. To circumvent the
2 Controlled Substances Act of 1970, the amphetamine
3 look-alikes appeared, and I am sure many of you have
4 great knowledge and much more knowledge than I have
5 about the history of the look-alikes. The look-alikes
6 most commonly were formulations of caffeine, ephedrine
7 or pseudoephedrine and phenylpropanolamine, although
8 clearly other sympathomimeticamines, and I suspect but
9 do not know fenfluramine, could be substituted to
10 simulate an amphetamine-like effect. The
11 jurisdictional issues of the early 1980's to deal with
12 look-alikes is well known to the FDA, and the problem
13 with all of that, of course, remains, and all of you
14 know and certainly all athletes know that one can
15 easily swallow a Dexatrim, take it with some Sudafed,
16 and down it with a thick, heavy cup of espresso.

17 The scientific evidence that therapeutic
18 doses of sympathomimeticamines per se are, in fact,
19 performance enhancing, is in fact a scam. In fact,
20 the pharmacokinetics and pharmacodynamics of the
21 sympathomimeticamines is not well enough understood to
22 clearly show the difference between therapeutic doses
23 and ergogenic doses. Despite that fact, Rip Dumont
24 lost an Olympic gold medal in 1972 for having taken a
25 therapeutic dose of ephedrine for his asthma. So why

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 do athletes take sympathomimeticamines? Two reasons,
2 I think, are particularly relevant, but I will mention
3 all three. One is related to a perceived aesthetic
4 value to being thin, notably in sports such as
5 gymnastics, diving, and swimming, and certainly in the
6 art of ballet. Two, to make weight as in thoroughbred
7 racing, boxing, and wrestling. Parenthetically, I
8 will be travelling tomorrow with Angel Cordero, who is
9 going to be reemerging as a jockey, and it is
10 interesting to hear the abuse of these kinds of pills
11 in the world of thoroughbred racing. Three, to
12 simulate the amphetamine effect in the so-called look-
13 alike, that is, to gain speed and acceleration.

14 Now, how prevalent are weight loss
15 products in the world of sports. In 1989 at Michigan
16 State, Anderson McAid did a very nice study in which
17 they looked at non-prescription weight loss drugs by
18 gender and sport in 2,300 approximately NCAA student
19 athletes. In men, these products were used by 2
20 percent of the baseball players, 3 percent of the
21 basketball players, 3 percent of the football players,
22 7 percent of the tennis players, 2 percent of the
23 track players. In women, in softball 11 percent used
24 these weight loss drugs, in basketball 9 percent used
25 these drugs, in tennis 8 percent, in track 9 percent,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and in swimming 14 percent. These sports constituted
2 10 men sports and 10 women's sports.

3 Now when looking at student athletes who
4 had reported uses of non-prescription weight loss
5 products in the preceding 12 months, 53 percent took
6 them to improve their appearance. 37 percent took
7 them to improve their athletic performance. 4 percent
8 took them so that they would feel good, and then 6
9 percent for a variety of other reasons. But
10 particularly disturbing, 13 percent initially used
11 these drugs in junior high school, 46 percent
12 initially used these drugs in high school, and the
13 remaining 40 percent, either their first year of
14 college or later on in college. 81 percent of all the
15 student athletes that bought these products
16 themselves, only 4 percent ever having obtained them
17 from a physician.

18 Now the NCAA looked at its drug testing.
19 Between 1986 and 1989 in 12,950 administered drug
20 tests by the NCAA, there were a total of 392 positive
21 drug tests. Of the 392, 292 were positive for
22 sympathomimeticamines. Only 100 were for all other
23 drugs including anabolic steroids. As a consequence,
24 the NCAA no longer even tests for
25 sympathomimeticamines. Now the IOC and the USOC bans

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 these drugs, including fenfluramine, and there are no
2 published cutoff levels as to potential excess or
3 large doses, although there are problems again with
4 the interpretation of that data, and fenfluramine is
5 banned by the IOC and the United States Olympic
6 Committee.

7 In South Africa, to show the problem is
8 not unique to the United States, 50 percent of all
9 positive doping tests are for sympathomimeticamines.

10 Now I told you that I was a trustee of the
11 Women's Sports Foundation, and I have a particular,
12 interest in women's sports, and I want to briefly
13 define for you a spectrum of disorders which I am sure
14 all of you have thought about but I want you to think
15 about in the athletic context, and that is the
16 spectrum from anorexia and anabolic steroid abuse. It
17 is a spectrum in which the individual is obsessed with
18 body image, preoccupied with mirrors, extremes,
19 aberration of food intake, rationalization of behavior
20 relative to performance, the use and abuse of drugs to
21 manipulate their body appearance, and both are
22 obsessed with the relentless pursuit of either bigness
23 or thinness.

24 Now the American College of Sports
25 Medicine has recently brought to the public's

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 attention the so-called female athlete triad which
2 affects anywhere from 15 to 62 percent of the female
3 athletes, and I realize that is a broad range. The
4 prevalence is not clearly defined but the problem is
5 clearly defined. The female athlete triad is made up
6 of 3 components, disordered eating, menstrual
7 disturbances, and osteoporosis.

8 First for disordered eating, the
9 prevalence or estimates, as I said, are between 15 and
10 62 percent of female athletes. The spectrum of the
11 disordered eating ranges from normal eating behaviors
12 disorder to control one's weight to frank poor
13 nutrition and inadequate caloric intake to various
14 forms of bingeing and purging to the extremes of
15 anorexia and bulimia. We know that disordered eating
16 is particularly prevalent in certain sports, those
17 sports where there is subjective or appearance
18 judging, such as gymnastics, ballet, diving, and
19 figure skating, or where there is a perceived
20 correlation with performance such as in long-distance
21 running. Other correlates are individual versus team
22 sports and sports which the athlete peaks at a
23 particularly young age such as tennis and gymnastics.

24 With respect to anorexia, the relentless
25 pursuit of thinness, it wasn't very long ago that we

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 all read the story of Christy Heinrich, who had died.
2 A criteria, just to reiterate, and I am sure many of
3 you are familiar with it, is a refusal to maintain
4 body weight over a minimum for age and height, an
5 intense fear of gaining weight even though one is
6 overweight, a distorted view of one's body weight,
7 size, and shape, the absence of three consecutive
8 periods, and the incidence is a half and one percent
9 of adolescent women and two and a half percent among
10 young adult women. Of course, 5 to 10 percent of
11 these are male. They may engage in extreme physical
12 activities to be thin and they clearly abuse drugs.
13 They abuse anorectics, laxatives, caffeine, emetics,
14 and diuretics. Their behaviors aside from drug abuse
15 and, of course, excess exercise and food restriction
16 is self-induced vomiting, and suicide rates and
17 mortality as high as 9 percent.

18 Bulimia, the criteria briefly there is
19 binging twice a week for at least 3 months, a sense of
20 lack of control over eating, where eating is a
21 discrete period definitely in excess of normal where
22 they can consume 20,000 calories, recurrent,
23 inappropriate behaviors to prevent weight gain,
24 vomiting, and again anorectics, laxatives, diuretics,
25 and excess exercise. The incidence is 1 to 2 percent

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 of adolescent college women and 10 to 15 percent of
2 men are bulimic.

3 Now I talked about the female athlete
4 triad, so why is this important? Well, it turns out
5 that most of the women who have significant eating
6 disorders have secondary amenorrhea. The secondary
7 amenorrhea occurs in about 2 to 5 percent of the
8 general population. In female athletes, it is between
9 3 and 66 percent. Up to 20 percent of runners and
10 casual runners, 50 percent of elite runners, and 30 to
11 50 percent of ballet dancers. It is frequently
12 associated with eating disorders, excess amounts of
13 training, changes in body composition, and so on. Of
14 course, athletic amenorrhea is always a diagnosis of
15 exclusion.

16 Now the public health problem relates to
17 the development of osteoporosis. Because premature
18 bone loss from inadequate bone formation is a
19 consequence of low estrogens with increased risk of
20 fracture. Amenorrheic athletes, women in their 20's,
21 have bone densities of those of women in their 50's,
22 where estrogen, again, is the major contributor to
23 maintenance of bone density. Estrogen levels in
24 amenorrheic athletes and anorectic women approach
25 those of post-menopausal women.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I cannot help, as I just conclude my
2 remarks, reflecting on an article by Goldstein and
3 Kalant in Science 1990, "Drug Policy, Striking The
4 Right Balance", in which clearly availability affects
5 consumption. The use of a drug clearly correlates
6 with its availability. We know this from alcohol in
7 times of prohibition, criminality aside. We know it
8 from the very origins of the Controlled Substances Act
9 of 1970. We know it from the State of New York where
10 triplicate prescriptions are required for
11 benzodiazepine.

12 When you make your decision, and I hope
13 the decision this morning -- I am not familiar with
14 your process and I wish these and future remarks would
15 have been heard prior to that, with all due respect
16 -- that you do not make this decision in a sociologic
17 vacuum. The world of sports consumes us. We all are
18 consumed by it one way or another, either directly,
19 passively, or your children, and I must tell you that
20 we are in an epidemic. I don't care what the
21 prevalent statistics are. We can argue forever. They
22 are just almost anecdotal. But everybody in this
23 field -- everybody I know in this field is assured and
24 convinced that we have a serious problem with drug
25 abuse in sports and we have a serious problem with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 eating disorders in sports, and I, for one, need to be
2 convinced that there is an advantage to decontrolling
3 it, since as a prescription I can write the
4 prescription. So somebody would have to tell me why
5 we run the risk of increasing the availability,
6 increase the risk of the abuse of the drug, when
7 currently that drug is available for legitimate use as
8 it exists today. Thank you.

9 DOCTOR MEISCH: Thank you. Doctor Seiden,
10 you are the next speaker, please.

11 DOCTOR WRIGHT: Mr. Chairman, did Doctor
12 Wright have an opportunity to make all the comments he
13 wished to make? We asked him to limit it to one
14 topic.

15 MR. POLLITT: Doctor Wright had to leave
16 and he is going to submit a written statement to me.

17 DOCTOR SEIDEN: I shall not go over all of
18 the remarks that I made yesterday regarding my views
19 of the potential neurotoxicity in humans for
20 fenfluramine and its neurotoxicity to animals. I just
21 want to highlight a few things that came up this
22 morning and to reiterate some of what I consider my
23 more important comments. So I am going to be brief
24 and then Doctor Ricaurte will present a few brief
25 comments as well.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 I am glad that the committee as well as
2 the sponsoring agent has come to see that
3 neurotoxicity is something that they must be aware of
4 and they could even, at least, according to Doctor
5 Cicero, name as such. So that means we are probably
6 moving a little bit closer together in what is
7 obviously a very contentious area.

8 The highlights of yesterday's presentation
9 might be well reiterated. One, in every species that
10 we have had the opportunity to do experiments in using
11 fenfluramine and taking their brains out for assay, we
12 have found neurotoxic events, what we consider
13 neurotoxic events, that persist long after the drug
14 has been discontinued. That is true of mice, at least
15 some species of mice or strains of mice, rats, guinea
16 pigs, different strains of monkeys, every species that
17 we looked at.

18 The fact that it occurs in all these
19 different species leads me to believe that it might
20 occur in humans as well. I see no reason to exclude
21 humans from the continuum of the animal species. So
22 just on a genetic basis, I would not expect humans to
23 be somehow protected.

24 Also, the fact that in both rodents and
25 non-human primates, the dose of fenfluramine required

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to engender 5HT neurotoxicity are very close to the
2 doses that are required to suppress intake by 50
3 percent. This is a point I made yesterday. I
4 understand that a lot of you are seeing this slide for
5 the second time.

6 Then in the next slide from Doctor
7 Schuster Johanson, they noted, and along with some
8 studies that we did in collaboration, that
9 fenfluramine also produces a long lasting depletion of
10 serotonin in the striatum, the hippocampus, and the
11 rest of the brain at a dose of 5 mg. It produces
12 toxicity at a dose of 6.25 and 12.5 mg per kilogram.
13 In the case of other anorectics, the minimal dose
14 necessary to produce a prolonged neurochemical effect
15 varied from 10 to 40 times the ED₅₀ dose. So
16 fenfluramine is only 1.25 the ED₅₀ dose. And it does
17 appear that fenfluramine is a significantly more toxic
18 drug than the other drugs tested. This, I believe, is
19 a key consideration in assessing the potential
20 neurotoxic effects of fenfluramine.

21 Doctor Moore showed a very interesting
22 slide comparing the levels of neurofenfluramine or
23 fenfluramine in the brains of humans with those in
24 animals that engendered 5HT depletion. The slide
25 apparently showed that you had a very minuscule amount

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 of fenfluramine generalized in the brain of humans
2 compared to the animals. I have a couple of
3 reservations about this slide.

4 One, I am not quite sure that the data
5 obtained in humans was obtained using the same
6 techniques that the data obtained in animals were.

7 My second reservation is that I am not
8 completely convinced that levels in the brain are
9 necessarily predictive of the neurotoxic events that
10 take place. We don't know the mechanism that causes
11 the long lasting depletion, and we have to hold, I
12 think, in reservations something about interpreting
13 the levels found in the brains of various species to
14 make any kind of prediction about the long lasting or
15 the possibly neurotoxic effects of fenfluramine.

16 I would like to hold that in reservation
17 for myself, and I enjoyed the opportunity to review
18 that data. I agree that the fundamental issue, that
19 is, does toxicity occur in the brains of human beings
20 is a fundamental one that needs to be addressed. And
21 I think with that said, I will ask Doctor Ricaurte to
22 further address those issues, with the chair's
23 permission.

24 DOCTOR MEISCH: All right. Briefly.

25 DOCTOR RICAURTE: Thanks very much, Lou,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and members of the committee. I realize the hour is
2 late, so my comments will be brief and will center
3 around the issue that was discussed yesterday and that
4 is, how can we find out if the neurotoxicity produced
5 in animals, at least by the data that has been
6 presented

7 -- how can we determine whether or not that
8 neurotoxicity also occurs in human beings. I think we
9 all are in agreement that that is a key question. And
10 what I am willing to comment on is how that issue
11 might be addressed in humans.

12 This is a slide taken from an early study
13 from Chase and Shoulsen performed at the NIH back in
14 the 1970's. What this slide is intended to illustrate
15 is that the concentration of 5HIA, the major
16 metabolite of serotonin -- the concentration or the
17 amount of this compound in lumbar spinal fluid of
18 human beings given fenfluramine is decreased after
19 administration of fenfluramine. What I have to
20 emphasize is that this decrease in the concentration
21 of 5HIA observed in fenfluramine treated individuals
22 was noted shortly after discontinuation of the drug.

23 The question is, would this kind of
24 reduction in CSF 5HIA concentration persist and could
25 it be used as an indicator of possible CNS serotonin

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 neurotoxicity in humans. To the best of my knowledge,
2 that study has not been done, specifically that of
3 taking individuals previously exposed to doses of
4 fenfluramine, anorectic doses of fenfluramine, and
5 determining whether their level of CSF 5HIA is
6 persistently decreased.

7 What I am not showing you because of time
8 is that this CSF 5HIA method has been validated in
9 animals treated with a related amphetamine analog. We
10 know that in the animal model, CSF 5HIA is a useful
11 indicator of CNS serotonin neurotoxicity. So the
12 first suggestion or question is, could CSF 5HIA in
13 humans previously exposed to fenfluramine perhaps be
14 used as a possible indicator of a long-term effect of
15 fenfluramine on the human serotonin system.

16 This touches on a second possible
17 methodology that is available and it relates to
18 positron emission tomography, and in this case, using
19 a ligand for the serotonin transporter. This is a
20 method that over the last five to six years, along
21 with my colleagues at the Johns Hopkins School of
22 Medicine, we have been developing. It is still at a
23 stage of development, but I dare say that it is at a
24 stage where at least in animals treated with the
25 related toxic amphetamine analog, in this case MDMA,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 seems to provide us a reliable measure of long-term
2 losses of the serotonin transporter following exposure
3 to an amphetamine toxic derivative.

4 What you see here in the top panel is
5 labeling of serotonin transporters in a controlled
6 baboon, and on the bottom panel, I am not sure how
7 well it shows, but what is evident not only in this
8 slide but from the quantitative data that has been
9 analyzed is that there is a marked and persistent
10 reduction in the number of serotonin transporters
11 following exposure to fenfluramine. This is a
12 fenfluramine treated baboon.

13 And what I would like to emphasize is a
14 point that Doctor Seiden just made, and that is the
15 importance of anchoring the "toxic dose" to the
16 therapeutic dose. And what I would highlight is that
17 according to studies of Fulton and colleagues at our
18 institution, the ED₅₀ dose for anorexia in the baboon
19 is in the order of 2 mg per kilogram, and what I am
20 illustrating here are the effects of a dose of 5 mg
21 per kilogram given twice a day for four days. I think
22 we could all agree that that is not a large margin of
23 safety. Now this, I have to emphasize, is a pre-
24 clinical study, and what I am suggesting to you is
25 that perhaps similar methodologies under well-

SAG, CORP.

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 controlled conditions could be applied to assess the
2 question of whether or not the neurotoxic effects of
3 fenfluramine observed in animals generalize to humans.

4 The next slide simply underscores the fact
5 that the loss of transporters that I have illustrated
6 in the baboon with PET scans was demonstrated up to
7 approximately 3 months beyond the period of drug
8 administration. This is not a short-term event. In
9 comparably treated animals, what you see is depletions
10 or losses of all of the serotonin axonal markers that
11 we measure up to a period in the baboon of 9 months,
12 and in squirrel monkeys up to a period of 14 to 17
13 months.

14 Let me just make one final point, and it
15 has to do with the issue of clinical consequences of
16 possible, and I underscore possible, serotonin
17 neurotoxicity in humans. There seems to be the, I
18 think, logical expectation that if serotonin
19 neurotoxicity occurs in humans, one might logically
20 expect neuropsychological or neurobehavioral
21 consequences. As Doctor Mann elegantly touched on
22 earlier this morning, the issue of detecting
23 neuropsychological or neuropsychometric differences
24 that are directly linked to a selective and specific
25 depletion of brain serotonin is not a simple one.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 So one note of caution I would add is that
2 the absence of changes in routinely performed
3 neuropsychological studies somewhat similar to those
4 presented by the sponsor, my concern would be do those
5 tests have the appropriate validation to convince us
6 that they have the required sensitivity and
7 specificity of detecting the functional consequence of
8 serotonin depletion if it exists. So what I am
9 suggesting is that methods need to be developed,
10 particularly along the neuropsychological function,
11 methods not dissimilar from the type that Doctor Mann
12 is describing, to see if, indeed, there are behavioral
13 or neurobehavioral consequences associated with the
14 serotonin depletion, not only in animals but also in
15 human beings should that be the case in the clinical
16 setting.

17 So to close, based on the considerations
18 that Doctor Seiden has outlined for you and based on
19 these few comments, what is recommended is that it may
20 be useful to carry out more detailed toxicology
21 studies in baboons, making an effort to identify a
22 non-neurotoxic dose in a species that approaches a
23 comparable weight as a human being in an effort to see
24 how close does this non-neurotoxic dose relate to a
25 clinically effective anorectic dose, that is, use

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 baboons, use animals to get at how large or small the
2 margin of safety for this particular drug might be in
3 human beings.

4 Secondly, that a controlled, long-term,
5 double-blind study is in order. Testing for not only
6 the rare pulmonary hypertension effects, which I am
7 not prepared to comment about, but also for possible
8 neuropsychological effects in which serotonin has been
9 implicated, specifically depression, anxiety,
10 impulsivity, cognitive function, changes in
11 aggression, sexual function, neuroendocrine function,
12 and sleep. It is only logical that if serotonin has
13 been implicated in these behavioral spheres, it is in
14 these spheres that we should begin to look for
15 changes.

16 And finally, I must comment that I am
17 somewhat surprised that given the natural history of
18 these disorders ranging from depression to anxiety and
19 cognitive problems, given the natural incidence and
20 occurrence of these disorders in adults ranging from
21 age 30 to 65, it is somewhat surprising to me that of
22 30 or 40 million people that have been screened, none
23 of these individuals are having any problems in these
24 behavioral domains. I would submit to you that it is
25 not an issue of whether people exposed to fenfluramine

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 have problems in any of these behavioral spheres. The
2 real issue is characterizing the baseline occurrence
3 of these disorders in the unexposed population and
4 determining whether or not the incidence of these
5 problems is any higher in individuals previously
6 exposed to fenfluramine and doing this in a highly
7 controlled manner.

8 With that, I will conclude the comments
9 and I would be happy to entertain any questions.

10 DOCTOR MEISCH: Doctor Wright.

11 DOCTOR WRIGHT: I actually have a question
12 for Doctor Schuster. Was that quote that was put up
13 by Doctor Seiden written by you and Doctor Johanson?
14 In the quote, it appeared that you were concerned
15 about a smaller therapeutic index for this drug than
16 for others of the class. Has the time that has passed
17 since that was written caused you to modify your
18 opinion in any way?

19 DOCTOR SCHUSTER: Let me first of all
20 state that I confess that I have not followed the
21 neurotoxicological data since I became the director of
22 NIDA. My interests have been elsewhere. And I cannot
23 state too much more than what was stated at that time
24 that it was our opinion at that time that this should
25 be taken very seriously because it appeared as if the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 doses that were necessary to produce a 50 percent
2 decrease in food intake, given acutely, and bear in
3 mind that this was an acute administration of the drug
4 and it was also based upon a slightly different kind
5 of anorectic measure, and that is we were looking at
6 decreases in the eating of a highly preferred
7 substance, which oftentimes elevates the ED₅₀ dosage.
8 So with all those caveats, but it was the same across
9 the anorectic doses, it is true that we saw this
10 difference between agents.

11 I am not in a position right now to make
12 any comment about the neurotoxicity issue. I think
13 that it is something that we should all be concerned
14 with in terms of FDA. I will say, as I would have
15 said this morning if I had made a presentation,
16 however, that I think that as far as the Drug Abuse
17 Advisory Committee is concerned, that the issue of
18 neurotoxicity, liver toxicity, or whether it makes
19 your hair fall out, has to be secondary to the prime
20 characteristic, which is the defining characteristic
21 in the CSA, and that is, is it a drug of abuse. And
22 it is still my opinion very strongly that it does not
23 meet any of the criteria for being a drug of abuse.

24 DOCTOR WRIGHT: Thank you, Doctor
25 Schuster.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR MEISCH: Doctor Schuster, you are
2 here on your own behalf or on behalf of the sponsor?

3 DOCTOR SCHUSTER. Yes, I'm sorry?

4 DOCTOR MEISCH: The question is, are you
5 here totally under your own expense or are you here --

6 DOCTOR SCHUSTER: No. I am here at the
7 request of the sponsor, and I would like to make it
8 very clear that my reason for being here is simply
9 because -- it is not a question of being a purist as
10 far as the application of the Controlled Substances
11 Act is concerned. I am concerned about its dilution,
12 and therefore, if it is used inappropriately that we
13 might be on a slippery slope in terms of a policy that
14 would allow the admission of substances that we wish
15 to deter physicians from prescribing perhaps, because
16 we either think the prescribing is frivolous or
17 because there is the potential for some toxicity --to
18 control substances of that sort in the absence of
19 abuse liability or actual abuse, I think is a
20 misapplication of this Act, and that was why I was
21 willing to come here and testify.

22 DOCTOR MEISCH: Thank you. Just a second.
23 Doctor Wright, we need to have Doctor Mann and then
24 Doctor Cicero wants to speak. What are your thoughts?

25 DOCTOR WRIGHT: Well, I simply wanted to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 know -- we had one of the scientists who had been
2 quoted here and I wanted to give him an opportunity to
3 speak about his work. Doctor Mann, I believe, has
4 presented most of what he wished to present. Am I
5 correct on that?

6 DOCTOR MANN: Yes. I might have one or
7 two comments.

8 DOCTOR WRIGHT: Please feel free if they
9 appear appropriate.

10 DOCTOR MANN: Well actually, I did want to
11 respond to a couple of things that arose. I wanted to
12 comment on the suicide rates that were presented as a
13 potential consequence of the use of fenfluramine by
14 referring people to the fact that we had published a
15 paper about two years ago in JAMA, two or three years
16 ago, looking at -- which I think was the first of its
17 kind that looked at incidences of suicide attempts and
18 completions in people taking psychotropic medications.
19 This was an issue with fluoxytine. And in that
20 context, one can actually examine the data that was
21 presented with fenfluramine, and I think that one
22 would have to conclude that based on the information
23 that is available now, there is on evidence that a
24 drug does one thing or another. So I think there is
25 little to conclude on that score.

1 The second point I wanted to make is that
2 one of the issues that arose in relation to
3 neurotoxicity is what does it matter if you lower
4 serotonin. On one hand, we may have some evidence
5 based on the materials presented by the sponsor that
6 you can lower serotonin considerably without any
7 apparent consequences. I think I would like to
8 reiterate that actually there is evidence from both
9 animal and human work that there are measurable
10 behavioral consequences of lowering serotonin of the
11 order of 30 or 40 percent, and that many of the
12 measures that have been employed and presented thus
13 far in relation to fenfluramine really don't address
14 adequately those kinds of effects, and I would like to
15 endorse the speaker over there that mentioned that we
16 need a different sets of measures. This really needs
17 to be followed up more thoroughly in a controlled way.

18 With regard to the PET scan data that was
19 presented, I think that I have a caveat about that.
20 It looked very impressive, but McNeal 5652, which is
21 a serotonin ligand, has a significant handicap in
22 assessing the effects of drugs like fenfluramine,
23 which potentially can compete for the receptor
24 directly and displace binding as well as release
25 endogenous levels of serotonin which will displace

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 binding, and therefore, you get a reduction of binding
2 which may potentially have nothing to do with the
3 toxicity or otherwise of the drug and just merely
4 illustrate its expected pharmacological effect. I
5 think the approach in general has merit, but there may
6 be some problems with that specific recommendation.

7 And finally, my last comment relates to
8 surveillance. I think there are high risk groups
9 that need to be surveyed more specifically rather than
10 just an equal effort across the entire population. I
11 think that is the point of the presentation on sports
12 medicine. One obvious group that one would have to
13 consider are people with eating disorders that go well
14 beyond sports medicine. These represent anorexia
15 nervosa and anorexia bulimia. They represent a
16 significant number of individuals in the general
17 population that are clearly at risk in terms of these
18 kinds of anorectics. And a specific target of
19 surveillance of the use of drugs like fenfluramine in
20 that group I think would have merit. Thank you.

21 CO-CHAIRMAN MEISCH: Doctor Wright?

22 DOCTOR CURTIS WRIGHT: I'd like to hold
23 general comments until later.

24 CO-CHAIRMAN MEISCH: Good.

25 DOCTOR CURTIS WRIGHT: Since the issue of

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Doctor Schuster commented very directly on the
2 question of control of the practice of medicine and
3 his perception that it was inappropriate to use the
4 CSA to try to impose such controls, we are very
5 fortunate to have Doctor Fleming here.

6 CO-CHAIRMAN MEISCH: Doctor Fleming?

7 MR. FLEMING: Mr. Chairman and ladies and
8 gentlemen of the Committee, thank you very much. I
9 thought it was the Board of Medicine that bestowed
10 medical licenses. I am not a physician. I am an
11 attorney.

12 I am the Executive Director of the
13 Massachusetts Board of Medicine and I'm very happy to
14 be here to speak with you this afternoon.
15 Unfortunately, not so much for what I have to say to
16 you, but for the wonderful testimony and comments that
17 I got to hear from the physicians and scientists about
18 this very interesting subject. So, thank you for
19 inviting me for that reason.

20 However, I am also not prepared to comment
21 on the neurotoxicity of the drug either. I was asked
22 to talk about the law in Massachusetts in regard to
23 drugs and their anorectic effects. I also understand
24 that the time is late. I was supposed to catch a
25 plane about ten minutes ago. I think I can boil

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 things down in a very short order, to give you a rough
2 idea of the history of at least Massachusetts and
3 maybe a comment or two about boards in general, as the
4 issue of state medical boards came up earlier this
5 morning.

6 As I'm sure all of you know, we're charged
7 with the authority and responsibility to adopt rules
8 and regulations governing the practice of medicine in
9 order to protect the public. I think that all state
10 medical boards try very hard to do that,
11 unfortunately, with limited resources. In keeping
12 with this directive, our board in 1988, considered a
13 variety of prescribing practices and issued a policy
14 to foster competence and public protection. Those
15 regulatory changes included a prohibition on
16 prescribing steroids for athletic enhancements,
17 restrictions on self-prescribing to physicians and
18 prescribing to immediate family members, and a
19 proscription against prescribing drugs for their
20 anorectic effect. In fact, the regulation in
21 Massachusetts has been quite strict. The regulation
22 read: "A licensee is prohibited from prescribing any
23 drug, including but not limited to amphetamines and
24 sympathomimetic amines for its anorectic effect." I
25 think that you would agree that that's quite a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 limiting regulation in view of what I'm listening to
2 today.

3 That regulation remained in effect for
4 quite some time -- well, it was promulgated in 1988.
5 In my business, that's quite some time for a
6 regulation to stay in effect. Since the time of the
7 passage of that regulation, as you may expect, there
8 has been a great deal of pressure on the board from
9 pharmaceutical companies, physicians and consumers to
10 revoke, or at least amend, the ban against prescribing
11 anorectics for weight loss. The board has resisted
12 that, since then, for a number of reasons.

13 As I promised I'd be short, I will be
14 short. I won't go through all the stories that I have
15 for you, but it had to do with the cases that came
16 before the board, of physicians obviously trying to
17 meet the need of their patients who, unlike most drugs
18 that are prescribed for people because the physician
19 thinks that they need it, these are drugs that the
20 people want their doctors to prescribe for them
21 because they think they need it for a lot of obvious
22 reasons. As a consequence of that, motivational
23 effect on the physicians, the pressure on the
24 physicians, the board was getting a number of cases of
25 something that, I guess, is not -- well, I won't say

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 that. That's too flippant -- that is the other side
2 of the coin here that hasn't been talked about which
3 is misuse, as opposed to abuse.

4 The Board of Medicine in Massachusetts and
5 other states is concerned with both things: abuse and
6 misuse. However, as a consequence to some very
7 learned proposals to the board, notably Doctor George
8 Blackburn and his colleagues from New England
9 Deaconess -- I hope that's right -- the board did
10 consider, and in fact, amended its regulation, this
11 prohibitive regulation, last year to allow for a
12 waiver of that regulation banning the use of these
13 drugs, so long as the use of drugs were part of an
14 institutional review board approved protocol that was
15 submitted to the board in advance for its approval.
16 The board passed that regulation and there is such a
17 test going on now.

18 Since that time, with the introduction of
19 some new board members, a fairly profound change has
20 come across the land in Massachusetts. In the early
21 summer, the board instructed me and the staff -- based
22 on some of its thoughts about what you all are talking
23 about here and what the board members have been
24 presented with by some of their colleagues in the
25 industry and patients -- asked us to come up with a

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 regulation which allowed the board to think about what
2 its role was in this regard, and to think about what
3 the FDA's role was in this regard, and actually who
4 was doing what, and who should be doing what. They
5 didn't give us much more of a guidance on that except
6 to say that does our restrictive regulation -- is it
7 an attempt to second-guess what, in fact, is the job
8 of the FDA to do? That is, to decide what drugs ought
9 to be available for what uses and what the labels
10 should say, as opposed to what the licensing board
11 ought to do.

12 We thought that was somewhat of a change.
13 We understood where it was coming from. The day
14 before yesterday, the board voted to put out for
15 public comment, the following amendment to its
16 regulation. Remember what the past one said. This
17 one says "the licensee is prohibited from prescribing
18 any controlled substance in Schedule II for its
19 anorectic effect." A very profound change.

20 We also presented and it will be put out
21 for public comment -- it's still in draft form. I'll
22 give you my address in a minute and if you would like
23 it, I will be happy to send it to you -- a policy
24 statement which takes the issue for the medical board,
25 rather than banning a drug for what it does and for

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 what the motivations are and brings it into a
2 discussion of off-label use. That is, instead of just
3 banning a drug per se, the board wants to put out for
4 public comment and hear people's thoughts about
5 looking for substandard uses of that drug, or misuses
6 of the prescription off-label. So that we can rely on
7 what the federal government is telling us in terms of
8 what these drugs should be used for, looking at
9 doctors who are using these drugs in ways that they
10 shouldn't be used and dealing with them on that
11 regard.

12 It's a very controversial issue. I'm sure
13 you all know that better than I do. It's very
14 controversial in Massachusetts. As you've heard, it's
15 controversial in Virginia. It's going to be debated
16 in Florida in the context of state boards. If anybody
17 is interested in receiving our draft regulation or
18 this policy statement and would like to provide
19 comment, you can send it to me. My name is Alexander
20 Fleming at the Massachusetts Board of Medicine. The
21 address is 10 West Street, Boston 02111. If you feel
22 inclined to comment, ask me, I'll send you this and
23 we'd like to hear your comments. Thank you very much.

24 CO-CHAIRMAN MEISCH: Thank you.

25 We have now Doctor Tolliver of the DEA.

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR TOLLIVER: My name is Doctor James
2 Tolliver. I'm with the Drug and Chemical Evaluation
3 section out of the Office of Diversion Control at DEA.
4 I'm here in an official capacity, being asked to
5 provide information on DEA, or law enforcement
6 encounters with the drug, fenfluramine. I actually
7 mention the fact that I'm here in an official capacity
8 because I understand according to one of my colleagues
9 that attended the meeting, that there was some comment
10 to the effect that DEA did not have any information.
11 We did not send any individual to this conference
12 yesterday, giving them the authority to make those
13 kinds of statements. But I am here today in that
14 capacity.

15 I want to provide you with this
16 information because, although it's not necessarily
17 evident today according to what has been done, the
18 diversion from legitimate sources is one of the
19 criteria that's used for consideration of scheduling
20 of drugs. It is data that should be considered before
21 any kind of a decision is made to do a scheduling
22 action. So, at this point in time, we simply want to
23 provide you with what we have in terms of information
24 on the encounters with this drug by law enforcement
25 officials.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 The sources of the information are up here
2 on the board. The screen here that I have used for
3 this talk, and it includes the system to retrieve
4 information from drug evidence, our STRIDE system.
5 Whenever a drug is encountered, it is sent to the DEA
6 laboratory for confirmatory type analysis. Additional
7 data can be obtained. We can get the file number from
8 STRIDE information, and then we can subsequently go to
9 the case file and collect additional information on
10 that particular case.

11 Another data source that I've utilized is
12 the United States Customs Laboratory, the scientific
13 and services database. We'll talk more about that
14 shortly. The United States Customs Office of
15 Intelligence and what is, basically, the customs
16 database, another separate database of law enforcement
17 Customs encounters with drugs. It's called TECS, for
18 short, and it stands for the Treasury Enforcement
19 Communications Systems.

20 Again, by way of introduction, DEA
21 Laboratory System, these are the locations of the
22 laboratories where the drug analyses are done.
23 Virginia, Washington, D.C., New York, Miami, Chicago,
24 Dallas, and two in California. The United States
25 Customs also has their own laboratory system in the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 New York, Savannah, Georgia, Chicago, New Orleans, Los
2 Angeles, San Francisco, and San Juan. I don't know
3 that much about the Customs laboratory system, but the
4 DEA laboratory system accepts exhibits from both state
5 and local laboratories, as well as from Customs.
6 You'll see a combination of the both.

7 I'm going to start out by talking about
8 the STRIDE system. These are encounters by DEA. You
9 can see in the first column is the year. The second
10 one is the agency. I told you already that we accept
11 drug exhibits from state and local, as well as Customs
12 and DEA. You'll see Customs on the next page. And
13 you can see the location as to where the encounters
14 were made. You can see how it was obtained, whether
15 it was a seizure or some kind of a purchase. Or in
16 some cases, it's simply unknown. The last one is the
17 quantity on the side. 500 tablets in the first case,
18 down to just as low as one tablet, for example, if you
19 look at 1975. These are all obtained in DEA cases and
20 have been submitted to the laboratory. This is what
21 has been analyzed.

22 This takes us through 1978. Then we skip
23 a few years and go up immediately to 1984 and then to
24 '85. There are Customs' cases in '88 and a limited
25 number of cases in 1990, '91, '92. Then we go up to

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 '94. The Customs' cases, we'll see a lot more of
2 those cases shortly, but you can get a good idea as to
3 what kinds of cases they are. A lot of them, at least
4 in this case, they were all coming from the Laredo,
5 Texas border. They were individuals that were
6 attempting to smuggle into the United States from
7 Mexico, the drug Ponderex, which is benfluramine.

8 Just to give you a flavor of a couple of
9 the other cases, if you look at 1990, DEA in
10 California sees 71 tablets. This was a medical clinic
11 for weight loss. The nine tablets was a pharmacist,
12 who was dealing out a lot of controlled substances
13 from his pharmacy. A particularly interesting case --
14 well, 1992, I should mention since this is a powdered
15 material. In this particular case, it was a chemical
16 company that had ordered some dexfenfluramine and
17 brought it into the country, and they did not have a
18 DEA registration to do so. So, it was seized and
19 subsequently destroyed.

20 In 1994, there was a case of particular
21 interest to us. The bottom case here in which there
22 were three different exhibits: one exhibit of 360
23 tablets, another exhibit of 6,000, and still a third
24 exhibit of 12,000 tablets. This particular case
25 involved a Chinese herbal shop located in Houston,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Texas, who was bringing in large amounts of a variety
2 of drugs including fenfluramine. In this particular
3 case, we seized 30 million what are called black pearl
4 tablets. These are usually made of diazepam.
5 Diazepam is being seen everywhere in all kinds of drug
6 cases, and you'll find diazepam mentioned in the
7 Customs' cases in just a minute. And this case also
8 involved steroids.

9 So, steroids, black pearls with diazepam,
10 and the fenfluramine was coming out of China. It was
11 labeled as being used as an anti-obesity substance,
12 and also as an anti-diabetic agent. It was found in
13 cabinets in the back of the Chinese herbal shop in
14 Tucson. This particular case was also of significance
15 in that it involved not just Tucson, but it also
16 involved Los Angeles and a few other areas as well.

17 That is what we have in terms for DEA in
18 terms of DEA encounters with the drug. We're going to
19 next look at the U.S. Customs database. We've only
20 recently obtained this information. These are
21 seizures that occur directly off of people. In other
22 words, you're at a Customs' port, entering into the
23 country and you're asked to declare what you have.
24 You don't declare it, they decide to search you, and
25 they find the substance on you. They immediately

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 seize it because you basically lied to them and you've
2 attempted to bring it into the country. This is all
3 coming in from the Laredo port and it was purchased
4 in, for the most part, Nuevo Laredo in Mexico.

5 You can see that a lot of these cases
6 involve, also, diazepam. Esbelcaps is a combination
7 preparation that also contains diazepam as well. But
8 the other diazepam there, for the most part, is
9 valium, the ten milligram tablets. The two tablets,
10 you're going to see that over and over again. That is
11 not an indication as to how much was seized. It is
12 strictly an indication as to how much was submitted to
13 the laboratory for confirmatory analysis. I have not
14 been able, in the time, to get the actual amounts that
15 were seized.

16 This is 1988. If you look at the next
17 slide, it continues with 1988. You see some other
18 drugs here, diethylpropion. The diethylpropion is in
19 the form of tenuate dospan which is quite often seen
20 in many of the southern forensic laboratories because
21 it is an extremely popular drug that's brought into
22 the United States from Mexico. Down towards the
23 bottom here, if you look at 4/1/89, Laredo, you can
24 see also clorbenzorex. Clorbenzorex is not a
25 controlled substance right now. It's name is Acylex

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and a lot of laboratories now in the South, forensic
2 state and local laboratories, et cetera, are
3 encountering this particular drug. You can also see
4 flurosimide, a diuretic.

5 Again, it continues to '89, Laredo, Texas,
6 and here you see you more of the ponderex is what
7 you're going to see throughout. All right, there's no
8 pondimin, per se, it's strictly ponderex and you can
9 see it in many cases with diazepam, diethylpropion,
10 phentermine. And then you see two other different
11 brands, actually again, combination products,
12 containing diazepam coming out of Mexico.

13 That brings you up to 1990. 1990, same
14 thing, basically, going down the list. I see
15 meprobamate there. Into 1992, dexfenfluramine, clear,
16 colorless liquid, that's what the report said. I have
17 no idea, really, what that is. All these, for the
18 most part, are tablets or capsule type preparations.
19 Nothing, actually, in 1993 at all that was analyzed in
20 the Customs' laboratory.

21 We get up to 1995. You can see it in San
22 Francisco. This is coming primarily from the airport.
23 Again, I want to stress that the one tablet or the one
24 capsule is only what was sent to the laboratory for
25 analysis, okay? It doesn't say anything about how

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 much was actually seized. And that's it. That's as
2 far as the database has gone so far. The laboratory
3 system in general is supposedly about -- although you
4 do see one 8/11/95, the laboratory system is usually
5 a couple of months behind in terms of their analysis
6 work on drug samples submitted.

7 Now, what I've showed you up to this point
8 is Customs' seizures coming off of individuals at
9 ports of entry. The next thing that I would like to
10 show you are seizures from United States Customs that
11 have occurred through the mail. That is, coming into
12 the country here via the mail. You only have data
13 back to 1991. You know, it's pretty much tabulated
14 out here for you. I have put the quantity. I put
15 tabs when I knew what the tabs were, how many tablets
16 were involved. GR stands for grams. In this case,
17 we're talking about mail facilities and they did not
18 submit these. These are not submitted to any
19 laboratory for analysis. They seize the material.
20 They record what it was, based upon the packaging
21 material and what they know about what the tablets,
22 capsules, whatever look like, and then they simply
23 destroy it, dispose of it.

24 The gram weight is the total weight of
25 both the fenfluramine and the valium. So, they did

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 not make a distinction there as we would in DEA
2 laboratory, for example, or a Customs laboratory, how
3 many tablets in the cases of the GRs. So, I don't
4 know and I do not have a decent conversion into dosage
5 units for that. But you can see, again, valium is in
6 many of the samples.

7 You can see where it's coming from. I
8 have up here the date and the next column is the
9 origin. It's the country from where the mail
10 originated. The destination -- you can see that the
11 mail is going to various locations, whether it be
12 Massachusetts, Ohio, Florida, California, New York,
13 Illinois, Indiana, Washington State, et cetera. And
14 quantity, and then finally, the drug that was put down
15 on the form. You see that the United Kingdom, Greece,
16 Belgium and a particular note -- you need to look at
17 Thailand. In '94, you can see that basically all of
18 that, with the exception of two mail orders from the
19 United Kingdom and from Greece, all of it is from
20 Thailand.

21 I was able to get information from the
22 TECS system. The TECS is a very secretive type of
23 system strictly for law enforcement, but I was able to
24 download some information from that system. They let
25 me into it for that purpose. What I was able to

SAG, CORP
4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 determine is that -- what the TECS allowed me to do
2 was to actually get the name of the individual to
3 where this was supposedly going, okay? It also
4 allowed me, if it was known, to get the address of
5 where it was coming from. We know it's Thailand. We
6 know it's somewhere in the United States, but it
7 doesn't tell us anything, just based upon this, where
8 it's coming from, where it's going to.

9 An examination of the TECS for these
10 Thailand cases, what it showed is that the vast
11 majority of these people were Asians. So, it was
12 going from Thailand to individuals that are Asians
13 located within the United States. The other thing
14 though on the other side of the coin, trying to find
15 out where it came from, we were able to determine that
16 it's Thailand. But it was not possible because the
17 people did not put, for the most part, the addresses
18 on as to where they came from. So, you see that.

19 I think I have one more just giving a few
20 more -- whoops, is that all? I thought there was one
21 more. Maybe not. Yes, there is.

22 The rest of this is Thailand as well. So,
23 we don't know the origin of it, with the exception of
24 two. Actually, two of the cases, we were able to get
25 an address but we don't know whether it was a

1 pharmacy, whether it was just a private individual or
2 what. It's coming in from Thailand and it's going to
3 a variety of locations. The data system, at this
4 point, basically, just hasn't been updated. It stops
5 at around June, July. So, we don't have any data more
6 current than that.

7 This is all that we have in terms of law
8 enforcement encounters at this time. I will mention
9 one other thing. By the nature of what I do, very
10 frequently I talk to a number of forensic laboratories
11 each and every week across the country on various
12 drugs, whether it be benzodiazapenes or whatever to
13 find out what is being found in their laboratories.
14 I can tell you that most of the laboratories,
15 particularly in the South, are aware of fenfluramine
16 and have encountered it from time-to-time, but it's
17 not that frequent. But they do encounter the drug.
18 When they're encountering it, it is part of a case.
19 Right now, there is not a laboratory system in place
20 in which we can funnel all the data from state and
21 local laboratories into one database. This is
22 something that we're working on. We can't do that
23 yet. But that's as far as we've taken it. So, there
24 are, in fact, law enforcement cases involving this
25 particular drug.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 CO-CHAIRMAN MEISCH: Okay, thank you.

2 Doctor Wright?

3 DOCTOR CURTIS WRIGHT: Well, I have a
4 couple of questions to try to characterize what this
5 trafficking involves. From the slides, as I tried to
6 keep a rough tally as he showed them, it seemed that
7 there was a large collection of border cases involving
8 Mexico, a significant number of mail cases involving
9 Thailand, and then a scattering of cases involving the
10 UK and other Commonwealth or similar countries.

11 Do you know the control status of this
12 drug in Mexico or Thailand, or can the company provide
13 us with some information on that?

14 DOCTOR CICERO: It's not controlled in any
15 country in the world.

16 DOCTOR CURTIS WRIGHT: We're the only
17 country in the world that controls this?

18 DOCTOR CICERO: That's correct.

19 DOCTOR CURTIS WRIGHT: Under those
20 circumstances, how would a traveler or an Asian know
21 that it was a violation of our law to bring this
22 across the border or to mail this into the states.

23 DOCTOR TOLLIVER: They wouldn't know. I
24 don't know of any way in which they would know that.

25 DOCTOR CURTIS WRIGHT: I'm sorry. I

1 didn't mean to interrupt, Elizabeth.

2 Do you have any cases that have a
3 smattering of diversion to more traditional illicit
4 drug trafficking, known purveyors of illicit
5 substances to whom substantial quantities are being
6 brought into the country?

7 DOCTOR TOLLIVER: No, not really. Only a
8 couple of those cases involved, really, cocaine, for
9 example. Most of them involve other anorectic drugs
10 and so forth.

11 DOCTOR KHURI: Doctor Wright has spoken to
12 my confusion, to some extent, but I found Doctor
13 Tolliver's presentation of seizure evidence of
14 interest, especially the geography and the range of
15 doses from one pill to many, many grams. I'm not
16 fully sure I understand how germane it is to the focus
17 of our deliberations today because the seizures were
18 made because of the law of Schedule IV control and are
19 not evidence of abuse, is the comment.

20 DOCTOR TOLLIVER: Well, I think what
21 you're considering here is the potential for abuse.
22 Under the law, that is one of the considerations that
23 you make. In fact, it is an or. There are four
24 considerations that you make when you're looking at
25 whether or not a drug should be abused. Each of those

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 considerations is followed by an or statement and not
2 an and. One of those is whether or not the drug is
3 found in the illicit traffic.

4 Yes?

5 DOCTOR LUISADA: On the mail seizures by
6 the Customs Department, were those packages labeled on
7 the outside with the contents?

8 DOCTOR TOLLIVER: No. No. And some of
9 them were hid in the -- to give you some examples that
10 I can remember off the top of my head, one case
11 involved hiding the tablets in children's books.
12 Another case involved hiding the tablets in-between
13 blankets. These are the mail coming in. Just another
14 one that I can remember out of Laredo, for example,
15 the guy had strapped -- this was the 360 tablet one.
16 The guy had actually strapped the tablets around the
17 knees and the legs, with tape and so forth. So,
18 hopefully, it wouldn't be detected.

19 DOCTOR CURTIS WRIGHT: So, there was a
20 presumption that at least these individuals knew that
21 what they were doing wasn't acceptable?

22 DOCTOR TOLLIVER: Yes. Well, yes, if I go
23 back and I think in those terms and I go back and
24 think of your question, all these, for the most part,
25 coming out of Laredo, certainly, all right, were

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 hidden, all right? I only have information on those
2 coming out of Thailand. The Customs' inspectors have
3 told me that there was no indication on the label, on
4 the box, on the package, that they contained
5 fenfluramine. In fact, a Customs' agent if he looked
6 at the package by itself, would not be able to tell
7 that this was a drug. That happened with all of them,
8 okay? That, I can tell you.

9 So, in that sense, there was concealment.
10 So, I would assume that they would probably know. The
11 one other thing that would seem reasonable to me is,
12 if you send a package and it doesn't reach its source,
13 and then you send another package and it doesn't reach
14 its source, then it might start telling you that
15 something is wrong. Although they continue to do it.
16 But we don't have any indications that it all came
17 from one source. This was one of the things that we
18 were trying to do is by looking into TECS, to figure
19 out whether or not it was coming from different parts
20 of Thailand. For the most part, a return address was
21 not provided, nor was the address of origin provided.

22 DOCTOR CURTIS WRIGHT: And the large
23 commercial seizure involved its sale as an anorectic
24 agent, apparently?

25 DOCTOR TOLLIVER: We don't know exactly

1 what they were using it for, all right? That is what
2 the labeling that was on the packages of fenfluramine
3 -- it was coming in from China. It was labeled in
4 Chinese on one side; American on the other. It had in
5 big letters up at the top, "anti-obesity, anti-
6 diabetes." It said the Chinese Institute of
7 Pharmaceutical Medicine or whatever on the other side.

8 Yes?

9 CO-CHAIRMAN MEISCH: Doctor Klein?

10 DOCTOR KLEIN: Could you characterize the
11 type of drugs, in general, that you're seeing coming
12 across at the Laredo port of entry?

13 DOCTOR TOLLIVER: Okay. I think Mike saw
14 my one slide that I did not put up here. If I could
15 have that slide for just a minute?

16 Back in July, I spent some time down at
17 the Laredo border crossing. The purpose of the time
18 down there was to characterize in detail exactly what
19 drugs are coming across the border from Mexico into
20 the United States at that one crossing. What I was
21 able to do during that time, as these drugs are
22 declared. They're purchased in Nuevo Laredo for the
23 most part and then they are brought across. As long
24 as you have only what's considered personal use
25 quantities of the drug, which is a certain amount

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 above which if you go the drug is seized, but below
2 which you're able to declare. The people at the
3 border station have got to put it out in writing.
4 There is a declaration form that they have to fill
5 out.

6 What I did is, I spent a week there and
7 looked at declarations for three weeks, over the time
8 period of July 1 to July 21, 1995. I examined a total
9 of 1,679 declarations. This is a breakdown of the
10 most popular drugs that were found on the
11 declarations. There are a whole slew of drugs
12 underneath, all of which have been found less than 17
13 times. But you can see here that valium was, by far,
14 number one. I can not stress enough -- we're
15 analyzing this more and more now -- the extent to
16 which valium is appearing in everything that law
17 enforcement is really encountering in terms of drugs.
18 It's everywhere. We're encountering it constantly
19 now.

20 So, you see here, the declarations. 1,156
21 were valium. Rohypnol is a drug of real concern to
22 us. That was number two. It is a hypnotic that is
23 not available in this country. It was the second most
24 popularly mentioned drug on the declarations. Tafil
25 is alprazolam. So, right here, you have three

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 benzodiazapenes in a row. Then you get to tenuate
2 dospan which is diethylpropion. You can see asenlix
3 which is not controlled. Clorbenzorex was number
4 five. Then you have three analgesic preparations in
5 a row. Then you get to the next one, diminex, which
6 is phendermine. Then you go down to diestet which
7 happens to be mazendol. Then a couple of others down
8 there -- the neobes also happens to be diethylpropion
9 which, by the way, is Schedule IV. And then ponderex
10 which is the benfluramine, was next in line. It was
11 fairly low down on the list in terms of what was
12 declared at the border crossings.

13 DOCTOR KLEIN: All right, thank you.

14 CO-CHAIRMAN MEISCH: Any more questions?

15 DOCTOR CURTIS WRIGHT: I would just like
16 to thank Doctor Tolliver for coming. That was
17 extremely helpful.

18 DOCTOR KLEIN: I just have one more
19 question.

20 In the seizure, since they're personal use
21 items, was there any antibiotics seized?

22 DOCTOR TOLLIVER: Antibiotics? We saw
23 them very occasionally, less than three or four of the
24 declarations, for the most part. It was very, very
25 rare to see antibiotics, anti-viral medication,

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 anything like that.

2 One of the things, if you noticed, on this
3 list, the vast majority of them were controlled
4 substances that were found. In fact, if you look at
5 the declarations on the whole, over 90 percent of them
6 had benzodiazapenes of one form or the other. Over 97
7 percent of all the declarations had controlled
8 substances in them.

9 DOCTOR YOUNG: In our packet, Doctor
10 Tolliver, there was a letter dated December 2, 1991,
11 from Robert Bonner, the Administrator of DEA,
12 concluding on the basis of, apparently, data up
13 through 1991 -- DEA said there was not an abuse and
14 did not indicate any significant levels of abuse. I
15 gathered from your presentation that you would not
16 change that reading of the situation?

17 DOCTOR TOLLIVER: Well, that's for you to
18 decide. At the time, we did not have Customs' data
19 either. We had very little. It was very limited. We
20 also did not have information -- all I can tell you is
21 what I've talked to from the phones and so forth. I
22 wrote that letter and it was signed by him, but it was
23 based upon, basically, on what DEA had. We had no
24 Customs' data at all, and very little in terms of
25 state and local at the time, all right?

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR KRAMER: If I may, I don't recall
2 you mentioning that any of the seizure data that you
3 had from your last list included amphetamines. That
4 it did include other anorectic agents, but it didn't
5 include amphetamine proper?

6 DOCTOR TOLLIVER: That's right, yes.
7 Amphetamine was not one of them. Bring amphetamine
8 across, it's going to be seized. It's just that
9 simple. There's no such thing as putting it on a
10 prescription form, Mexican prescription and bringing
11 it in, or anything like that.

12 CO-CHAIRMAN MEISCH: Doctor Wright, what
13 are you wishes at this point?

14 DOCTOR CURTIS WRIGHT: Well, I think we
15 have two more parties to hear from. We have CPDD and
16 the Stimulant Society.

17 Have they managed to hang in here? No,
18 they've departed.

19 I'd like to take about four minutes and
20 talk about what we've done in the past with
21 surveillance when there's a concern about a substance
22 that either we did not schedule, or that we're
23 concerned about in some way.

24 This is an evolving business because we
25 are learning how to do this. It was driven by the

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 fact that in some recent high-risk approvals -- I
2 think probably the best one is the fentanyl oralet
3 which had a safety concern in terms of children.
4 Vigorous control by the marketing company has resulted
5 in a perfect safety record in the first year of
6 marketing which is unheard of for a narcotic. I mean,
7 zero serious adverse events. So, it became clear to
8 us that just as with Parke-Davis and oral
9 chloroenphenicol, if a pharmaceutical firm chooses to
10 take vigorous action, it is possible for them to be
11 very effective.

12 The things that we required usually in the
13 various meetings of this Committee over the iterations
14 that we've been through in the last six years have
15 been that there be sufficient scientific study to
16 adequately describe the probable risks associated with
17 the compound. That specific plans be made to place
18 surveillance systems that will detect potential
19 problems. That any reports of problems be evaluated.
20 That an intervention of significant problems be
21 placed, and that the report of those interventions be
22 provided to the Agency as part of the annual report.

23 In this particular case, I have heard a
24 number of things. I've heard a suggestion that a CSF
25 study was appropriate. I've heard a suggestion that

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a long-term study in patients looking with sensitive
2 measures. I really do not have the energy to ask
3 Doctor Mann to go into those in detail at this time,
4 though I think he would. It was described. In terms
5 of the terminal events, or the events that people
6 appear to be concerned about, certainly, suicide was
7 one, drug abuse was one. I think that the primary
8 division is concerned about primary pulmonary
9 hypertension and neurotoxicity and will take of that
10 quite well.

11 The populations that I heard today were
12 eating disorder populations, drug abusing populations,
13 school populations, criminal justice populations in
14 the context of parolees or individuals in, for
15 example, methadone programs where urinalysis is
16 commonly done. Athletes, and as part of that, the
17 body-building athletic culture and possibly female
18 athletes as a group particularly at risk.

19 I think that if you have a recommendation
20 to make about post-marketing monitoring, that it
21 should be that a specific program be implemented.
22 That it be negotiated between the Agency and the
23 sponsor, and that it sample those kinds of areas or
24 others that you may recommend. I also am very
25 sensitive to the comment that was made by Doctor

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 Tolliver. I do think that it is important that we
2 acknowledge the importance of criminal justice
3 information in making an abuse determination. And if
4 we still have a quorum, you may wish to readdress your
5 decision of earlier today, in the context of any post-
6 marketing data that you may or may not want to
7 request.

8 CO-CHAIRMAN MEISCH: All right. Any
9 comments from the Committee members on surveillance
10 post-marketing agreements?

11 Yes?

12 DOCTOR BORHANI: I think, based on what we
13 have heard, I have no question in my mind that
14 definitely, there ought to be a set of very rigorous
15 and well designed, and if I may be permitted to add
16 the word "controlled and supervised" surveillance
17 program in conjunction not only between FDA and the
18 sponsors in the pharmaceutical industry but the DEA
19 and all the other agencies that we just heard.
20 Because it is definitely clear to me now that there is
21 a good database available and this database could be
22 tapped if the confidentiality is preserved, which I'm
23 sure will be if FDA and the DA work on this.

24 So, I think that will give us not only
25 information that we could use in terms of any abuse or

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 potential abuse, but in terms of other aspects of the
2 use of this particular drug, especially neurotoxicity,
3 psychological behavior and other personal behavior
4 that the people who legitimately take these drugs will
5 develop these kinds of symptoms that hopefully, we
6 will have a good database.

7 If I may add, I would like to suggest if
8 it is possible, perhaps you would like to consider CDC
9 people involved in this so that they can work
10 together. Because they collect these kinds of data
11 and other aspects of the American people's health.
12 So, it would be good for us to have a good database at
13 this point. So, I definitely think that's going to be
14 a good thing to do, and I hope we end up doing it.

15 CO-CHAIRMAN MEISCH: All right.

16 Yes?

17 DOCTOR KHURI: Indeed, I agree with Doctor
18 Borhani. My original vote was, of course, predicated
19 and it assumed that we would decide question three in
20 such a manner. I would add, of course, the list of
21 targeted surveillance which Doctor Wright has
22 delineated is extremely important. It would obviously
23 be the most effective, as well as cost effective way
24 of doing this.

25 But I would also say that surveillance is

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 detection, but we are also interested in evaluation
2 and intervention in cases of abuse as mentioned in the
3 question. I think I would be very interested,
4 although it not be implemented yet, in knowing what
5 the interventions would be.

6 CO-CHAIRMAN MEISCH: All right, thank you.

7 Doctor Bone?

8 DOCTOR BONE: Thank you.

9 It seems to me likely that the type of
10 misuse I asked about earlier when we were trying to
11 draw a distinction between abuse and misuse with
12 respect to, in effect, illicit use for the intended
13 purpose by, for instance -- this might be an overlap
14 with the school-age population, but it might not
15 entirely -- is probably more likely to be a problem
16 than abuse of the drug for purposes of the user's
17 becoming intoxicated in some way, that they would seek
18 to be intoxicated.

19 It strikes me that that kind of use would
20 probably involve maybe slightly different channels
21 from those that are usually thought of as drug abuse.
22 It might add also, the mechanisms of actually seeking
23 to survey the populations at-risk will be somewhat
24 different and will require, perhaps, an active
25 approach that might be less dependent on law

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 enforcement agencies' usual approaches. Although I'm
2 certainly a neophyte with respect to that kind of
3 question. Also, it would be helpful in that way to
4 track back to, perhaps, an appropriate prescribing it
5 as being an original source for some of those users,
6 if there are such users.

7 There is obviously an interest, from the
8 standpoint of the Committee, who is concerned about
9 safety and efficacy primarily in surveillance for the
10 kind of adverse effects that were either expected or
11 postulated based on earlier discussions. I don't know
12 whether it's going to be possible to blend those, as
13 Doctor Borhani suggested, into the same surveillance
14 program, but certainly it would be useful if a
15 complimentary approach can be worked out. So, I think
16 attention to those issues would be valuable.

17 DOCTOR CURTIS WRIGHT: Just so that you
18 know, we do have precedent for tracking to the level
19 of the individual prescriber. There is very good
20 literature tracking back to individual prescribers,
21 both in the oncologic literature for inappropriate use
22 of oncologic agents and also in the literature with
23 respect to using Medicaid and Medicare databases to
24 track for inappropriate prescribing of oral
25 chloroanphenicol.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR BONE: I see.

2 Do you have experience in, for example,
3 looking amongst high school age girls in schools to
4 determine whether there's a prevalence there that can
5 then be backtracked, that kind of thing?

6 DOCTOR CURTIS WRIGHT: Our program that's
7 currently running that's most like that is the
8 dextromethorphan program where a combination of
9 spontaneous reports from schools and surveys of school
10 nurses and school contacts looks for evidence or
11 reports of misuse of dextromethorphan in that school
12 age population. This is a new field and it's limited
13 only by the creativity of the folks at the company and
14 in some of the things that the guidance committees
15 have been able to come up with.

16 CO-CHAIRMAN MEISCH: Doctor Cicero?

17 DOCTOR CICERO: Yes, I think that a more
18 complete description, we've actually got school
19 counselors. There's a group of school counselors,
20 high school -- the athletes, the coaches, trainers,
21 all of those can be contacted by surveys.

22 I think the outline that Doctor Deitch
23 laid out was, in fact, just that. I think clearly,
24 this needs to be thought about. And as he indicated,
25 not only a consultation with the FDA, but you're going

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to need an expert committee to get together to
2 actually help in the design of this. What should it
3 look like? I think what we're sort of asking for
4 today, which is very helpful, is the type of input.
5 Clearly, this will have to not only be negotiated with
6 the FDA, but I think there needs to be some expert
7 group of people who do know sports medicine, who do
8 know the areas that were brought up today that could
9 help and say, "okay, what makes sense in this case?"

10 Clearly at this point, it appears that we
11 have no abuse. It seems like there's very little
12 misuse. I hear the concerns that people seem to be
13 expressing that there may now be because it's de-
14 scheduled. Well, if it is, then we ought to be able
15 to target which groups that it's likely to occur in,
16 and get in there and take a look at it. With the
17 wealth of the talented people in this field, I would
18 think that that's certainly feasible.

19 What I heard the company saying -- and if
20 we didn't all hear it, I think the commitment was
21 there to basically to do the very best job possible
22 with an expert committee helping, obviously, in that
23 judgment.

24 CO-CHAIRMAN MEISCH: Doctor Deitch?

25 DOCTOR DEITCH: Let me just give you an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 example. Maybe we're talking about the same thing.

2 Around the time AH Robbins was acquired by
3 American Home Products Corporation, we became aware of
4 abuse of Robitussin. By setting up a fairly well
5 thought out program to intervene -- which I think is
6 what you'd like to hear, what was said -- using local
7 pharmacists, local educators, essentially SWAT teams
8 went into the areas where this was occurring. They
9 essentially drove it down to a zero base within, I
10 think, about a three to six month period of time.
11 They continued to educate. They continued those
12 programs in those places where there were vulnerable
13 populations. I think, really, what we have to think
14 about is where are the vulnerable populations?

15 One thing we certainly can start with is
16 when you have this unique situation of going from a
17 database of about a million to a 1.2 million
18 prescriptions, we have an excellent way of looking at
19 where is it being used now and using that as the
20 starting point and being able to detect very quickly
21 once it is de-scheduled, if there are changes in
22 patterns.

23 Just go back to the point of the fact that
24 97 percent of the uses now have been shown to be for
25 obesity treatment. We need to go a little bit further

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 and drill down and be sure that that is exactly what's
2 happening. Look at prescriptions themselves, how many
3 tablets are being prescribed, what the average dose
4 is. There's a thing in the industry we call dacons,
5 daily counts, how is it being used and so on. So,
6 there's a wealth of data. We are certainly willing,
7 as we always are, to meet with the Agency and to hear
8 your suggestions, and come up with a program that is
9 acceptable to all.

10 CO-CHAIRMAN MEISCH: Go ahead.

11 DOCTOR KHURI: A quick question and then
12 another question.

13 As someone interested in adolescent drug
14 abuse, was the Robitussin abused for the alcohol or
15 what?

16 DOCTOR DEITCH: No, it was Robitussin. I
17 mean, I'm a pediatrician also. I couldn't understand
18 why in the world they would want to abuse ordinary
19 Robitussin which makes you sick.

20 DOCTOR KHURI: Right.

21 DOCTOR DEITCH: But in fact, rapid
22 ingestion of large amounts, four ounce bottles --
23 maybe it was a placebo effect, maybe it was a
24 hysterical effect. We don't really know exactly what
25 it was. Maybe some of the drug abuse people here are

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 more familiar with it. But it came up. We put it
2 down and it disappeared.

3 DOCTOR KHURI: Well, I'm a drug abuse
4 person and I don't know about that. But we do know
5 about garbage heads.

6 DOCTOR CURTIS WRIGHT: We actually have
7 two advisory committee reports on that --

8 DOCTOR KHURI: Yes, okay.

9 DOCTOR CURTIS WRIGHT: In the quantities
10 that these were consumed, this was a psychoactive
11 drug. It was predominantly, probably, a sigma effect.

12 DOCTOR KHURI: Okay. I did have a
13 question. I was extremely interested in the pulmonary
14 hypertension data shown by Doctor Luisada. We might
15 add, actually, pulmonary clinics to our list of
16 surveillance places.

17 But I was a little confused because Doctor
18 Luisada's excellent presentation to me assumed a
19 definite causality. I had understood that this
20 detection was an association and not an absolute risk.
21 There was a strength of association, but it's still a
22 remaining question, in my mind, because there could be
23 so many other factors in the diagnosis, causing the
24 disease -- admittedly rare, but terrible disease.

25 DOCTOR LUISADA: Initially, this was an

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 association. Because of this association, the
2 sponsor, Servier commissioned the study that was
3 conducted by Doctor Abenhaim of Montreal, a very
4 carefully controlled epidemiologic study, case-
5 controlled study that was just completed. We heard
6 part of the initial report yesterday. There will be
7 additional reports coming out over the next few years
8 which showed a very definite relationship.

9 DOCTOR KHURI: That may have been in the
10 briefing materials of the other committee and I missed
11 your presentation yesterday. I'm sorry.

12 DOCTOR LUISADA: Right, right. No, I'm
13 sorry. I assumed that that had been discussed and I
14 should have pointed that out.

15 DOCTOR KHURI: No, we discussed other
16 things yesterday.

17 DOCTOR LUISADA: All right. Well, that's
18 my defect. I should have really pointed that out.
19 This has been an established relationship. The
20 quantification of it is still very indefinite and
21 there are additional reports coming out.

22 CO-CHAIRMAN MEISCH: All right.

23 Other questions or comments?

24 DOCTOR CURTIS WRIGHT: I'd like to hear
25 Lisa's venue.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 MS. MOJER-TORRES: I'm still thinking.

2 DOCTOR CURTIS WRIGHT: We're getting down
3 to closure. I think we do need to know -- we do need
4 a definite signal from you as to whether you think
5 that -- I heard a number of opinions and a number of
6 comments, but I need a real definite signal as to
7 whether you think that a post -- and it's really not
8 a post-approval, but a post-decontrol, a monitoring
9 plan, is essential. I'd appreciate it if you would
10 address that question as a Committee.

11 DOCTOR BORHANI: Do you need a motion to
12 discuss this formally?

13 CO-CHAIRMAN MEISCH: I don't think so.

14 DOCTOR CURTIS WRIGHT: It's Question
15 Number 3. We need an answer to Question Number 3.

16 CO-CHAIRMAN MEISCH: Go ahead, please.

17 DOCTOR KHURI: Well, my answer to that is
18 an extremely strong recommendation. I for one, and I
19 believe the other members of the committee would not
20 have voted for decontrol at all, which is not voting
21 for unbridled use of this substance, but simply to
22 perhaps have more use and to learn more about it. I
23 would say it is absolutely essential to have good
24 detection of use and misuse if it comes up.

25 I think our task was narrowly defined with

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 a narrow definition of what it abused. We decided
2 "no, it was not a drug of abuse" by our narrow
3 definition. But we must have surveillance and
4 evaluation of that surveillance. Not just counting
5 the numbers, but evaluation of what it means and in
6 addition, interventions have been addressed. I
7 believe it is the good intention -- and I hope will be
8 actuated -- of the sponsor to do so.

9 MS. MOJER-TORRES: Well, in terms of
10 listening to everything that's been said, I was
11 particularly impressed with what you said about the
12 fentanyl. I wasn't here at that time, and I have a
13 feeling that the issues, the safety issues, were more
14 directed. Whereas here, they seem to be a little all
15 over the place. They're with the female athletes.
16 They're with the hypertension. The concerns seem to
17 be so spread out.

18 But I was so impressed with the fentanyl,
19 that in a year, there wasn't one incident, one
20 reported incident. I think we should really take a
21 clue from that. I don't know if we can learn -- as I
22 said, I wasn't here, so I don't know -- maybe you can
23 review what --

24 DOCTOR CURTIS WRIGHT: I can spend a few
25 minutes talking about that. That was an extremely

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 high priority concern of the Commissioner, personally.
2 He attended the Advisory Committee, personally. He
3 made it very clear to the director of the Center for
4 Drugs that it was important. Doctor Lumpkin, in an
5 extraordinarily able way, and Lucy Rose worked very
6 well to make a very explicit program that was
7 negotiated very clearly with the company. It was made
8 very clear that if this program was not implemented as
9 agreed to, that the drug would come off the market, no
10 ifs, ands or buts. That was very effective.

11 CO-CHAIRMAN MEISCH: A comment on that,
12 actually, in relation to what we're dealing with here.

13 We're not dealing with a potent opioid
14 such as fentanyl, and we're not dealing with children.
15 The kind of rigor -- and the drug with children, it
16 was, what, only for use in-hospital.

17 DOCTOR CURTIS WRIGHT: The specific
18 restrictions on that was that during the roll-out
19 period, that particular drug was limited to use under
20 conditions of adequate monitoring. A great deal of
21 attention was placed on the label to the detection and
22 prevention of adverse events. It, in essence,
23 resulted in individuals who were trained at the level
24 of an anesthesiologist using the drug. The result was
25 that, under those circumstances, there's remarkably

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 little risk associated with a very potent opioid.

2 I am not clear how that would translate
3 into this class of drugs. I think that's where the
4 creativity comes into play in developing a system.
5 But it is very clear that companies can exert a
6 substantial influence on the marketing of their
7 products should they choose to do so. It's very clear
8 that we are watching very carefully.

9 MS. MOJER-TORRES: See, that's what I was
10 impressed with, the fact that the company took such an
11 active role. Then it wasn't just a bunch of
12 recommendations, and you got your deregulation so, see
13 you later. I was very impressed with that.

14 CO-CHAIRMAN MEISCH: Yes?


15 DOCTOR KHURI: In a way, however, we also
16 are dealing with children here. I get back to my
17 bailiwick.

18 One thing we want to monitor very
19 carefully is, obviously, teenage girls looking to lose
20 weight. We've mentioned that. But also, a bunch of
21 kids trying to have hallucinogenic experience on this
22 drug and getting it. We know that although a lot of
23 things are not sold to under 18 or under 21 year olds,
24 they certainly are highly available.

25 We also know the effect of the media and

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008



1 least bit reluctant to ask for emergency scheduling.
2 And you are empowered if you, in the course of looking
3 at a surveillance program, find an emerging problem.

4 DOCTOR KLEIN: No, emergency scheduling is
5 only for non-approved substances which are in Schedule
6 I.

7 DOCTOR CURTIS WRIGHT: There is no
8 provision for -- I stand corrected. That's why we
9 have Mike. I do not claim to be an expert in that
10 law.

11 The answer is, you would make a
12 recommendation to us or we would make a recommendation
13 through the Secretary to the DEA that this be
14 rescheduled. In general, those go a little easier
15 than de-scheduling, historically.

16 DOCTOR KHURI: Okay. So, in a way, there
17 is an emergency scheduling.

18 DOCTOR BORHANI: If it is all right, I
19 would like to ask a question that I have asked my
20 other friends in FDA before.

21 How difficult is it for FDA, as a whole,
22 taking these recommendations and discussions as you
23 always do, I'm sure, when you consider. Finally, the
24 Commissioner makes a recommendation in this case
25 through the Secretary to do something. How difficult

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 is it for FDA to work -- and I mean work very hard --
2 with the pharmaceutical industry and to get a
3 commitment from the pharmaceutical industry that
4 becomes part of your recommendation? That based on et
5 cetera, et cetera commitment and contribution or
6 whatever, we recommend that we do this. Because this
7 recommendation involves education of physicians,
8 education of pharmacists, public education,
9 surveillance, evaluation, reporting, and they're all
10 going to cost money. Therefore, if you are accepting
11 our recommendation and going through the Secretary, we
12 would like you to know and pass it on to Congress and
13 the President when the time comes, that these
14 recommendations will not affect adversely too much,
15 the national budget that we'd like to balance.

16 You see, I'm really trying to get a handle
17 if FDA could tell -- to be very frank -- to the
18 sponsor sitting behind me, "listen, Buddy, we are
19 going to do this. I think we'll do it, but you're
20 going to commit yourself that so much percentage of
21 your income that comes from this cash flow is going to
22 come to implement this recommendation." I'm just
23 talking that very frankly to see, is it possible to
24 even approach or discuss this so that the taxpayers
25 will not be saddled with another \$2 or \$3 billion for

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 implementing these kinds of recommendations? Or am I
2 just totally naive?

3 DOCTOR CURTIS WRIGHT: You've covered a
4 lot of territory.

5 DOCTOR BORHANI: I know. I know.

6 DOCTOR CURTIS WRIGHT: Let me try to
7 answer it. Then we'll ask the company to answer it.

8 From our perspective, in general, the
9 amount of money that is spent on clinical development
10 -- excluding some pre-clinical work and development
11 work -- but on clinical development and the
12 implementation of clinical monitoring is usually
13 modest in relationship to the amount of money that
14 firms have to spend in terms of promotion of their
15 products. The marginal cost, if you will, of
16 implementing additional attention at the level of the
17 detail person is relatively bearable.

18 It does get passed on to the consumer. It
19 does appear on the price of the drug. I mean, they
20 don't cost it out and say, "FDA's monitoring program,
21 three cents per tablet," but the reality is that when
22 you ask for things, they do cost money and you do have
23 to make sure that they're needed. That's why we don't
24 ask for your recommendations unless we feel we need
25 them. And when you give them to us, we take them

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 seriously and convey them to the sponsors. I think a
2 responsible firm implements them.

3 I'd like to hear what the firm has to say.

4 DOCTOR DEITCH: Am I on the spot now?

5 Let me say a few things. First of all,
6 Wyeth Ayerst Laboratories and Interneuron -- but Wyeth
7 Ayerst Laboratories has been in the business of
8 marketing pharmaceuticals in this country since before
9 many of us were born. We are one of the largest
10 pharmaceutical companies in the United States. We
11 have products in many different categories. We have
12 products in categories that we have concerns about.
13 We have instituted programs of education and
14 monitoring to be sure that valuable equity isn't lost
15 somehow because they are misused.

16 It's a business decision just as well, but
17 I'll give you an example: oral amiodarone, an anti-
18 arrhythmic agent which had been marketed in Europe for
19 many, many years, was approved with a lot of caution
20 back in 1985. Amidst a lot of concern not only
21 inside the company but also in the community of
22 arrhythmologists or electro-physiologists that, in
23 fact, once out on the market, while it was only being
24 approved for severe life-threatening arrhythmias,
25 ventricular tachycardia, ventricular fibrillation --

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ie, last resort -- because it had a very high
2 incidence and still has a high incidence of severe
3 limiting side effects: pulmonary fibrosis and things
4 like that. That it would be misused to treat atrial
5 arrhythmias at a lower dose, as it is throughout the
6 rest of the world.

7 We've been very, very successful in
8 continuing to educate through our sales force, through
9 speaker training and so on, on the absolute proper use
10 of the product. Now, the vested interest we have in
11 that not only is to be a good public citizen --
12 because we are concerned about misuse of the drug --
13 but because we certainly want to protect equity just
14 as well. We're not interested in seeing a product
15 used improperly.

16 You've given us a responsibility today
17 that we don't take lightly. We understand in the
18 first instance that the patients are waiting out
19 there. There are many patients who are not getting
20 treated for obesity, not because the drugs are not
21 available but because physicians are not happy
22 prescribing drugs on triplicate prescription, having
23 to refill the product every so often and having
24 limitations and so on. A lot of plans won't reimburse
25 and so on and so forth. So, the opportunity is there

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 to be able to extend treatment to those people who
2 need it.

3 At the same time, we'd be very foolish to
4 risk the opportunity by not participating and not
5 working as closely as we can with reasonable solutions
6 to all the problems that you've brought up today. We
7 stand ready to do that. We'll meet with Doctor Wright
8 and with others in the Agency, tomorrow if you wish.
9 I think we've been here long enough this week, maybe
10 next week, and begin that process.

11 DOCTOR KLEIN: You should appreciate also,
12 that there is an additional cost to the government, at
13 least to the FDA, because whereas the NDAs, the 90s,
14 are stacking up in my office, they need a certain
15 amount of time as well, and attention. As we are
16 nurturing some sort of a new type of system and
17 following it, they -- exceedingly time consuming as
18 well.

19 CO-CHAIRMAN MEISCH: Yes?

20 DOCTOR KHURI: A small point, but I'm
21 thinking of the cost of surveillance. I want to put
22 the flashlight where the cases are as much as
23 possible.

24 The small addition -- in my experience
25 with abuse of hallucinogenics in young people, you

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 don't find them as much in drug abuse clinics, believe
2 it or not, even methadone clinics. You find them on
3 college campuses. I would alert college infirmaries
4 dealing with bizarre behavior just to screen for this,
5 because they won't think of it unless we tell them.

6 DOCTOR KLEIN: I'd like to ask Doctor
7 Young, hallucinogens are not generally self-
8 administered? Is that correct?

9 DOCTOR YOUNG: Those of the LSD type.

10 DOCTOR KHURI: But almost anything that
11 changes mental state or consciousness -- it's a broad
12 range -- can often be classed as an hallucinogenic
13 experience, although not strictly hallucinogenic.

14 DOCTOR CURTIS WRIGHT: I get the feeling
15 that we're all running out of steam, at least I am.
16 Perhaps, I'm projecting as Doctor Luisada would say.
17 I'd like to have an answer to Question 3, and I'd like
18 to know if you want to reconsider one? I'm not
19 suggesting either way. I'm just asking, in the light
20 of the new information you've heard, do you wish to
21 reconsider?

22 CO-CHAIRMAN MEISCH: Comments?

23 DOCTOR KHURI: Do you want a poll? I, for
24 one, do not want to reconsider number one.

25 CO-CHAIRMAN MEISCH: I don't either.

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

1 DOCTOR BORHANI: I'm comfortable with the
2 way I decided.

3 CO-CHAIRMAN MEISCH: All right.

4 DOCTOR BORHANI: I have to guarantee I
5 really want -- thank you.

6 CO-CHAIRMAN MEISCH: Now, to address
7 Question Number 3: "If decontrol is recommended, does
8 the Committee recommend the sponsor implement a risk
9 management plan to detect, evaluate and intervene in
10 cases of abuse?"

11 DOCTOR KHURI: Yes.

12 DOCTOR BORHANI: Yes.

13 MS. MOJER-TORRES: Yes.

14 CO-CHAIRMAN MEISCH: Show of hands?

15 DOCTOR CURTIS WRIGHT: Is that a quorum,
16 Steve?

17 CO-CHAIRMAN MEISCH: Opposed?

18 Abstain?

19 That was unanimous.

20 DOCTOR CURTIS WRIGHT: Yes. I just needed
21 to make sure we had enough members left.

22 The other question I would -- I'm very
23 sensitive to what Doctor Tolliver had to say. Does
24 the Committee wish to reconsider one?

25 CO-CHAIRMAN MEISCH: We were just polling

SAG, CORP

4218 LENCORE LANE, N.W.
WASHINGTON, D.C. 20008

1 ourselves on that.

2 Doctor Young?

3 DOCTOR YOUNG: No.

4 DOCTOR KHURI: No.

5 DOCTOR BORHANI: No.

6 DOCTOR KHURI: Are you still abstaining?

7 MS. MOJER-TORRES: Yes.

8 CO-CHAIRMAN MEISCH: No need, no desire.

9 DOCTOR CURTIS WRIGHT: Want to close?

10 CO-CHAIRMAN MEISCH: Yes. We're

11 adjourned.

12 (Whereupon, the meeting was concluded at

13 4:34 p.m.)

14

15

16

17

18

19

20

21

22

23

24

25

SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008

CERTIFICATE

This is to certify that the foregoing transcript in
the matter of: Drug Abuse, and Endocrinologic and
 Metabolic Drugs Advisory Committees
 Joint Meeting

Before: Food and Drug Administration

Date: September 29, 1995

Place: Rockville, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



SAG, CORP

4218 LENORE LANE, N.W.
WASHINGTON, D.C. 20008