

UNITED STATES OF AMERICA
 DEPARTMENT OF HEALTH AND HUMAN SERVICES
 PUBLIC HEALTH SERVICE
 FOOD AND DRUG ADMINISTRATION
 CENTER FOR DRUG EVALUATION AND RESEARCH
 ENDOCRINOLOGIC AND METABOLIC DRUGS
 ADVISORY COMMITTEE

MEETING #64

THURSDAY,
 SEPTEMBER 26, 1996

The meeting took place in Versailles Rooms I and II, Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland at 8:00 a.m., Henry G. Bone III, MD, Chair, presiding.

MEMBERS PRESENT:

- Henry G. Bone III, MD, Chair
- Kathleen R. Reedy, Executive Secretary
- Colleen A. Colley, PharmD, Consumer Rep
- Cathy Critchlow, PhD
- D. Roger Illingsworth, MD, PhD
- Robert A. Kreisberg, MD
- Robert Marcus, MD
- Mark E. Molitch, MD
- Maria I. New, MD
- Robert S. Sherwin, MD

FDA CONSULTANTS PRESENT:

- John M. Flack, MD, MPH
- Joanna Zawadzki, MD

FDA REPRESENTATIVES:

- Eric Colman, MD
- Solomon Sobel, MD
- Bruce Stadel, MD MPH
- Gloria Troendle, MD

This transcript has not been edited or corrected, but appears as received from the court reporter. The Food and Drug Administration makes no representation as to its accuracy.

0126 '96 NOV -1 P3:38

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVENUE, N.W.
 WASHINGTON, D.C. 20005

SPONSOR REPRESENTATIVES:

David Heal, PhD
Michael Lean, MA, MD, FRCP
Carl Mondel, MD
F. Xavier Pi-Sunyer, MD
Timothy Seaton, MD
Sylvia Smoller, PhD

PUBLIC COMMENTERS:

Richard Atkinson, MD
Kris Ernst
John Foreyt, PhD
Barbara Hanson, PhD
Lynn McAfee
Valerie Rochester

ALSO PRESENT:

Elliot Danforth, MD
Rodney E. Haddock, BSc, PhD, MRSC, CChem
Finian Kelly, MD
Damian McEntegart
Bramah Singh, MD, PhD
Michael Weber, MD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

A G E N D A

	PAGE
Call to Order	4
Introductions of Participants	5
Meeting Statement	6
 OPEN PUBLIC HEARING	
Speakers:	
Dr. Richard Atkinson	9
Dr. John Foreyt	12
Ms. Kris Ernst	15
Dr. Barbara Hanson	19
Ms. Valerie Rochester	22
Ms. Lynn McAfee	24
 SPONSOR PRESENTATION - KNOLL PHARMACEUTICAL COMPANY	
Introduction	30
Obesity Overview	36
Pre-Clinical Pharmacology	
Dr. David Heal	43
Pharmacokinetics/Efficacy	
Dr. Carl Mendel	60
Safety	100
Dr. Timothy Seaton	
Epidemiologic Benefit/Risk	
Dr. Sylvia Smoller	139
Clinical Benefit/Risk	
Dr. Michael Lean	145
Phase IV/Conclusion	
Dr. Mel Spigelman	156
 AFTERNOON SESSION .	
FDA PRESENTATION	
Introduction	168
Dr. Gloria Troendle	
Medical	173
Dr. Eric Colman	
Epidemiology	199
Dr. Bruce Stadel	
Hypertension	220
Dr. John Flack	
Committee Discussion and Questions	238
Meeting adjourned	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

P-R-O-C-E-E-D-I-N-G-S

8:08 a.m.

CHAIRMAN BONE: I just want to announce that this is the Endocrine and Metabolic Drugs Advisory Committee Meeting. If you are here for one of the other meetings that are occurring in the same facility, it's a good time to leave because we'll be starting the Endocrinology and Metabolic Drugs Advisory Committee Meeting in a few minutes.

Good morning. I'm Dr. Henry Bone. I'm the Chair and I'm calling into order the 64th meeting of the Food and Drug Administration Endocrinologic and Metabolic Drugs Advisory Committee. As I mentioned earlier, if you're here for one of the other meetings that are occurring in this same hotel, they've probably just about started.

The topic for today is the new drug application for sibutramine. We'll be having presentations, of course, by the sponsor and by the Agency.

The first order of business will be the introductions by each of the people here at the front table. Then we'll have the meeting statement by Kathleen Reedy who is the Executive Secretary of the Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 If we could start at the far end on my
2 right, please? If each person will introduce him or
3 her self and their affiliation.

4 DR. ZAWADZKI: My name is Joanna Zawadzki.
5 I'm an endocrinologist in private practice in this
6 area. I'm a associate clinical professor at
7 Georgetown University Medical Center.

8 DR. KREISBERG: Bob Kreisberg,
9 endocrinologist, Birmingham, Alabama, clinical
10 professor of medicine at UAB.

11 DR. CRITCHLOW: I'm Cathy Critchlow,
12 epidemiologist, University of Washington, Seattle.

13 DR. MARCUS: Robert Marcus,
14 endocrinologist, Veterans Affairs Medical Center, Palo
15 Alto, professor of medicine at Stanford University.

16 DR. ILLINGSWORTH: Good morning. Roger
17 Illingsworth, Department of Medicine in the Metabolism
18 Division, Oregon Health Sciences University, Portland,
19 Oregon.

20 DR. COLLEY: Colleen Colley. I'm a
21 clinical pharmacist at the VA in Portland, Oregon.

22 DR. SHERWIN: Robert Sherwin,
23 endocrinology professor of medicine, Yale University.

24 CHAIRMAN BONE: Henry Bone, the Henry Ford
25 Hospital, Detroit, Michigan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. REEDY: Kathleen Reedy, Executive
2 Secretary of the Endocrinologic and Metabolic Drugs
3 Advisory Committee for the FDA.

4 DR. MOLITCH: Mark Molitch,
5 endocrinologist and professor of medicine at
6 Northwestern University Medical School in Chicago.

7 DR. FLACK: John Flack. I'm associate
8 professor of surgery, Madison Public Health Sciences
9 and social director and medical director of the
10 Hypertension Center at Bowman Gray School of Medicine.

11 DR. COLMAN: Hi, I'm Eric Colman. I'm a
12 medical officer in the Division of Metabolism at the
13 FDA.

14 DR. STADEL: Bruce Stadel, medical
15 officer, FDA.

16 DR. TROENDLE: Gloria Troendle, Division
17 of Metabolic and Endocrine Drugs, FDA.

18 DR. SOBEL: Sol Sobel, director of the
19 Division of Metabolic and Endocrine, FDA.

20 CHAIRMAN BONE: Thank you.

21 Dr. Reedy?

22 DR. REEDY: The following announcement
23 addresses the issue of conflict of interest with
24 regard to this meeting and is made a part of the
25 record to preclude even the appearance of such at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 meeting.

2 Based on the submitted agenda and
3 information provided by the participants, the Agency
4 has determined that all reported interests in firms
5 regulated by the Center for Drug Evaluation and
6 Research present no potential for a conflict of
7 interest at this meeting with the following exception.
8 In accordance with 18 United States Code 208(b)(3), a
9 full waiver has been granted to Dr. Mark Molitch. A
10 copy of the waiver statement may be obtained from the
11 Agency's Freedom of Information Office, Room 12A15 of
12 the Parklawn Building.

13 In addition, we would like to disclose for
14 the record that Dr. Mark Molitch has an interest which
15 does not constitute a financial interest within the
16 meaning 18 United States Code 208(a), but which could
17 create the appearance of a conflict. The Agency has
18 determined, notwithstanding this involvement, that the
19 interest of the government in Dr. Molitch's
20 participation outweighs the concern that the integrity
21 of the Agency's programs and operations may be
22 questioned. Therefore, Dr. Molitch may participate
23 fully in this meeting.

24 In the event that the discussions involve
25 any other products or firms not already on the agenda

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for which an FDA participant has a financial interest,
2 the participants are aware of the need to exclude
3 themselves from such involvement, and their exclusion
4 will be noted for the record. With respect to all
5 other participants, we ask in the interest of
6 fairness, that they address any current or previous
7 financial involvement with any firm whose products
8 they may wish to comment upon.

9 CHAIRMAN BONE: Thank you.

10 The next part of the meeting is the open
11 public hearing segment. This is, as I remarked
12 before, an extraordinary feature. If you look around
13 the world at the way drug reviews are conducted, this
14 is an exceptional characteristic of the United States
15 that the opportunity is made available to people who
16 are interested in making comments and who make
17 arrangements in advance to be heard to do so.

18 We will have six presentations of five
19 minutes each. I will make a signal when one minute is
20 remaining. Then we take note of letters from five
21 individuals or organizations, copies of which have
22 been provided to members of the Committee and
23 additional copies of which are also available outside
24 along with the meeting programs.

25 The first speaker in the open public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 hearing segment is Dr. Richard Atkinson. I will ask
2 each speaker to state whether they have any
3 affiliation with financially interested parties, and
4 if so, what.

5 DR. ATKINSON: Hello. My name is Dr.
6 Richard Atkinson. As for conflicts, I'm currently
7 receiving no research funds from drug companies. In
8 the past, I've served as a consultant, have given CME
9 lectures sponsored by drug companies, and have gotten
10 research funds. I've consulted for Knoll
11 Pharmaceuticals in the past and given CME lectures
12 sponsored by them.

13 As I said, I'm president of the American
14 Obesity Association which is a lay advocacy group
15 representing the interests of the 70 to 80 million
16 obese American women and children and adults afflicted
17 with the disease of obesity. The missions of the
18 American Obesity Association are education, promotion
19 of research and community action in the interests of
20 obese people. I'm also a professor of medicine and
21 nutritional sciences at the University of Wisconsin at
22 Madison. My area of research is obesity, particularly
23 in the use of drugs for the treatment of obesity. I'm
24 currently participating in several studies evaluating
25 drugs for obesity that involve more than 2,500

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 patients.

2 The American Obesity Association strongly
3 supports the development of new treatments for
4 obesity. The success rate of diet, exercise and
5 behavioral modification of lifestyle has been very
6 poor over the long-term. The data of Weintraub et al,
7 our data and those of a number of other investigators
8 demonstrate that the addition of pharmacologic agents
9 enhances weight loss, the maintenance of weight loss,
10 and reduces the major risk factors associated with
11 obesity.

12 Obesity has a strong genetic component and
13 there are compelling data to show that the
14 biochemistry and physiology of obese people are
15 different from those of lean people. Just as with
16 other chronic diseases, we believe drugs will be
17 necessary to alter the biochemistry of obese people
18 towards that of lean people.

19 Dr. Claude Bouchard has identified over 20
20 genes that contribute to the etiology of obesity.
21 Combinations of these genes make it likely that there
22 are numerous different types of obesity. We know
23 almost nothing about which drugs will be useful for
24 which types of obesity, but it is naive to assume that
25 all obesity will be treated with one or a few drugs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 There has been only one drug approved by
2 the FDA for the treatment of obesity since 1973. Drug
3 companies have devoted few resources to this serious
4 health problem. The NIH devotes only about one-half
5 of one percent of its budget to obesity research. For
6 a disease that kills 300,000 Americans per year,
7 affects more than one-third of the entire adult
8 population, and affects about 25 percent of children
9 in America, this lack of attention by the government
10 and by industry is a national disgrace.

11 Clinicians and investigators need
12 additional drugs and the research that will accompany
13 the development and marketing of new drugs. Many
14 obese patients respond poorly to the drugs currently
15 available. Given the diversity of obesity, this is
16 not surprising. Chronic diseases require chronic
17 treatment. New agents, alone or in combination, must
18 be tested to determine their utility for controlling
19 obesity and its comorbid conditions. We urge the
20 Endocrinologic and Metabolic Drugs Advisory Committee
21 to carefully consider the evidence on sibutramine.
22 Should the Committee find this drug to be safe and
23 efficacious and to have an acceptable risk benefit
24 profile, we believe the addition of a new agent for
25 the treatment of obesity will be beneficial for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Americans who suffer from this disease.

2 Thank you.

3 CHAIRMAN BONE: Thank you, Dr. Atkinson.

4 The next speaker is Dr. Foreyt.

5 DR. FOREYT: Good morning. My name is
6 John Foreyt. I'm a professor of medicine at Baylor
7 College of Medicine in Houston. I'm also the director
8 of the Bakee Heart Center and Nutrition Research
9 Center at Baylor. I'm also a clinical psychologist
10 and have an active clinical practice.

11 My area of research expertise is in the
12 behavior modification principles with obese
13 individuals for the development of healthy lifestyle.
14 I have in the past had funding from drug companies for
15 research studies, also for presenting educational
16 programs including from Knoll. I don't think I have
17 any current conflict of interests. My thoughts and my
18 words are my own. I paid for the trip here myself and
19 this is what I wrote.

20 I believe that successful weight
21 management really requires healthy eating and healthy
22 physical activity, and the use of behavior
23 modification principles to best maintain that healthy
24 lifestyle. I also know very well the limitations of
25 behavior modification principles. Behavior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 modification does not work very well for most obese
2 patients in the long run, with success rates for obese
3 patients somewhere in the range of five percent to ten
4 percent of individuals receiving the behavioral
5 principles. I believe that we need things more than
6 behavior modification, more than dietary advice, more
7 than physical activity consultation if we're ever
8 going to stem the increasing prevalence of obesity in
9 our society. Last year, we published an article in
10 the Lancet where we looked at current prevalent state
11 and predicted by the year 2230, 100 percent of
12 Americans will be obese.

13 Obesity is the number one public health
14 problem in the United States. Obesity continues to
15 kill Americans, disables increasing numbers every
16 year. I think we need all the help we can get.
17 Behavior modification works very well at helping
18 adjust environmental behavioral affective cues.

19 I think anti-obesity drugs like
20 sibutramine help regulate internal cues of hunger and
21 satiety and help cognitively adjust people's thinking
22 patterns in reducing their obsessiveness with thoughts
23 about food and about eating. I think they help people
24 push away from the table easier. I think they help
25 enhance satiety and help them eat less. One of my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 patients, for example, told me recently who was on a
2 drug -- said "these drugs help me struggle like a
3 normal person struggles." Before that time, she had
4 been unable to control her eating. She still
5 struggles even on the drugs, but I think the drugs
6 help her make it more manageable in terms of dealing
7 with her eating.

8 I don't believe drugs like sibutramine are
9 magic bullets. I don't believe drugs like sibutramine
10 are ever going to cure obesity. I think much like
11 high blood pressure drugs don't cure hypertension or
12 insulin doesn't cure diabetes or cholesterol drugs
13 don't cure hypercholesterolemia but they help manage
14 the condition. I think these drugs help, along with
15 behavior modification, manage the condition. I think
16 anti-obesity drugs are adjuncts and they're simply
17 adjuncts to a healthy program of sensible eating and
18 regular exercise. They help produce modest drops in
19 weight, but those modest drops in weight lead to
20 demonstrated medical benefits.

21 I think along with the limitations and
22 side effects that all drugs have, you need to be sure
23 to consider, very strongly, the benefits of producing
24 modest weight losses. Although they're not cures,
25 they certainly can help and they can help manage this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 very terrible condition. As an active researcher and
2 an active clinician in this field, I hope you'll
3 approve sibutramine, given it's safe and efficacious.
4 I think these drugs like sibutramine can really help
5 manage this condition. We need all the help we can
6 get.

7 Thank you.

8 CHAIRMAN BONE: Thank you very much, Dr.
9 Foreyt.

10 The next speaker is Kris Ernst.

11 MS. ERNST: Good morning. I have no
12 conflict of interest.

13 My name is Kris Ernst. I'm a registered
14 nurse practitioner and a certified diabetes educator.
15 I work full-time teaching people how to live with the
16 disease diabetes. I'm the immediate past president of
17 the American Association of Diabetes Educators which
18 is a multi-disciplinary association of health
19 professionals. I'm here to talk today about what I've
20 observed to be the impact of obesity on morbidity and
21 mortality in people with diabetes.

22 Obesity is highly associated with
23 hyperinsulinemia and insulin resistance. An increased
24 relative weight has been implicated as an independent
25 predictor of diabetes. According to the second

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 National Health and Nutrition Examination Survey, the
2 prevalence of diabetes is 2.9 times higher in
3 overweight people than in non-overweight people. In
4 fact, according to Healthy People 2000 and the
5 American Diabetes Association Clinical Practice
6 Recommendations weight reduction is the treatment of
7 choice in improving blood glucose control and in
8 reducing hyperlipidemia, hypertension and proteinuria
9 and may moderate other complications of the disease.

10 In 1986, the National Institute of Health
11 consensus development conference on diet and exercise
12 in non-insulin dependent diabetes concluded that there
13 is an increased risk of non-insulin dependent diabetes
14 in individuals who are 20 to 30 percent overweight.
15 This risk increases with increased body weight and
16 increased degree of obesity, and the distribution of
17 excess of body fat.

18 Upper body obesity is associated with an
19 even greater risk for non-insulin dependent diabetes.
20 Non-insulin dependent diabetes is an important cause
21 of morbidity and mortality in the United States. Half
22 of all non-insulin dependent diabetes is estimated to
23 be preventable by obesity control. In fact, in June
24 of this year, a multi-center NIH funded trial, the
25 diabetes prevention trial II was initiated. One arm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of treatment is aimed at preventing the onset of non-
2 insulin dependent diabetes in high risk individuals by
3 reducing body weight through dietary and exercise
4 patterns.

5 Obesity has well established medical and
6 psychological risk factors besides diabetes, including
7 an increase in hypertension, hyperlipidemia, coronary
8 artery disease, and general distress about weight. I
9 see people every day that are struggling with weight
10 control. The total proportion of disease attributed
11 to obesity is quite high, with estimates ranging up to
12 92 percent.

13 Dietary factors and activity patterns that
14 are too sedentary are together accountable for at
15 least 300,000 deaths each year. Studies have
16 associated dietary factors or sedentary lifestyles
17 with 22 to 30 percent cardiovascular deaths, 20 to 60
18 percent of fatal cancers, and 30 percent of diabetes
19 deaths. The combined effects of obesity and non-
20 insulin dependent diabetes are deleterious.
21 Consequently, the benefits of aggressive treatment of
22 obese or overweight individuals with non-insulin
23 dependent diabetes seems to be very well established.

24 Weight reduction is an indisputable goal
25 in individuals with non-insulin dependent diabetes

1 improving metabolic functions and decreasing
2 associated complications. This goal may seem
3 relatively concrete and achievable, but weight
4 reduction is actually a complex and illusive process
5 to many. Studies have demonstrated that a combination
6 of caloric restriction, behavior modification, a
7 personalized exercise prescription, family support,
8 recognition and avoidance of high risk situations, are
9 all important components of a successful weight
10 reduction program. However, even with all of these
11 elements in place, relapse are a common problem.
12 Consistently, the best predictor of long-term weight
13 loss appears to be the long-term maintenance of
14 exercise.

15 Obesity must be viewed as a chronic
16 disease requiring a multi-faceted approach including
17 ongoing clinical care and behavioral change.
18 Behavioral change is motivated not by knowledge alone,
19 but also by a supportive social environment and the
20 availability of facilitative professionals, services
21 and resources. The American Association of Diabetes
22 Educators believe that sibutramine is one of the
23 resources that should be available to persons seeking
24 to reduce their weight.

25 Thank you.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you, Ms. Ernst.

2 The next speaker is Dr. Barbara Hanson.

3 DR. HANSON: I'm the immediate past
4 president of the American Society for Clinical
5 Nutrition. I have no financial interest in any
6 pharmaceutical firm. I have a conflict of interest
7 with almost every pharmaceutical firm in this room
8 having given educational lectures or received research
9 support for the study of anti-obesity and anti-
10 diabetes agents. I am firmly committed to the
11 importance of expanding the armamentarium in the --

12 CHAIRMAN BONE: Specifically, did your
13 financial support include the sponsor?

14 DR. HANSON: I have given educational
15 programs in England for the sponsor on two occasions--

16 CHAIRMAN BONE: Thank you.

17 DR. HANSON: -- but I have not received
18 financial support for research in my laboratory.

19 CHAIRMAN BONE: I'm sorry to interrupt.
20 Go ahead.

21 DR. HANSON: We clearly are faced with an
22 epidemic of obesity. I love John Foreyt's statistic
23 that it's going to be 100 percent in the year 2030.
24 Unfortunately, we all know how to lie with statistics
25 and that's really not a projection I would adhere to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 But I would adhere to the fact that we are increasing
2 in our degree of obesity even as the year 2000 goals
3 set forth six years ago now were supposed to stem
4 obesity. So, even as we were supposed to be stopping
5 it and our national goals were to stop it, it has been
6 increasing. If I were going to put my guess on it, I
7 would guess we're heading toward the 40 percent level,
8 not the 100 percent level. Even so, obesity is
9 clearly the basis for a great deal of pathology in the
10 American community.

11 One of the things I have studied is the
12 effects of preventing obesity. We have studied it in
13 non-human primates. We have shown unequivocally that
14 if you can simply prevent the development of obesity,
15 you can almost completely halt Type II diabetes. You
16 can almost completely halt dyslipidemia and
17 hypertension in large measure. So, I think the
18 evidence is clear of the major contribution of obesity
19 -- obesity, per se -- to morbidity and mortality among
20 the American people.

21 Until very recently, we have not dealt
22 with obesity in that way. In fact, my own upbringing
23 was first in a department of psychiatry where we spent
24 several years attempting to change behavior and
25 attempting to admonish people who already were highly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 motivated to lose weight. As John said, it is very
2 clear that behavior alone will not solve the problem
3 of reducing obesity. And so, we have had to turn to
4 what the physiological and genetic bases of obesity
5 are.

6 On that issue, it's also clear to those in
7 the field of obesity that we're dealing with a
8 heterogeneous disease. That many different agents are
9 going to be needed, that agents one-at-a-time or in
10 combinations will ultimately be needed to sufficiently
11 attack the problem of obesity. So, we are strongly in
12 support of research that will continue to enhance the
13 development of anti-obesity agents or agents that will
14 help with the mitigation or slow the development of
15 obesity.

16 That's the area that the ASCN is concerned
17 about. It's certainly one of the major nutrition
18 problems in our country. So, obesity is an epidemic.
19 It clearly can be and should be addressed with
20 pharmaceutical means as well as behavioral and social
21 means. We urge the Committee to consider obesity for
22 the very high morbidity of producers and to look
23 carefully at the benefits and the risks associated
24 with its treatment.

25 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you very much, Dr.
2 Hanson.

3 The next speaker will be Ms. Valerie
4 Rochester.

5 MS. ROCHESTER: Good morning. I'm with
6 the National Council of Negro Women in Washington, DC.
7 An organization that is dedicated to furthering the
8 advancements and opportunities for African-American
9 women and assuring quality of life.

10 One of the things that we are focused on
11 primarily is health of African-American women and
12 their families. Obesity is a major concern for
13 African-Americans, particularly as it relates to
14 African-American women. It's a concern, however it's
15 not viewed widely as a concern among women. That's
16 primarily due to the cultural differences in body
17 image and body ideals when it comes to African-
18 American women. Body size, attitudes and standards,
19 as well as the rates of obesity, differ among African-
20 American women as related to Caucasian women.

21 In a study comparing body images, body
22 size perceptions and eating behavior among African-
23 American and White college educated women, it was
24 found that White women reported greater body
25 dissatisfaction, more negative evaluations of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 appearance in general, and more body image avoidant
2 behaviors to control or conceal their weight.
3 However, when we look at the rates of obesity among
4 Black and White women, almost half of Black women, 46
5 percent, are overweight. Their average of being
6 overweight is 24 pounds. That is a concern,
7 especially when it is not viewed as being a major
8 health condition.

9 We all know that obesity directly relates
10 to high incidence of diabetes, high blood pressure --
11 excuse me, my voice is failing this morning -- heart
12 disease and stroke, all conditions of which African-
13 Americans are disproportionately represented. When it
14 comes to addressing this issue in African-American
15 communities, pharmaceuticals are important. However,
16 we do need to combine those with education and
17 behavior modification measures.

18 When it comes to addressing these
19 conditions in African-Americans, again, the combined
20 approach of pharmaceuticals, education and behavior
21 modification methodologies will be very important.
22 The National Council of Negro Women does support the
23 advancement of these pharmaceuticals. We would be
24 very interested in working further as far as
25 developing the corresponding educational programs and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 outreach programs to ensure that not only is obesity
2 made a major health awareness problem among African-
3 Americans, but that we also address it appropriately,
4 culturally appropriately and sensitively.

5 Thank you.

6 CHAIRMAN BONE: Thank you very much.

7 The final speaker is Ms. Lynn McAfee.

8 MS. MCAFEE: Good morning.

9 As to conflict of interest with the diet
10 and pharmaceutical industries, I've given them plenty
11 of money. They've never given me anything.

12 I'm Lynn McAfee from the Council on Size
13 and Weight Discrimination. I'd like to take this
14 opportunity to address a number of issues regarding
15 anti-obesity drugs.

16 First, I'd like to suggest that a way be
17 found to include the dropout rate in the effectiveness
18 number. I find it very strange that a dropout rate
19 for a supposedly successful drug is 50 percent while
20 placebo was 40 percent, as was the case with redux.
21 If the drug works so well, shouldn't it have a lower
22 dropout rate than placebo, even taking into account
23 side effects? It just seems so unlikely to me that
24 people who were desperate enough about their weight to
25 take an experimental drug and were successfully losing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 weight, would wake up one morning and say "never mind.
2 I've decided I'd rather be fat. Thanks, anyway."
3 Something else is happening here.

4 I also would like to see the drug studied
5 in varied populations. Past experience with other
6 drugs such as anti-hypertensives have shown us that
7 more representative distribution of ethnic groups and
8 gender is important. Groups affected with comorbid
9 conditions should be studied and analyzed as to
10 effectiveness as well as improvement of comorbid
11 condition. I would also like to see what happens to
12 people with comorbid conditions as they gain back
13 weight. It's possible they would be left worse off
14 than if they had not taken the medication and lost
15 weight.

16 My last point with regard to effectiveness
17 is my concern with the necessity of people sticking to
18 a low calorie diet for a lifetime. This has not
19 proven possible until now. I wonder if even with
20 medication it is truly realistic for people to keep up
21 that level of dieting intensity indefinitely. The
22 people in the Weintraub study pretty much dedicated
23 their lives to dieting, yet even they had trouble
24 maintaining weight loss by the end of the study.

25 The pharmaceutical companies are saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that if we don't live the life of a Weight Watchers
2 counselor, we have failed the drug. And while Weight
3 Watchers has taken a lot of money from us over the
4 years, I don't think even they can afford to hire all
5 34 million of us as Weight Watchers counselors. It
6 seems to me the point of using medication is to make
7 weight maintenance achievable. If people can not stay
8 on this very restricted diet then the medication has
9 failed, not fat people. This is an important point.
10 Perhaps a group should be given medication and asked
11 to eat more normally so if we can see if the
12 medication has an effect on their caloric intake and
13 weight over time. This might be a truer test of what
14 will happen in the real world.

15 I'd also like to make a few comments about
16 some of the morbidity, mortality and economic impact
17 figures that are often used by obesity researchers.
18 For example, Shape of America literature says "medical
19 researchers have calculated the cost to society for
20 obesity related diseases at more than \$100 billion
21 annually." But reading on you see that \$33 billion of
22 that money is for "weight reduction products and
23 services." This number even includes diet soda. This
24 is a classic case of misdirection. In fact, since
25 Shape Up America is sponsored largely by various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 weight reduction products and services, their goal is
2 to increase this number not to decrease it.

3 Likewise, the widely touted Nurses Study
4 takes what is a very small number of deaths and
5 creates some rather sensational relationships that
6 really need to be looked at with care. This study has
7 been presented without criticism as obesity research
8 gospel but there are criticisms of this work that
9 should be heard. I am not saying the mortality
10 figures for fat people are the same as for thin
11 people. I am saying that while these sensational
12 numbers may help to get much needed funded for obesity
13 research, we should be certain that these are the
14 right numbers to use when calculating the risks and
15 benefits of these drugs.

16 Finally, I want to share with you some of
17 my thoughts about sibutramine. I have had three
18 conferences with the Knoll people since January and
19 have been very pleased with their openness in showing
20 me their data on effectiveness and safety and
21 answering my many questions. The best thing to be
22 said about this drug is that it's not redux. It's not
23 a serotonin releasing drug so I've been told they
24 won't have the problems with neurotoxicity and PPH
25 that redux has. The main problems are hypertension

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and pulse rate. While these are worrisome problems,
2 they can at least be monitored.

3 The concern I have is regarding
4 effectiveness. As a consumer advocate, this is
5 important to me. For many decades, we've been paying
6 billions of dollars a year for weight loss technology
7 that just plain doesn't work. Because of the safety
8 concerns I have about redux, I would have gladly
9 accepted sibutramine as long as the effective rate was
10 roughly equivalent. However, two days ago, I learned
11 about a two-and-a-half year effectiveness study that
12 concerns me greatly. The sponsor will undoubtedly be
13 presenting to you shortly information on it. But
14 based on the abstract published in Obesity Research
15 last week, it appears that sibutramine's weight
16 maintenance ability is not satisfactory. There was a
17 mean weight loss of six kilograms at 40 weeks, but by
18 60 weeks there was a steady weight regain. At 96
19 weeks, the weight loss maintained was only 2.6
20 kilograms.

21 Of equal concern is the dropout rate.
22 Only 15 percent of the subjects completed the study.
23 This is quite serious. Are these people going to
24 experience a worsening of their comorbid conditions
25 when they regain weight? In the same journal, a paper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 based on information from the Swedish Obesity Study
2 states "all risk factors are improved by weight
3 reduction, but when measured after one year of weight
4 stability, five to ten kilogram reduction is required
5 to detect the changes. The value of small weight
6 reductions is thus questioned."

7 CHAIRMAN BONE: Thank you.

8 I want to thank all the speakers for their
9 clear and concise comments.

10 As I said earlier, I mentioned that there
11 are letters from the American Heart Association, the
12 American Diabetes Association, Marion J. Franz, Denise
13 E. Bruner, and the North American Association for the
14 Study of Obesity which are provided in the way I
15 described earlier.

16 The next stage in the proceedings will be
17 the presentations by the sponsor, Knoll Pharmaceutical
18 Company. The sponsor has asked if the Committee would
19 be willing to ask questions in the following way. The
20 sponsor would like to have questions -- not interrupt
21 the presentations -- would like questions after each
22 individual presentation, only those questions which
23 are related to specific questions of fact or
24 clarification, and discussion type questions deferred
25 until after all the presentations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Is that agreeable to the Committee? Any
2 objection? No? Fine, then we'll proceed in that way.

3 The introduction will be given by Dr. Mel
4 Spigelman from Knoll.

5 DR. SPIGELMAN: Thank you.

6 Dr. Bone, Dr. Bilsta, Dr. Sobel, Members
7 of the Advisory Committee, Members of the FDA, ladies
8 and gentlemen, my name is Mel Spigelman, Vice
9 President of Research and Development at Knoll
10 Pharmaceutical. I have the pleasure of introducing
11 the programs that we will be presenting today on
12 sibutramine.

13 As you are all aware, sibutramine has been
14 submitted to the FDA for approval for the treatment of
15 obesity, a disease which has become a virtual epidemic
16 in this country. The magnitude of the problem can be
17 seen from the results of the NHANES III study which
18 documented that the prevalence of obesity, defined
19 here as a BMI greater than 27.8 for men and 27.3 for
20 women, was approximately one-third of the American
21 adult population over the age of 20. Perhaps even
22 more disconcerting however is the fact that this
23 prevalence is increasing.

24 The ramifications of this finding are
25 profound as obesity increases risk for a variety of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 outcomes. Not only those that are commonly associated
2 with obesity such as dyslipidemia, hypertension and
3 Type II diabetes, but even those such as arthritis,
4 gallstones, cardiovascular deaths, and even cancer
5 deaths. It's noteworthy that weight loss is
6 considered by most to be the primary therapy for the
7 obese individual with some of these disorders, such as
8 Type II diabetes, hypertension, or dyslipidemia.

9 With that brief introduction, I would like
10 now to actually introduce sibutramine. Sibutramine is
11 an SNRI, or serotonin-norepinephrine reuptake
12 inhibitor. It was synthesized in 1980 by Boots
13 Pharmaceutical Company and first went into men in
14 1984. Initially, this drug was being tested
15 clinically for its anti-depressant activities.
16 Although it failed to show activity in depression, it
17 was noted that sibutramine produced consistent weight
18 loss. Therefore, in 1990, the development program was
19 focused on the area of obesity. With the acquisition
20 of Boots Pharmaceutical by Knoll, the IND was
21 transferred to Knoll and the NDA was submitted in
22 August of last year.

23 Of note, the design of the clinical
24 program was done in conjunction with the neuro-
25 pharmacology division, as that group originally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 reviewed the IND. Subsequently, all of the CD
2 compounds were transferred to the endocrinology and
3 metabolism division. Although the clinical program
4 presented in the NDA was completed prior to the 1995
5 Committee Discussion on Guidelines for approvability
6 of obesity compounds, our results are compatible with
7 the criteria for approvability. Furthermore, the data
8 that we will present will support the utility of
9 sibutramine both in producing clinically significant
10 weight loss and in maintaining that weight loss.

11 What I would like to do now is present the
12 agenda for today's presentations. Dr. Xavier Pi-
13 Sunyer, professor of medicine at the College of
14 Physicians and Surgeons of Columbia University will
15 give a brief presentation on the clinical and
16 epidemiological importance of obesity. Dr. David
17 Heal, head of CNS biology at Knoll Pharmaceuticals in
18 Nottingham, England will present the pre-clinical
19 pharmacology. This will be followed by Dr. Carl
20 Mendel, who is director of endocrine at Knoll who will
21 present the efficacy summary including discussion of
22 pharmacokinetics. Dr. Timothy Seaton, senior director
23 of endocrine metabolism at Knoll will then present the
24 safety summary. We have asked Dr. Sylvia Smoller,
25 professor of epidemiology and head of the Division of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Epidemiology and Statistics at the Albert Einstein
2 College of Medicine to present the results of
3 epidemiological evaluations of the benefit risk
4 assessment of sibutramine.

5 Because of time constraints this morning,
6 only one of the two epidemiological models, which I'll
7 present in detail in your briefing package, will be
8 formally presented this morning. Dr. Michael Lean,
9 professor of human nutrition at the University of
10 Glasgow and a sibutramine investigator, will then
11 present the clinical benefit risk discussion. I will
12 then discuss the present status of our proposed Phase
13 IV post-marketing large simplified clinical trial, and
14 conclude with a brief summary.

15 We have with us today several other
16 representatives, both from Knoll as well as
17 consultants and experts who have served as advisors.
18 These individuals may be called upon to address
19 questions from the Committee.

20 Prior to beginning the presentations,
21 however, I would like to call your attention to the
22 analytical processes which have been utilized to
23 evaluate the efficacy and benefit of sibutramine.
24 After demonstration of the efficacy of sibutramine in
25 producing consistent and clinically meaningful weight

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 loss, we will proceed to evaluate the effect of
2 sibutramine on risk factors such as blood pressure,
3 lipids and glucose tolerance.

4 As clearly stated in the draft guidelines
5 for the clinical evaluation of weight control drugs,
6 risk factors are expected to improve if weight is
7 lost. The logic is clear and persuasive. Risk factor
8 improvement is expected to occur in patients who lose
9 weight. Whereas, patients who do not lose weight are
10 not expected to have improvement in their risk
11 profiles. Therefore, the analytical question that we
12 will continually pose in the presentations today is
13 through patients who lose weight on sibutramine,
14 derive the expected benefit from their comorbid
15 parameter. This must be distinguished from a drug
16 whose primary mechanism is to act as a direct modifier
17 of a risk factor, wherein one would expect to treat a
18 population as a whole to derive improvement in the
19 risk factor.

20 For an anti-obesity compound, the treated
21 population as a whole should show improvement and
22 efficacy in the parameter of weight loss. Whereas,
23 those who lose weight would be expected to show
24 benefit in the evaluation of their risk factor.

25 I would also like to call your attention

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to three areas in which our proposed labeling for
2 sibutramine has been changed from that originally
3 presented in the NDA. Based on discussions with the
4 FDA, we have recently concluded that the maximum daily
5 dose should be limited to 20 milligrams per day. The
6 recommended starting dose is five to ten milligrams
7 per day, which may be titrated upward in five
8 milligram increments every four weeks if there is
9 evidence of inadequate weight loss as measured by less
10 than four pounds over the four week period and good
11 tolerability. Treatment should not be continued in
12 patients who either have unacceptable side effects or
13 who, after an adequate trial of therapy, will most
14 likely not achieve clinically significant weight loss.
15 Data supporting this titration scheme will be
16 presented in the efficacy presentation.

17 Finally, in addition to not being
18 recommended for patients with a history of coronary
19 artery disease and/or arrhythmias, we also present
20 that sibutramine should not be used in patients with
21 inadequately controlled hypertension.

22 I would now like to introduce our first
23 speaker, Dr. Pi-Sunyer, who will present an overview
24 of the significance of obesity and the need for
25 pharmacotherapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. PI-SUNYER: Good morning. It's a
2 pleasure to be here and be able to continue the
3 discussion on health risks of obesity and benefits of
4 weight loss. You've heard during the open hearing, a
5 number of speakers allude to the relationship of
6 obesity to a number of conditions which are some of
7 the greater killers in America today. I just want to
8 go over three or four of the epidemiological studies
9 that deal with this and then go on to talk briefly
10 about some of the benefits with regard to these
11 comorbid conditions that occur with weight loss.

12 You can see in this first slide, the study
13 from the Nurses Health Study of hundreds of thousands
14 of women who have been followed over the 16 year
15 periods in this particular report with a BMI from
16 below 22 to a BMI greater than 35, and the relative
17 risk of developing Type II non-insulin dependent
18 diabetes which you can see begins to rise steeply
19 after a BMI of 27. It very rapidly increases up to a
20 relative risk that is close to 100 percent of the
21 original.

22 If you look at the risk of hypertension --
23 this is a study taken from Witteman in Circulation
24 published in 1989 of a number of American individuals.
25 Again, you see the direct relationship of increasing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 BMI from below 23 to greater than 32 with an increased
2 relative risk of developing hypertension or having
3 hypertension. So, the relative risk of individuals
4 with a BMI above 32 goes up five-and-a-half fold.

5 With regard to lipids, we also have an
6 increased risk with primarily, a manifestation of
7 hypertriglyceridemia with increased chylomicron
8 remnants, increased VLDL remnants, decreased HDL and
9 particularly important, the production of small, dense
10 LDL particles which are significantly atherogenic.

11 With regard to gall bladder disease this,
12 again, is taken from the study by McClure & Colditz,
13 you can see that the BMI -- the relative risk
14 increases at a point of a BMI of 25 and essentially
15 triples. Then at the BMI of 32 and above, goes up
16 six-fold. So, the increase risk of gall bladder
17 disease is greatly increased with increasing weight.
18 This is particularly true of women.

19 Now, finally, I just want to mention the
20 data from the American Cancer Society study which
21 shows the mortality ratios for cancer sites at which
22 incidence of overweight is greater than for average
23 weight. This is the weight index calculated from the
24 Metropolitan Life tables of 1959. The group that was
25 110 to 119 percent above ideal, the group that was 120

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to 129 percent, 130 to 139 percent, and greater than
2 140 percent. That is 40 percent above ideal body
3 weight. In males, there is an increased prevalence of
4 colon and rectal cancer and of prostate cancer. In
5 females, the particularly important cancers that are
6 at a greater prevalence with regard to mortality is
7 the endometrial cancer, uterine cancer, cervical
8 cancer and breast cancer. Breast cancer particularly
9 important in post-menopausal rather than pre-
10 menopausal women.

11 Now, with regard to the benefits of weight
12 loss, I just want to show you a couple of studies.
13 This first one is a study done by Dr. Henry of the
14 University of California, San Diego in which he
15 measured fasting plasma glucose before and during each
16 block of a diet, of a very low calorie diet, that was
17 followed over a period of a month. Each of these bars
18 is a four day period. This is a group of non-diabetic
19 matched obese individuals. This is a group of
20 diabetic obese individuals. You can see the blood
21 sugar began at a level of about 290 milligrams per
22 deciliter. It dropped by the end of the third, fourth
23 day period -- this is 12 days after the beginning of
24 the diet -- to about 120 milligrams percent.

25 Thereafter, it remained at a level of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 normal individuals showing the important effect of
2 decreased food intake and beginning of weight loss on
3 glucose disposal. This is shown here. This is the
4 glucose disposal of the diabetic individuals before
5 the diet. This is after the diet, a very significant
6 increase in glucose disposal rate. You get the same
7 effect on non-diabetic obese individuals whose glucose
8 disposal rate greatly improves. This is a group of
9 normal individuals for comparison.

10 If you look at systolic and diastolic
11 blood pressure before and after weight reduction, this
12 is a study published by Staesson et all in
13 hypertension in 1989. Each bar is an individual. The
14 red arrow is the combined group. You can see that --
15 this is before weight loss and after weight loss.
16 This is systolic pressure, diastolic pressure -- that
17 there is a consistent drop in blood pressure in
18 individuals as their weight measured shown here drops
19 in terms of kilogram. So that we have a very
20 significant drop in blood pressure with drops in body
21 weight.

22 Finally, if you look at lipid lowering --
23 this is from a study of McMahon published in a group
24 of young adult Americans -- you can see here with a
25 weight loss, there was about six to seven kilograms on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 average. There was a change in total cholesterol from
2 baseline of minus 5.6 percent. There was an increase
3 of HDL cholesterol of plus 6.1 percent. There was a
4 decrease of the ratio of total cholesterol to HDL
5 cholesterol of ten percent. There was a decrease in
6 triglycerides of 7.7 percent.

7 Now, we know on the basis of studies like
8 the ones that I've shown, that we no longer need to
9 bring a person's, an individual's weight down to
10 normal, to ideal body weight. We know that in a
11 sense, this is futile because they begin to escape
12 from such a pattern of treatment. We are now becoming
13 more and more satisfied with a partial normalization
14 of weight with risk factor reduction. I think all of
15 the trials, all of the clinical programs that are
16 going on today with regard to diet, exercise and
17 behavior modification, are aiming at a partial
18 normalization with risk factor reduction.

19 So that for the past two decades, the
20 components of effective weight management programs has
21 been diet, physical activity combined with a strong
22 behavior modification program that will change
23 lifestyle behavior for these individuals, hopefully,
24 permanently.

25 We have found more recently, however, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 if you look at data of the net weight loss over time
2 in behavioral studies with follow-ups of more than one
3 year that the results are not very good. This is the
4 post-treatment result. This is at one year, two
5 years, three years, four years, five years. This is
6 the number of studies that have been carried out over
7 that period of time. One year, eight studies. You
8 can see at the end of four or five years, very few
9 studies are available. But you also see that at post-
10 treatment, the average weight loss is about 16 pounds.
11 By the time you get out to four and five years, a good
12 two-thirds of that weight has been regained.

13 So, we have a handle on how to get people
14 to lose weight. We have a very poor handle on how to
15 get people to maintain that loss of weight. Because
16 of this, we believe that, essentially, we need a new
17 paradigm for treatment. We have to understand that
18 obesity is a chronic disease. It will not be cured.
19 It is a lifelong condition and probably needs to be
20 treated as such. That state-of-the-art treatment is
21 comprehensive and includes the behavior modification,
22 dietary change and increased physical activity but
23 that there is an appropriate role of pharmacological
24 management of obesity. This is based on evidence of
25 safety and efficacy of the anti-obesity agent or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 agents.

2 So, we feel that at this time, at this
3 state in the American health scene, that the new
4 paradigm for treatment allows for the addition to
5 diet, exercise and behavior modification, of effective
6 and safe anti-obesity agents. Thank you very much.

7 CHAIRMAN BONE: Specific questions related
8 to Dr. Pi-Sunyer's presentation?

9 Dr. Marcus?

10 DR. MARCUS: Yes. I was very interested
11 in the graph that showed a linear and inexorable rise
12 in weight among people who are not treated whereas, I
13 had assumed that people would generally be in some
14 sort of stable equilibrium. Actually, how good is the
15 evidence that weight gain continues in a linear
16 fashion essentially forever shown on the slide?

17 DR. PI-SUNYER: The data in the American
18 epidemiological scene is that essentially, the average
19 American gains a half-a-pound a year from age 20.

20 DR. MARCUS: But your slide wasn't the
21 average American, it was --

22 DR. PI-SUNYER: No, no. This --

23 DR. MARCUS: -- obese people.

24 DR. PI-SUNYER: Obese people.

25 DR. MARCUS: Are they also, left to their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 own devices, gain in a linear fashion essentially
2 forever the way the graph looks?

3 DR. PI-SUNYER: There is not good data
4 following long-term obese people in that kind of a
5 slide. So, I can't tell you that every obese person
6 -- certainly, many obese persons plateau off at
7 certain weights. But there is a good natural history
8 for suggesting that many of them continue to
9 inexorably rise slowly over time from age 25 or 30 --
10 or 20, whenever they have their problem, up to the age
11 of 60. After age 60, there tends to be a plateau and
12 a downward falling away.

13 DR. BONE: Thank you.

14 DR. PI-SUNYER: I'd like to present, if I
15 could have the next slide, Dr. David Heal who will
16 present the pre-clinical pharmacology.

17 DR. HEAL: Good morning, Dr. Bone, Dr.
18 Sobel, Dr. Bilsta, ladies and gentlemen.

19 The presentation on the pre-clinical
20 pharmacological of sibutramine is divided into four
21 sections. In the first part, I will demonstrate that
22 in vivo sibutramine is a serotonin or 5-HT, a
23 norepinephrine reuptake inhibitor, an SNRI.

24 Sibutramine is aylcylcobutyl alkylamine.
25 It is a tertiary amine and when it is administered to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 either animals or man, it is rapidly deanimated to
2 form the secondary amine metabolite 1 and the primary
3 amine metabolite 2. Metabolites 1 and 2 are the
4 predominant active species in animals and man.

5 When sibutramine is given repeatedly to
6 animals, we can see that there is a profound reduction
7 in their body weight gain. The divergence of the two
8 curves indicates that there is no tolerance to the
9 drug while the animals are receiving treatment, in
10 this case 30 days. Upon drug withdrawal, we can see
11 that there is only a very, very gradual increase of
12 body weight back to control levels. There is no
13 evidence that withdrawal induces profound rebound
14 hyperphagia leading to very, very rapid weight gain
15 with rebound above control levels.

16 In the next 11 slides, I will discuss the
17 mechanisms underpinning this weight loss fact.

18 The monoamine neurotransmitters
19 norepinephrine, serotonin and to a lesser extent
20 dopamine, are intrinsically involved in the regulation
21 of food intake and energy expenditure. There are
22 three principle presynaptic mechanisms whereby drugs
23 can enhance central and peripheral monoaminergic
24 function.

25 Monoamine releasing agents enter the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 presynaptic terminal by the high affinity reuptake
2 carrier. There, they displace monoamines from storage
3 granules and this leads to massive reflux of
4 monoamines into the synaptic cleft. Monoamine
5 releasing agents like dexamphetamine and
6 dexfenfluramine bypass the physiological control
7 mechanisms of inhibition of neuronal firing and
8 negative order receptor feedback.

9 The major route of inactivation for
10 monoamines in the CNS is to be taken back up into the
11 presynaptic terminal again by the high affinity
12 carrier. Reuptake inhibitors like sibutramine block
13 this carrier protein. This leads to enhanced
14 concentrations of monoamines in the synaptic cleft.
15 Monoamine reuptake inhibitors do not bypass
16 physiological control mechanisms. Monoamine oxidase
17 is the major affecter for the catabolism of
18 monoamines. Its inhibition by drugs leads to enhanced
19 concentrations of monoamines in the neurone and
20 enhances release on neuronal activation. Sibutramine
21 and dexfenfluramine are not inhibitors of MAO.
22 Dexamphetamine is a weak inhibitor of this enzyme.

23 In rat brains, sibutramine is a weak
24 inhibitor of norepinephrine reuptake. However, its
25 metabolites 1 and 2 are potent inhibitors of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 norepinephrine reuptake being approximately as potent
2 as the selective norepinephrine reuptake inhibitor,
3 desipramine. They are also moderately potent
4 inhibitors of 5-HT reuptake being approximately as
5 potent as the SSRI fluoxetine. In vitro metabolites
6 1 and 2 are also moderately potent inhibitors of
7 dopamine reuptake.

8 However, as I will show on the next slide,
9 this pharmacological action is not expressed in vivo
10 at pharmacologically relevant doses. The
11 pharmacological profiles of metabolites 1 and 2
12 contrast markedly with those of dexamphetamine which
13 is a moderately potent inhibitor of norepinephrine
14 reuptake and a weak inhibitor of dopamine reuptake,
15 and with those of dexfenfluramine which is a weak
16 inhibitor of both norepinephrine and 5-HT reuptake.

17 Evidence from in vivo experiments
18 demonstrates a clear potency separation between
19 sibutramine's actions as a reuptake inhibitor of
20 norepinephrine and 5-HT compared with dopamine. This
21 figure shows the doses at which there is efficacy in
22 four rat behavioral models. The widths of the lines
23 indicates the dose ranges for efficacy and the lines
24 are color-coded to demonstrate the neurotransmitters
25 involved. Thus, norepinephrine and 5-HT is shown in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 blue, norepinephrine alone is shown in green, and
2 dopamine is shown in red.

3 Effective doses for prevention of
4 reserpine induced ptosis yield an ED50 of 0.6
5 milligrams per kilogram. Inhibition of food intake
6 between three and five milligrams per kilogram, and
7 induction of thermogenesis between three and ten
8 milligrams per kilogram. These doses are between two
9 and two-fold lower than those required to induce even
10 minimal dopamine reuptake inhibition in vivo as shown
11 by the induction of circling in the unilateral
12 nigrostriatal lesion graphs. And this is a very well
13 established model for assessing enhanced central
14 dopaminergic function.

15 This slide compares the in vivo effect of
16 fluoxetine, sibutramine, and dexfenfluramine on
17 extracellular 5-HT concentrations in rat brains
18 measured by the sophisticated technique of in vivo
19 microdialysis. At ten milligrams per kilogram, the
20 SSRI fluoxetine produces an approximately 400 percent
21 increase in 5-HT eflux. The SNRI sibutramine produces
22 a 200 percent increase at this dose. However, the 5-
23 HT releasing agent, dexfenfluramine at ten milligrams
24 per kilogram, produces a massive 2,300 percent
25 increase in 5-HT eflux.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Differentiation of sibutramine's mode of
2 action from that of fenfluramine is emphasized by this
3 follow-up study. 5-HT releasing agents like
4 fenfluramine and dexfenfluramine into the presynaptic
5 nerve terminal by the high affinity reuptake
6 transporter and this slide shows the effect on eflux
7 which are ten milligrams per kilogram fenfluramine
8 has. However, pre-treating the rats with a monoamine
9 reuptake inhibitor blocks this process. We can see
10 that when the rats are being pre-treated with either
11 sibutramine or fluoxetine, we can ablate the effects
12 of fenfluramine treatment in these animals.

13 In this part, I will deal with
14 sibutramine's actions to reduce food intake by
15 enhancing satiety. When given acutely to rats,
16 sibutramine produces a dose-dependent reduction in
17 food intake. The ED50 for the inhibition of 24 hour
18 food intake is approximately five milligrams per
19 kilogram. Sibutramine and dexfenfluramine both reduce
20 food intake by enhancing post-ingestive satiety, the
21 natural physiological response. However,
22 dexamphetamine disrupts the satiety response and
23 reduces food intake only at behaviorally activating
24 doses.

25 A synergistic interaction of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 norepinephrine and 5-HT reuptake inhibition on food
2 intake is shown by this next slide. We have used the
3 selective norepinephrine reuptake inhibitor nisoxetine
4 and the selective 5-HT reuptake inhibitor fluoxetine.
5 When given alone at high dose, neither drug has any
6 affect on drug intake. However when these drugs are
7 given in combination, it blocked both 5-HT and
8 norepinephrine reuptake equivalent to administering
9 sibutramine. We can see that there is a profound
10 reduction in food intake and the magnitude of this
11 response is identical to that observed with
12 sibutramine.

13 This section deals with sibutramine's
14 action to increase energy expenditure or thermogenesis
15 by enhancing central sympathetic to brown adipose
16 tissue. Oxygen consumption is a good indicator of
17 increased energy expenditure or thermogenesis.
18 Sibutramine given at ten milligrams per kilogram
19 produces a profound 31 percent and prolonged increase
20 in the energy expenditure of rats.

21 Thermogenesis is a norepinephrine mediated
22 response and this is demonstrated by the fact that it
23 is blocked by high and combined doses of atenolol and
24 ICI 118,551 which block the atypical or beta 3
25 receptor, in addition to blocking beta 1 and beta 2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 receptors. This response was not affected however by
2 low doses of atenolol or ICI 118,551 which blocked
3 beta 1 and beta 2 adrenoceptors respectively. This
4 effect is mediated by a norepinephrine reuptake
5 inhibition because neither sibutramine nor its active
6 metabolites have affinity for the beta 3 adrenoceptor.

7 In this section, sibutramine is clearly
8 shown to be different in pharmacological terms, from
9 the monoamine releasing agents dexamphetamine and
10 dexfenfluramine. Sibutramine and dexamphetamine are
11 pharmacologically different because sibutramine does
12 not release dopamine or norepinephrine. Whereas,
13 dexamphetamine is a potent releaser of both
14 catecholamines. Sibutramine reduces food intake at
15 non-stimulant doses, whereas dexamphetamine reduces
16 food intake only at behaviorally activating doses.
17 Sibutramine enhances satiety, whereas dexamphetamine
18 does not. And Sibutramine inhibits food intake by
19 inhibition of norepinephrine and 5-HT reuptake.
20 Dopaminergic mechanisms are not involved.
21 Dexamphetamines effects on food intake are mediated
22 partly through dopaminergic activation.

23 Sibutramine is pharmacologically different
24 from dexfenfluramine. Sibutramine's metabolites are
25 potent serotonin and norepinephrine reuptake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 inhibitors. Dexfenfluramine is a weak reuptake
2 inhibitor of both monoamines. Sibutramine is not a
3 serotonin releasing agent. Dexfenfluramine is.
4 Sibutramine is not a norepinephrine releasing agent.
5 Dexfenfluramine is at high concentrations.

6 Thus, in conclusion we can say that
7 sibutramine potently inhibits norepinephrine and
8 serotonin but not dopamine reuptake in vivo. It is
9 the first SNRI to be developed as an anti-obesity
10 drug. Sibutramine reduces food intake by enhancing
11 satiety, a central effect mediated by norepinephrine
12 and serotonin reuptake inhibition. Sibutramine
13 increases energy expenditure by enhancing central
14 sympathetic drive to brown adipose tissue.
15 Sibutramine's mode of action is different from that of
16 the monoamine releasing agents, dexamphetamine and
17 dexfenfluramine. As an SNRI, we believe that
18 sibutramine will lack potential for primary pulmonary
19 hypertension, abuse and neurotoxicity.

20 Thank you very much.

21 CHAIRMAN BONE: Thank you.

22 Are there specific questions?

23 Dr. Kreisberg?

24 DR. KREISBERG: Yes. I wonder if it would
25 be possible to go back to your first slide? I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it's comparable to your Figure 214 in your handout.
2 Second slide -- no, keep going. That's it.

3 You explain in your material that these
4 are lean and growing rats.

5 DR. HEAL: That is correct.

6 DR. KREISBERG: Although the major portion
7 of your presentation was not on the actual response of
8 the animals to the drug -- you introduced the subject
9 -- these are lean growing rats. I wonder whether that
10 really is a satisfactory experimental model for
11 obesity since it would seem to me that the best model
12 would be a rat that had already achieved a stable body
13 weight?

14 The second question that I have, since it
15 looks like the effect appears so promptly within the
16 time period of drug administration -- that is, it's
17 almost maximum by five days or virtually most of the
18 difference is at five days. Then the lines are either
19 parallel or slightly diverging -- whether this is a
20 smaller animal or a compositionally more lean animal?
21 In other words, could the introduction of the drug
22 lead to some stunting in the size of the animal that
23 allowed it to weigh less, or is it actually a same
24 sized animal that just has less body fat?

25 DR. HEAL: That's a very interesting set

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of questions. Although this diagram here shows our
2 effect in the growing animals, we can actually
3 demonstrate a weight loss in Zucker rats, cafeteria-
4 fed rats, and other types of obese animals.

5 What you see here is from the run-in
6 period that, in fact, the growth rates of the animals
7 is identical in this period here leading up. So,
8 they're just pair matched animals. So, in fact, what
9 you see here is initially the marked drop in animals
10 caused by, obviously, acute administration of the
11 drug. But I would point out quite clearly that the
12 difference here is smaller than the difference here,
13 indicating there is a divergence and the animals are
14 continuing to lose weight.

15 I take your point entirely that this
16 obviously demonstrates lean growing animals. However,
17 these are adults weighing in at 260 grams. We're not
18 talking about effects which occur in animals which are
19 still in their pre-adult stage.

20 CHAIRMAN BONE: Dr. Marcus?

21 DR. MARCUS: I'd like to revisit the
22 question of thermogenesis. Certainly, the rat has a
23 larger component of brown adipose tissue activity than
24 humans and I'd like to ask about the effect of this
25 drug on other categories of thermogenesis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Specifically, can you show whether there are
2 differences in basal metabolic rate in food induced
3 thermogenesis or in activity induced thermogenesis by
4 this drug?

5 DR. HEAL: We have not looked in any
6 detail at activity-induced thermogenesis. There does
7 not seem from some preliminary experiments that we
8 have done to be any affect on food-induced
9 thermogenesis, as you will see in your pack. The
10 actions of sibutramine to increase thermogenesis in
11 rats by brown adipose tissue is highly selective. It
12 seems to increase central sympathetic drive to brown
13 adipose tissue. And in fact, it leads in terms of
14 glucose utilization studies to an increase of 18-fold
15 in glucose utilization specifically in brown adipose
16 tissue.

17 CHAIRMAN BONE: Dr. Sherwin, you had a
18 question?

19 DR. SHERWIN: Yes. I was just wondering
20 how well does this drug cross the blood brain barrier?

21 DR. HEAL: That is a question which will
22 have to be handled by one of my colleagues.

23 I'd like to call on Dr. Rod Haddock from
24 the Pharmacokinetics Department to take the stand
25 please?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. HADDOCK: We have some information on
2 the transfer of material from plasma into the brain.
3 From the data that we have in the rat, it seems that
4 the concentrations are twice as high in the brain than
5 they are in systemic plasma.

6 DR. SHERWIN: So, it's concentrated. It's
7 actively transported to the brain, you're saying? Am
8 I getting that straight?

9 DR. HADDOCK: The concentrations are twice
10 as high which would indicate that there is a
11 preferential rate of transport, yes.

12 DR. SHERWIN: I'm just curious. Obviously
13 then the drug is having central effects.

14 DR. HADDOCK: Indeed.

15 DR. SHERWIN: How much of a peripheral
16 effect? I mean, if you locally delivered desipramine
17 for example, you can increase norepinephrine tissues.
18 Do you think this drug also works peripherally as well
19 as centrally?

20 DR. HADDOCK: May I defer to my colleague,
21 Dr. Heal?

22 DR. HEAL: In terms of the two
23 pharmacological actions which we have demonstrated,
24 they are both centrally mediated in origin. In the
25 case of the effect on food intake, we can demonstrate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that central injection of the metabolites of
2 sibutramine leads to a dose related reduction in food
3 intake. In terms of the effects on brown adipose
4 tissue and glucose utilization, if we pretreat the
5 rats with the ganglionic blocker chlorisondamine, then
6 in fact, we can abolish sibutramine's actions to
7 induce thermogenesis. This appears to be due to
8 activation specifically in the paraventricular nucleus
9 of the hypothalamus.

10 Obviously, as a reuptake inhibitor, there
11 is no difference physiologically in the site in the
12 central and the peripheral nervous system. As a
13 reuptake inhibitor, one would expect that sibutramine
14 would inhibit the reuptake of catecholamines into
15 tissues where reuptake is a major component of
16 inactivation of transmission. We can certainly show
17 that it inhibits 5-HT uptake in platelets.

18 DR. SHERWIN: I just wonder will it have
19 then an amplification effect on the periphery only
20 because now you're activating the central system
21 outflow and then you're blocking reuptake
22 peripherally. That's sort of what I'm getting at.

23 DR. HEAL: Sure. That's a very
24 interesting hypothesis and, in fact, it's one that has
25 been put forward by Professor Stock who did the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 thermogenesis experiments. Where, in fact, what we
2 observed was that the magnitude of the effect which we
3 saw with sibutramine was greater than that observed
4 with a direct beta 3 agonist. So, assuming that it
5 was 100 percent efficacious -- and we don't know that
6 it is a full agonist -- then it could indicate that
7 peripheral uptake inhibition does play some part.

8 Interestingly, reuptake inhibition in the
9 periphery was not sufficient to elevate glucose
10 utilization in most of the other tissues. The only
11 other two tissues were one skeletal muscle,
12 gastrocnemius and diaphragm. And that was almost
13 certainly due to increased respiration. Here, the
14 changes were only 20 or 30 percent. So, in tissues
15 like the heart, there was no increased glucose
16 utilization.

17 CHAIRMAN BONE: Thank you.

18 Yes, Dr. Molitch?

19 DR. MOLITCH: I have three questions
20 related, actually, to prior questions by people, from
21 Dr. Kreisberg's question. I didn't hear the answer to
22 the carcass analysis of the rats as to whether there's
23 a selective decrease in the fat compared to other
24 tissues. Number two, I presume, therefore, that the
25 metabolites also have uptake into brain tissue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 actively transported as well. Therefore, is there any
2 serial data looking at the brain transport to see if
3 there is accumulation of drug or does it achieve a
4 steady state at a low level and then continues at the
5 same level in the brain?

6 DR. HEAL: I can answer one of those
7 questions, certainly. The question concerning the
8 analysis of body composition, that has been done. It
9 is not due to a loss of lean mass. It is due to a
10 loss of fat.

11 With regards to questions on kinetics and
12 drug metabolism, then I once again have to hand over
13 to my colleague, Dr. Haddock.

14 DR. HADDOCK: In terms of transport of the
15 metabolite and the sibutramine, it is certainly true
16 that the active metabolites are indeed transported
17 into the brain and the concentrations are some twice-
18 as-high as they are in plasma. In terms of time
19 course, we don't have specific studies analyzing time
20 course extensively in brain and plasma. But certainly
21 from our radio tracer data we can say that the time
22 course is relatively short within the 24 hour period.
23 So, at the end of 24 hours, there is no accumulation
24 or no significant accumulation of material in the
25 brain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you.

2 Dr. Kreisberg has a question.

3 DR. KREISBERG: One last question getting
4 back to this issue of thermogenesis. Can you account
5 for the differences in weight between the treated
6 animals and the placebo animals strictly on
7 quantification of differences in food intake?

8 DR. HEAL: No. There is definitely, as
9 time goes on, a contribution. When we do energy
10 balance equations there is definitely a contribution
11 of thermogenesis in these animals.

12 CHAIRMAN BONE: Just to clarify a point
13 that came up with Dr. Kreisberg's earlier question and
14 your comment on carcass analysis. Is the difference
15 in weight between the animals shown in the growing
16 animal study entirely accounted for by fat?

17 DR. HEAL: There is no loss of lean
18 growing mass in those animals.

19 CHAIRMAN BONE: Bob, does that answer your
20 earlier question? Thank you.

21 All right, I think we're ready to go
22 ahead.

23 DR. HEAL: The following presentation on
24 pharmacokinetics and efficacy will be given by Dr.
25 Carl Mendel, the director of endocrine with Knoll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Pharmaceuticals.

2 DR. MENDEL: Good morning Dr. Bone, Dr.
3 Sobel, members of the Advisory Panel and guests.

4 I'm here to tell you about sibutramine,
5 its pharmacokinetics, its weight loss efficacy, and
6 the effects of sibutramine induced weight loss on
7 comorbidities and risk factors associated with
8 obesity.

9 To start, a very brief summary of what we
10 know about the pharmacokinetics of sibutramine in
11 humans. Sibutramine is efficiently absorbed from the
12 GI tract. It has a large first pass metabolism. This
13 generates two metabolites which mediate the
14 pharmacological response of sibutramine. These
15 metabolites are formed by the cytochrome p450 enzyme
16 system and are further metabolized to inactive
17 products before being excreted in the urine as
18 glucuronides.

19 Here we see the pharmacokinetics in
20 healthy volunteers of a single oral dose of
21 sibutramine. Sibutramine itself has a short half-
22 life, approximately one hour whereas the half-lives of
23 the active metabolites are much longer, approximately
24 14 and 16 hours. Although not shown on this slide,
25 the pharmacokinetics of sibutramine are similar in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 obese subjects and in non-obese subjects, in men and
2 in women, and in the elderly and in the young. On
3 repeat daily dosing, steady state plasma
4 concentrations of the active metabolites are achieved
5 within three to four days with an approximately two-
6 fold accumulation.

7 I'd now like to direct your attention to
8 the weight loss efficacy of sibutramine. The data
9 will show that sibutramine is an extremely effective
10 weight loss agent. Listed here are the eight major
11 placebo controlled studies in obesity which have been
12 conducted and completed to date with sibutramine. In
13 each and every one of these studies, sibutramine was
14 found to produce statistically and clinically
15 significant weight loss in a dose dependent manner.
16 The degree of weight loss was remarkably consistent
17 from study to study. Highlighted in yellow are the
18 two pivotal efficacy studies: BPI 852 and SB 1047,
19 the first of six months' duration, the second of one
20 year duration. If you read down further to the left
21 on the slide, you'll see SB 1049. This is a third
22 long-term study and was of one year duration. In all,
23 more than 2,500 patients were studied in these trials.
24 Shown here is the design of BPI 852, the
25 pivotal dose ranging and efficacy study of six months'

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 duration. More than 1,000 patients were randomized to
2 placebo or sibutramine in doses ranging from one to 30
3 milligrams. Ancillary therapy in the form of dietary
4 counseling, recommendations for an exercise program
5 and suggestions for behavioral modification was
6 provided. Patients completing the study were allowed
7 to enter an open label, long-term extension study that
8 allowed additional monitoring of safety.

9 The inclusion and exclusion criteria are
10 shown here. As you can see, patients with major
11 comorbid diseases were excluded in this study. The
12 baseline demographics indicate that the groups were
13 well matched at baseline. The majority of patients
14 were female and the BMI was approximately 35 kilograms
15 per meter squared. The median weight was almost 100
16 kilograms. Therefore, please keep in mind as we
17 looked at weight loss curves, a five percent decrease
18 in weight would equal approximately a five kilogram
19 decrease in weight. Mean percent change of body
20 weight in this study is shown in this slide for the
21 last observation carried forward or LOCF analysis.

22 The amount of weight lost increased with
23 dose and was marked. At the 20 milligram dose, for
24 example, mean weight loss approached seven percent in
25 this analysis, whereas weight loss on placebo was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 minimal. The rate of weight loss was most marked
2 during the first three months of therapy. For the
3 five to 30 milligram doses, weight loss was
4 statistically significantly greater for sibutramine
5 than for placebo at all time points.

6 In this slide, we see the weight loss
7 curves for completers. These data appear even more
8 compelling. At the 20 milligram dose, for example,
9 there was a mean weight loss of almost nine percent,
10 again, with only minimal weight loss in the placebo
11 group. In the interest of time and to be
12 conservative, I will present only LOCF data from this
13 point on.

14 Now, an analysis of the data in this study
15 -- and I'll show you the slide in a minute -- suggests
16 that we can predict early-on which patients will
17 achieve clinically significant long-term weight loss
18 on a given dose of sibutramine. In particular, those
19 patients who lose four pounds or more in the first
20 four weeks of treatment generally went on to achieve
21 clinically significant long-term weight loss.

22 Now, if we look at the ten milligram dose
23 here, for example, more than 60 percent of patients
24 lost more than four pounds in the first four weeks.
25 Of these, almost 70 percent went on to achieve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 clinically significant -- that is greater than or
2 equal to five percent weight loss -- by week 24.
3 Conversely, of those who did not lose four pounds in
4 the first four weeks, the vast majority -- over 80
5 percent -- did not go on to achieve more than five
6 percent weight loss at week 24.

7 Data from our other long-term studies, SB
8 1047 and SB 1049 confirm this paradigm. Thus,
9 subjects who will not respond well to a given dose of
10 sibutramine can be identified early and taken off that
11 dose of sibutramine. Patients on sibutramine in this
12 study also experienced marked dose related decreases
13 in body mass index or BMI. In the interests of time,
14 BMI slides for other studies will not be shown in the
15 primary presentation but of course, in all of our
16 studies, the observed changes in BMI paralleled the
17 observed changes in weight.

18 If we look at the percentages of patients
19 losing at least five percent of their baseline weight,
20 we see that already at a ten milligram dose,
21 approximately half the sibutramine treated patients
22 lost more than five percent of their weight. This
23 compares to only 13 percent in the placebo group. And
24 at the 15 milligram dose, approximately one-quarter of
25 the sibutramine treated patients lost more than ten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 percent of their body weight as compared to none in
2 the placebo group.

3 Now, changes in waste circumference are
4 generally thought to reflect changes in visceral fat
5 and visceral fat is associated with many of the
6 comorbidities of obesity. Sibutramine induced
7 reductions and waste circumference were marked dose
8 related and commensurate with the amount of weight
9 lost. Overall, in BPI 852, sibutramine doses of five
10 to 30 milligrams produced marked weight loss, marked
11 decreases in body mass index, and marked decreases in
12 waste circumference. Observed decreases in serum
13 lipids and passing blood sugar will be discussed later
14 in the presentation.

15 I now want to turn your attention to the
16 second pivotal efficacy trial. Shown here is the
17 design of SB 1047, the one year placebo controlled
18 efficacy study. Almost 500 patients were randomized
19 to treatment with sibutramine, ten or 15 milligrams or
20 placebo for one year. Ancillary therapy consisted of
21 dietary counseling. The inclusion and exclusion
22 criteria were similar to those of BPI 852 except that
23 stable hypertensives were allowed in this study. More
24 than 100 hypertensives were, in fact, enrolled,
25 approximately half of them on anti-hypertensive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 medication. The baseline demographics in this study
2 were similar to those of BPI 852. The treatment
3 groups were well matched at baseline.

4 Statistically and clinically significant
5 weight loss was observed in this study with the
6 magnitude of weight loss very similar to that seen at
7 comparable doses in BPI 852. As you can see, the rate
8 of weight loss again was greatest during the first
9 three months of treatment. Active weight loss
10 continued out to six months and then was maintained
11 out to one year. More than half the patients at the
12 15 milligram dose lost at least five percent of their
13 body weight. Fully one-third of the patients at this
14 dose lost at least ten percent of their body weight
15 compared with only seven percent in the placebo group.

16 Marked reductions in waste circumference
17 were observed in the sibutramine treated patients as
18 compared to placebo. Although not shown on this
19 slide, the waist to hip ratio also declined
20 statistically significantly in sibutramine treated
21 patients compared to placebo. To summarize, in both
22 pivotal studies, BPI 852 and SB 1047, marked
23 reductions in body weight, BMI and waste circumference
24 were observed.

25 Now let's look at an additional one year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 efficacy study, SB 1049. The purpose of this study
2 was to examine the efficacy of sibutramine in
3 conjunction with a very low diet or VLCD. Patients
4 who lost at least six kilograms of body weight on a
5 VLCD were randomized to 12 months of therapy with
6 sibutramine 10 milligrams or placebo. The groups were
7 well matched at baseline. Of note, the mean weight
8 exceeded 100 kilograms and the mean BMI approached 40
9 kilograms per meter squared.

10 Marked weight loss was seen during the
11 VLCD phase of the study before drug therapy.
12 Treatment with sibutramine then resulted in
13 substantial additional weight loss by month six with
14 maintenance of that weight loss out to month 12.
15 Thus, patients who combined a VLCD with a ten
16 milligram dose of sibutramine lost on average more
17 than 12 percent of their body weight. This study
18 demonstrates the significant additive effects of
19 sibutramine and effective non-pharmacological therapy.

20 More than half the sibutramine treated
21 patients in this study lost at least ten percent of
22 their body weight. Almost one-third lost 15 percent
23 of their body weight and there were even a significant
24 number of 20 percent responders. As in other trials,
25 waist circumference also declined markedly and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 significantly in the sibutramine treated patients.
2 All-in-all, the results of this study show just what
3 sibutramine can do when combined with effective non-
4 pharmacological therapy.

5 I now want to turn your attention very
6 briefly to two studies designed to compare sibutramine
7 with dexfenfluramine, the only agent approved in this
8 country for long-term weight loss. SB 1052 shown on
9 the left was a small pilot study. The largest study,
10 SB 2053, was designed as an equivalence trial. In
11 both these studies, a relatively low dose of
12 sibutramine, ten milligrams, was compared with the
13 full recommended dose of dexfenfluramine, 30
14 milligrams per day for 12 weeks. The yellow is a
15 sibutramine curve; the green is the dexfenfluramine
16 curve. In both studies, the observed placebo
17 subtracted weight loss on dexfenfluramine was similar
18 to that reported in the literature. In both studies,
19 weight loss on sibutramine was numerically superior.
20 Furthermore, in the larger study SB 2053, the
21 appropriate equivalence analysis showed that
22 sibutramine was at least as good as dexfenfluramine.

23 I'd now like to deal with a slightly
24 different subject, the effects of sibutramine induced
25 weight loss on the comorbidities of obesity, including

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 serum lipid profiles, diabetes, hypertension, and
2 serum uric acid. In the presentation that follows it
3 is important to keep in mind that sibutramine is not
4 being evaluated here as a lipid lowering drug, an anti-
5 diabetic drug, or an anti-hypertensive drug. Rather,
6 sibutramine is being evaluated here today as a weight
7 loss agent.

8 In the presentation that follows, we will
9 examine the effects of sibutramine induced weight loss
10 rather than the effects of sibutramine itself on these
11 comorbidities of obesity. Some of the analyses that
12 I will present in this section were completed only
13 recently, the result of questions generated in our
14 ongoing discussions with the FDA.

15 Changes in fasting serum lipids in
16 sibutramine treated patients in BPI 852, our single
17 largest study, are shown in this slide. This is a
18 difficult slide and I'll help you through it in a
19 minute. But what it shows is that there were marked
20 statistically significant differences between the all-
21 sibutramine group and the all-placebo group for
22 triglycerides, cholesterol, and HDL cholesterol. More
23 importantly, the improvements in serum lipids in
24 sibutramine treated patients who lost significant
25 amounts of weight were even more pronounced.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 And if I can just help you through the
2 slide, the all-placebo group is shown on this slide
3 for triglycerides, cholesterol, LDL and HDL. The all-
4 sibutramine group is shown on this line with the
5 significant changes shown. As we go down on these
6 columns, we see more weight loss. We have the no
7 change, zero to five percent, five to 10, 10 to 15,
8 more than 15 percent weight loss, and we see the lipid
9 changes increasing as the weight loss increases. Now,
10 in general, we see the same thing in the placebo
11 group. We have no 10 and 15 percent responders in the
12 placebo group.

13 Thus, serum lipids improve as weight loss
14 increases on sibutramine just as on placebo. Within
15 a given category of weight loss, there were no
16 differences between sibutramine and placebo. Of
17 course, since more weight was lost on sibutramine,
18 serum lipids improved more on sibutramine than on
19 placebo. Overall, these data suggest that sibutramine
20 itself, independent of weight loss, does not
21 positively or negatively affect serum lipids. But
22 that sibutramine induced weight loss results in the
23 full measure of lipid changes expected on the basis of
24 weight loss alone. A meta-analysis of the serum lipid
25 changes in our entire database resulted in very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 similar findings.

2 Another way of looking at this issue is to
3 examine the weight changes and corresponding lipid
4 changes in our database and ask whether the lipid
5 change associated with the given weight change is
6 similar in sibutramine treated patients and in placebo
7 treated patients.

8 Turning to this slide, the percentage of
9 weight change is shown on the X-axis and the
10 percentage lipid change is shown on the Y-axis. And
11 I'll just focus you here. If we take a point here,
12 this would mean that for a 20 percent decrease in
13 weight, we would be getting a ten percent fall in
14 cholesterol shown here. A steeper slope indicates a
15 greater lipid change for a given weight change and
16 that would look something like this. If sibutramine
17 induced weight loss results in the lipid changes
18 expected on the basis of weight loss alone, then the
19 slopes of the sibutramine curves and the placebo
20 curves should be identical. Certainly, the slopes of
21 the sibutramine curve should not be flatter than the
22 slopes of the placebo curves.

23 Turning back to this slide, looking at the
24 data collected from our placebo controlled obesity
25 studies comprising more than 2,000 patients, it can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 seen that sibutramine and the placebo slopes are
2 virtually identical for both cholesterol and
3 triglycerides indicating that for a given amount of
4 weight loss on sibutramine, the improvement in serum
5 lipids expected on the basis of weight loss alone is
6 obtained in full measure.

7 And in this slide, we see the
8 corresponding findings for LDL and HDL -- LDL here,
9 HDL here -- with the slopes of the lines, again,
10 statistically similar but even favoring sibutramine
11 numerically. Thus, we conclude from these data that
12 sibutramine induced weight loss results in the full
13 measure of improvement in serum lipids expected on the
14 basis of weight loss alone.

15 There is one sibutramine study that was
16 conducted specifically in dyslipidemic patients. That
17 study, SB 2059, was a short-term trial conducted in
18 Spain in mild dyslipidemic subjects who met the
19 Spanish criteria for mixed lipidemia shown here on the
20 slide. The study duration was four months. Patients
21 taking hypolipidemic agents were excluded. Weight
22 loss is shown in this slide. Compared to our other
23 studies, the placebo group lost a great deal of weight
24 with the mean weight loss on placebo approaching six
25 percent. As in other studies, however, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 sibutramine group did lose significantly more weight.

2 The serum lipid changes observed in that
3 study are shown in this slide and are consistent with
4 the weight loss achieved. As expected, since both
5 groups lost significant amounts of weight, both the
6 sibutramine treated patients and the placebo treated
7 patients experienced improvements in their serum
8 lipids with the expected numerical superiority of
9 sibutramine. Those patients on sibutramine who lost
10 more weight tended to have greater improvements in
11 their serum lipids. The same was true for placebo
12 treated patients but, of course, more sibutramine
13 treated patients lost significant amounts of weight.
14 This study shows that sibutramine can be used
15 effectively in patients with dyslipidemia.

16 Now let me direct your attention to SB
17 3051, a study that compared sibutramine 15 milligrams
18 and placebo in obese diabetic patients. The study was
19 12 weeks in duration. It contained an open label
20 extension that allowed additional monitoring of
21 safety. There was a larger proportion of males in
22 this study than in most of our other trials and the
23 patients were somewhat older. The mean fasting blood
24 sugars approached 200 milligrams per deciliter and
25 mean hemoglobin A₁ levels approached ten percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Approximately 75 percent of the patients in this study
2 were on either insulin or sulphonyureas.

3 Statistically significant weight loss was
4 obtained on sibutramine as compared with placebo,
5 although weight loss in both the placebo and the
6 sibutramine groups was somewhat smaller than that seen
7 in other studies. The weight loss in sibutramine
8 treated patients was accompanied by numerical
9 decreases in fasting blood glucose with a treatment
10 effect of -30 milligrams per liter for glucose shown
11 here, and -0.4 percent for hemoglobin A₁. Patients
12 who achieved weight loss on sibutramine experienced
13 greater treatment effects in fasting blood sugar and
14 hemoglobin A₁, although all of the changes shown
15 represent numerical trends rather than statistical
16 superiority. In addition, a significantly greater
17 number of patients on sibutramine than on placebo
18 experienced hemoglobin A₁ declines of more than one
19 percent as shown here.

20 Serum lipids also improved on sibutramine
21 as compared with placebo. These improvements were
22 greater in those sibutramine treated patients who lost
23 significant amounts of weight. Although most of these
24 findings represent numerical trends that did not reach
25 statistical significance, they remain consistent with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 our findings that sibutramine induced weight loss
2 results in the full measure of improvement in serum
3 lipids expected on the basis of weight loss alone.

4 We also examined retrospectively the
5 effect of sibutramine induced weight loss on fasting
6 blood glucose in patients with mildly abnormal fasting
7 blood glucoses above 110 milligrams per deciliter in
8 BPI 852, our single largest study. Patients on
9 sibutramine, and particularly those who lost
10 significant amounts of weight shown here, experienced
11 significant decreases in their fasting blood sugar as
12 compared with the all-placebo group. These findings
13 suggest that sibutramine induced weight loss results
14 in the changes expected on the basis of weight loss
15 alone, not only for serum lipids but also for serum
16 glucose.

17 Lastly, let me direct your attention very
18 briefly to a study conducted in obese hypertensive
19 patients. This study, SB 2057, examined the effects
20 of sibutramine ten milligrams compared with placebo
21 over a 12 week period. The treatment groups were well
22 matched at baseline. Approximately one-third of the
23 patients were receiving anti-hypertensive medication.
24 As expected, sibutramine induced significant weight
25 loss in this group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Treatment related changes in blood
2 pressure are shown in this slide. Blood pressure
3 declined in sibutramine treated patients as a whole
4 and in placebo treated patients as a whole, but
5 declined more in the placebo treated patients despite
6 a significantly greater weight loss in the sibutramine
7 group. And I'll come back and help you look at the
8 numbers in a second. Nevertheless, in the sibutramine
9 treated patients who lost significant amounts of
10 weight, blood pressure declined more than in the
11 placebo group as a whole, although less than in
12 placebo treated patients who lost similar amounts of
13 weight.

14 So, if we look at systolic blood pressure,
15 for example, the placebo/sibutramine difference 0.4
16 when we look at the group of entire patients. In
17 those sibutramine treated patients who lost weight,
18 the blood pressure effect is less. Actually, the
19 blood pressure is lower even compared to the all-
20 placebo group although it's not lowered as much as in
21 the placebo patients who lost similar amounts of
22 weight. The effect of sibutramine on blood pressure
23 will be examined in more detail in the safety
24 presentation, but these data indicate that controlled
25 hypertensives can be treated safely and effectively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 with sibutramine.

2 Finally, serum uric acid is another more
3 recently recognized risk factor for cardiovascular
4 disease and was identified as an independent risk
5 factor in the NHANES study. This slide shows the
6 highly statistically significant improvements in serum
7 uric acid in sibutramine treated patients compared
8 with placebo treated patients in our database as a
9 whole and in each of our three long-term studies. As
10 expected, those sibutramine treated patients who lost
11 significant amounts of weight did even better.

12 To summarize, sibutramine produces
13 pronounced weight loss in conjunction with diet and
14 exercise clearly fulfilling the criteria of a
15 successful weight loss agent as established in the FDA
16 draft guidance for weight control drugs. A
17 significant number of sibutramine treated patients
18 achieved more than ten percent reduction in body
19 weight. Waste circumference, an indicator of visceral
20 fat declines proportionately with weight loss in
21 sibutramine treated patients. The dose response curve
22 for sibutramine is broad and efficacy is maintained
23 for at least one year. Sibutramine also produces
24 significant weight loss in patients with dyslipidemia,
25 Type II diabetes and hypertension. Although blood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pressure does not improve commensurate with weight
2 loss on sibutramine, serum lipids, glycemic control
3 and serum uric acid improve markedly in patients who
4 lose weight on sibutramine.

5 Thank you.

6 CHAIRMAN BONE: Specific questions? I'm
7 sure there will be several.

8 Dr. Zawadzki?

9 DR. ZAWADZKI: I have a couple of
10 questions to start. Number one, what was the diet
11 these patients were placed on?

12 DR. MENDEL: In the different studies, it
13 was somewhat different. In the BPI 852, there were
14 individualized diets depending on whether patients
15 were males or females. It was basically a diet, a
16 diet-she. Women were given a 1,500 kilocalorie diet.
17 Men were given an 1,800 kilocalorie diet.

18 DR. ZAWADZKI: So, there was basically a
19 weight reduction diet imposed in all the studies?

20 DR. MENDEL: Yes. All studies were
21 conducted in conjunction with some form of dietary
22 intervention.

23 DR. ZAWADZKI: In the study that you
24 described with individuals with hypertension, were
25 beta blockers excluded as anti-hypertensive agents?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MENDEL: In that study, beta blockers
2 were not excluded. In all of our database, we have
3 only about 30 patients who were treated with beta
4 blockers while receiving sibutramine in obesity
5 studies.

6 DR. ZAWADZKI: And in terms of looking at
7 those data, was there a similar weight loss?

8 DR. MENDEL: Yes. The numbers of patients
9 were small but looking at the data weight loss was
10 essentially identical to those not on beta blockers.

11 DR. ZAWADZKI: Now when one looks at the
12 data from the animal study which showed that there was
13 some blocking of thermogenesis with beta blockers, how
14 do you explain the weight reduction in these clinical
15 studies?

16 DR. MENDEL: I think in terms of the pre-
17 clinical studies, I'll let Dr. Heal comment on those.

18 DR. HEAL: From the pre-clinical studies,
19 it's important to point out that neither beta 1 nor
20 beta 2 antagonists actually prevented the thermogenic
21 effects of sibutramine. It was only when these drugs
22 were given at very, very high dose to block beta 3
23 receptors that we saw a blockade of thermogenesis.

24 In terms of actions on food intake, beta
25 1 antagonists have only a very, very small attenuation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of this affect of sibutramine. This is predominantly
2 reversed by alpha 1 antagonist and 5-HT 2A, 2C
3 antagonists. So, from the animal studies, we would
4 predict that this should not actually block
5 sibutramine's effects in people.

6 CHAIRMAN BONE: Dr. Kreisberg, I think, is
7 next. We'll go around and make sure everybody gets a
8 chance.

9 DR. KREISBERG: The majority of the
10 patients that you studied were women. I don't know
11 what the exact overall breakdown was, but maybe
12 perhaps 500 of the 2,500 that you cited at the outset
13 as participating in these trials were men. I wondered
14 if you've looked at the pattern of weight loss in men
15 and contrasted that to women, and whether your
16 generalizations in terms of efficacy refer to men as
17 well?

18 DR. MENDEL: Yes, we've looked separately.
19 There are between 300 and 500 males in the database.
20 We've looked separately at their weight loss. It is
21 quite similar to the weight loss of the group as a
22 whole.

23 CHAIRMAN BONE: All right, I think Dr.
24 Flack had a question.

25 DR. FLACK: I have several questions and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a comment. Can you explain how the dosing sequence,
2 titration sequence of four weeks was arrived upon?
3 Because when you look at those curves, the rapid fall
4 occurs early-on but there's still a lot of weight loss
5 ongoing at weeks eight and 12. I'm afraid that we're
6 about to march down to the same thing we did with
7 blood pressure drugs, titrating them far too fast.

8 Can you explain why four weeks was chosen?

9 DR. MENDEL: Yes, this was essentially an
10 empirical observation. I mean, we did look at
11 different time periods as well. Four weeks seemed to
12 be a reasonable predictive time period. Again, the
13 predictability really at all doses -- I focused you on
14 the ten milligram dose but the predictability was, you
15 know, in the range of 60 to 70 percent of those
16 patients that did go on to achieve long-term weight
17 loss. Of those who didn't -- I mean, really, about 80
18 percent didn't.

19 Now, clearly, the longer out you go, you
20 do increase the predictability a little better. If
21 you wait until six months, you're at endpoint. So,
22 this is really an empirical observation on which this
23 is based.

24 CHAIRMAN BONE: Dr. Flack, weren't you
25 referring to the interval for adjusting the dose, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 this interval for determining whether the patient is
2 likely responding.

3 DR. FLACK: Right. I'm talking about the
4 interval that a physician would be asked or a
5 practitioner would be asked or told that they could
6 increase the dose, the titration interval. Because
7 you're leaving a lot on the table there. I understand
8 the model. I've reviewed that model. But the average
9 change after that, for two months after that, is still
10 very impressive.

11 DR. MENDEL: Yes, and in terms of dose
12 titration, let me ask Dr. Spigelman to come up.

13 DR. SPIGELMAN: Yes, I think what we're
14 really looking at here is a question of risk benefit,
15 in essence, when we look at the dose titration scheme.
16 The empiric observation is there that at four weeks,
17 one has high predictability to say whether, in fact,
18 the dose that the patient is on will or will not be
19 successful in achieving the desired weight loss aim.

20 What we're saying is that in that time
21 period over four weeks, one can make a clinical
22 decision at that point in time as to whether or not
23 that dose is going to be an effective dose. If it is
24 not going to be an effective dose probabilistically,
25 then what we're saying is that it is not worth the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 further risk of exposure at that dose for the
2 probability of benefit. Therefore, to move on.

3 DR. FLACK: I guess I would view it
4 differently in that the benefit of achieving weight
5 loss in a faster than a one or two month period really
6 is going to confer probably no long-term benefit over
7 the lifetime treatment of the patient and that you
8 probably ought to wait and slow the titration down.

9 CHAIRMAN BONE: We can go into that in
10 more detail this afternoon.

11 DR. FLACK: One final issue is the lipid
12 effect. Really, the lipid effect, when it is
13 displayed by weight loss, is really different than
14 what it is by dose because the dose and the weight
15 loss are related but not perfectly with one another.
16 Looking at the overall compilation of data here, my
17 conclusion, certainly, under 15 milligrams a day is
18 that the lipid changes are relatively inconsistent.
19 They're not consistent across dose. There's really no
20 dose effect. They are there at certain doses in a
21 positive way, but it seems like they're really more
22 inconsistent.

23 DR. MENDEL: Yes. We actually don't
24 believe that the proper way to look at it is by dose.
25 Really, the question is whether in sibutramine treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 patients who lose weight, do we get the expected lipid
2 effects? In order to answer that question, we have
3 much greater power if we pull the data across doses.
4 We believe that's the appropriate way to look at these
5 data.

6 CHAIRMAN BONE: Are there other questions
7 from members of the Committee?

8 I think Dr. Marcus?

9 DR. MARCUS: Yes, I would like first to
10 get some clarification on Dr. Zawadzki's question with
11 particular respect to study 1049. After the very low
12 calorie diet phase, what was the diet that the
13 patients was on for the duration of the drug treatment
14 phase? It's never stated in any of the documents so
15 far.

16 DR. MENDEL: You're right.

17 Dr. Kelly, can you answer that question
18 please?

19 DR. KELLY: Finian Kelly from Knoll
20 Pharmaceuticals in Nottingham of the United Kingdom.

21 After the very low calorie diets, these
22 patients continued to attend specialist obesity
23 centers on a monthly basis as the study was carried
24 out. They had a low calorie diet prescribed and the
25 low calorie diet tended to be in the region of 1,500

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 catalcalories per day.

2 DR. MARCUS: Thank you.

3 Can you tell me anything about ethnicity
4 or racial characteristics of the subjects of these
5 various studies? Have you done any analysis to see
6 whether there is a differential response according to
7 racial group?

8 DR. MENDEL: Yes. Again, the vast
9 majority, about 80 percent, were Caucasian throughout
10 these studies. We have approximately 150 African-
11 Americans in the database. When we look at their
12 weight loss separately, it's very similar to the
13 weight loss in the group as a whole. In terms of
14 orientals and other ethnicities, we really have too
15 few patients in the database.

16 DR. MARCUS: I am particularly concerned
17 about the last observation carried forward strategy in
18 your pivotal study number 1047 because it suggested --
19 it stated here that 49 percent of the placebo group
20 and 55 percent of the sibutramine groups are those who
21 completed the study. That means you essentially had
22 as many people drop out as complete the study.
23 Although you say it's typical completion rate for a
24 year long study in obesity, nonetheless, it can be
25 highly confounded if they dropped out at some point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 after a weight loss -- for whatever reason they
2 dropped out, if you're carrying the observation
3 forward to the end of the year. On one hand, it could
4 be a conservative guess that they wouldn't be losing
5 more. On the other hand, they could have been
6 regaining. You give us no opportunity in the data
7 that you've submitted to understand what really went
8 on. I wonder if you can clarify some of that?

9 DR. MENDEL: We have three different types
10 of analyses. All yield very similar curves.
11 Basically, a true intent to treat where patients are
12 followed for the duration of the time after they drop
13 out really wasn't feasible in these studies. So, the
14 three different ways of looking at the data are a
15 completer's analysis, the last observation carried
16 forward analysis, and an observed analysis. All three
17 analyses on the curves for all the studies looked
18 very, very similar. In general, the completers
19 analysis looks best.

20 CHAIRMAN BONE: Well, let's see -- did you
21 have any further questions?

22 DR. MARCUS: Well, I'm just trying to
23 process the answer to that question.

24 DR. MENDEL: Would you like to see some of
25 the other curves?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MARCUS: Yes, I think so.

2 DR. MENDEL: Okay.

3 CHAIRMAN BONE: I think the other
4 correlated question --

5 DR. MENDEL: With the chairman's
6 discretion here.

7 CHAIRMAN BONE: Well, the other correlated
8 question would be whether, in any of your studies, you
9 in fact had a true intent to treat analysis by
10 rounding up the dropouts at the end of the planned
11 observation period?

12 DR. MENDEL: No, we didn't. That's very
13 hard to do in these studies.

14 CHAIRMAN BONE: Yes. Could we make an
15 arrangement to have those --

16 DR. MENDEL: Surely.

17 CHAIRMAN BONE: -- slides shown in the
18 beginning of the afternoon session? Would that be all
19 right?

20 DR. MARCUS: Yes. I have one final
21 question that just addresses what would seem to be a
22 rational strategy for the use of this drug, but your
23 analysis doesn't show what would happen if you modeled
24 it that way. That is, a physician prescribes up to
25 your maximum dose, 20 milligrams. And at the end of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a period of time on that drug, the patient has not
2 lost weight, then you would think that the physician
3 would then stop the drug. For those that did meet
4 that, then you would have anticipation that the amount
5 of weight loss would even exceed what your mean weight
6 loss that you've shown in your curves would indicate.

7 Now, your non-pivotal studies actually
8 give some hint as to how many patients would actually
9 have lost 20 or more percent. It looks pretty good,
10 but I'd like to know whether you can give us some
11 similar information about the much larger pivotal
12 studies to see whether that degree of weight loss in
13 so-called responders is actually what you observed.

14 DR. MENDEL: I'm not aware of specific
15 analyses that have been done on that question.

16 Do the statisticians have -- Bob?

17 MR. McENTEGART: Damian McEntegart,
18 Hattert Statistics from Nottingham in the United
19 Kingdom.

20 Could I have statistic slide number two,
21 please?

22 CHAIRMAN BONE: While that slide is being
23 put up, I think for the rest of the time here, perhaps
24 what we'll do for things that require more extended
25 discussion or information that wasn't actually

1 presented in the talks is we can perhaps set aside a
2 little time immediately after lunch to review those
3 points. We'll deal directly with the content of the
4 talks for now just to make sure we keep following
5 here. That way, all those can be put together by the
6 company and be ready.

7 Well, it's up now, let's go ahead. I
8 think that's fine.

9 MR. MCENTEGART: Okay.

10 When we planned the analysis for study SB
11 1047, we were very aware of the kind of issues that
12 have just been raised. Our primary analysis was what
13 determined outcome analysis. We called it this
14 because it's based on the patients' response outcome
15 in the trial. This outcome analysis was identified as
16 our principle measure of efficacy in the study
17 protocol. It was based on an article by Larry Gould
18 in the 1980 Biometrics paper.

19 In the analysis, patients are ordered
20 according to their outcome in the trial. The best
21 outcome is considered to be withdrawal due to
22 treatment of success whereby either the patients or
23 doctor does not consider it beneficial for the patient
24 to receive any more weight. Patients who complete the
25 trial are then ordered below this best outcome of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 treatment success withdrawal according to their actual
2 month 12 weight loss or gain. So, the highest weight
3 loser has the second best outcome. The next highest
4 weight loser has the third best outcome and so on,
5 until the outcome of highest weight gain is reached.

6 Patients who withdraw from the trial for
7 reasons other than treatment success are then assigned
8 outcomes below that of the patient with the highest
9 weight gain. Definite treatment related withdrawals
10 due to adverse events and/or lack of efficacy are
11 assigned to the worst outcome. Other withdrawals are
12 assigned the next worst outcome on the grounds that
13 they may be related to treatment. In these set of
14 ordered actions that we've compiled is then compared
15 between treatments using the Kruskal Wallis test
16 overall and Wilcoxon rank sum tests for pairwise
17 comparisons.

18 So, in effect, what this outcome analysis
19 is doing is just giving us a different way of handling
20 the dropouts than last observation carried forward.
21 We didn't present this in our presentation for
22 consistency with the other studies. The FDA
23 statistical reviewer herself quotes a view that no
24 single analysis can be taken as a valid comparison of
25 efficacy. Rather, what we're looking for is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 consistency of results across approaches that use
2 different ways of handling withdrawals. Indeed, the
3 results for 1047, which I can show if you'd like to
4 see, do have this consistency for sibutramine.

5 If I could have statistic slides number
6 three and four, please?

7 So these, then, are the ordered outcomes
8 in the trials defined as I've just described. At the
9 top, we have the one or two withdrawals due to
10 treatment success. And the next best outcome is the
11 patients who lose more than 20 percent weight loss.
12 We can see there is a supremacy for sibutramine in
13 that category and so on through the completers of the
14 trial, down to the patients at the bottom who withdrew
15 due to lack of efficacy and/or adverse events. They
16 are assigned the worst outcome in the analysis.

17 If I could have the next slide, please?

18 Comparing the treatment groups overall by
19 Kruskal Wallis test, the pairwise comparisons by
20 Wilcoxon and Rank sum tests, we can see that indeed,
21 overall, there is a significant difference between the
22 treatment groups and in the pairwise comparisons.
23 Sibutramine 10 milligram and sibutramine 15 milligrams
24 are very superior to placebo.

25 CHAIRMAN BONE: Thank you.

1 Let's see, I think Dr. Illingsworth had a
2 question.

3 DR. ILLINGSWORTH: In your study SB
4 1049 --

5 CHAIRMAN BONE: Excuse me, Roger.

6 Dr. Zawadzki, did your question pertain to
7 this exactly? No? All right, we'll come back to you.
8 You started so we'll give everyone else their first
9 chance.

10 DR. ILLINGSWORTH: In your study SB 1049,
11 the slides indicate there was a three month follow-up.
12 What happened to the patients in whom the drug was
13 stopped? Did they regain weight? If so, do you have
14 data on this?

15 DR. MENDEL: Yes. Can I have backup slide
16 22, please?

17 Essentially, what this slide will show is
18 that when drug is stopped, patients do regain weight.
19 We interpret that to mean that the drug is continuing
20 to be effective out to at least one year. This slide
21 only shows the weight regain out to one month. It
22 continues up with the weight regain out to three
23 months. You can see the placebo subjects also regain
24 weight, but the sibutramine subjects regain more
25 weight.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you.

2 Did you have another question, Dr.
3 Illingsworth? No.

4 I think Dr. Colley had a question, did
5 you? No?

6 Dr. Flack had a question. We'll come back
7 to him. Dr. Zawadzki -- if no one else -- actually,
8 the Chair had one or two questions and hasn't had a
9 chance yet. So, I'll take a turn.

10 Would you discuss what occurred during
11 your run-in periods? Exactly what was done during the
12 run-in periods and what were the criteria for the
13 patients going into the randomized study?

14 DR. MENDEL: The run-in periods were very
15 short, usually only about two weeks. What was done
16 during the run-in periods was simply initiation of the
17 ancillary therapy. In some cases, that was diet only.
18 In other cases, it was diet, exercise, and behavioral
19 modification.

20 CHAIRMAN BONE: And were there criteria
21 for the patients to be entered into the randomized
22 phase at all?

23 DR. MENDEL: No, there were no criteria
24 based on what they did during the run-in period.

25 CHAIRMAN BONE: And how many people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 entered the run-in period but didn't go into
2 randomization, typically?

3 DR. MENDEL: In some cases, actually, the
4 randomization occurred before the run-in period. So,
5 typically, most patients entering the run-in period
6 entered the randomization. Even in the VLCD study
7 where patients had to lose six kilograms to enter the
8 protocol, more than 90 percent of the patients did, in
9 fact, lose six kilograms. Of those, a total of about
10 80 percent were randomized.

11 CHAIRMAN BONE: Thank you.

12 Dr. Zawadzki and then Dr. Flack.

13 DR. ZAWADZKI: Were there any quality of
14 life measures done in any of these studies?

15 DR. MENDEL: No, there were not.

16 CHAIRMAN BONE: Dr. Flack?

17 DR. FLACK: It would seem that the drug
18 would be an ideal drug to use in diabetics, yet the
19 weight loss threshold didn't really exceed five
20 percent for the treated group over the placebo group
21 in the one diabetic study.

22 My question is, one, do you have an
23 explanation for that? Two, are there any studies
24 ongoing, or perhaps additional data you have,
25 demonstrating better efficacy in diabetics?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MENDEL: I think in terms of the
2 diabetic population, it's well recognized that
3 diabetics are quite resistant to losing weight. So,
4 both the placebo group in the diabetic study and the
5 sibutramine group did not do as well as groups in
6 other studies.

7 In terms of whether or not -- and so, it
8 is possible that in diabetics as a whole, they will
9 respond more poorly in terms of weight loss than the
10 population as a whole. However, the key to using this
11 drug will be to use it and continue using it only in
12 those patients who are responding to the drug. Even
13 if there are fewer diabetics who respond well to the
14 drug, those that do respond should have a good outcome
15 on sibutramine.

16 CHAIRMAN BONE: Dr. Sherwin had a comment.

17 DR. SHERWIN: Yes, I would differ with you
18 in terms of benefit to people with diabetes. Since
19 this drug seems to work through increasing sympathetic
20 outflow, that produces insulin -- impairs beta self
21 function. So, one would not anticipate that a drug
22 that would increase sympathetic outflow would benefit
23 people -- there would be confounding factors both
24 ways.

25 DR. FLACK: I think that's the issue on a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 number of disease conditions and I was speaking simply
2 from the narrow focus of weight. Because there are a
3 number of conditions --

4 DR. SHERWIN: Well, you said ideal, and
5 that's the reason --

6 DR. FLACK: Well, they're ideal in the
7 sense that many of the diabetics are overweight. But
8 your point is well taken and I appreciate that.

9 DR. MENDEL: We actually don't believe
10 that the pre-clinical pharmacology indicates that
11 there should be any adverse effects on diabetes
12 control.

13 I'd like Dr. David Heal to comment on
14 that.

15 DR. HEAL: Once again, I'm going to have
16 to ask for two slides for this. So, if you would like
17 this to be deferred to this afternoon's session, I
18 will present it then. But if you want the issue dealt
19 with now --

20 CHAIRMAN BONE: Well, why don't we do
21 this? Let's go on to the next question while you're
22 getting those slides ready, if there is a next
23 question.

24 Any other questions or comments from
25 members of the Committee?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. HEAL: I'm not by training, someone
2 that is skilled in the art of diabetes and its
3 management. However, I present this information to
4 you. It's in very preliminary form and it has been
5 experiments which have been conducted by Dr. Cliff
6 Bailey who is very eminent in this field.

7 What it shows is the effect of 24 hour
8 incubations of L-6 cells with sibutramine and
9 metabolite 2 which is the primary amine on the uptake
10 of 2-deoxyglucose. The study used 2-deoxyglucose as
11 a measure of glucose uptake by L-6 rat muscle cells.
12 The cells were exposed to increasing concentrations of
13 either sibutramine or metabolite 1.

14 In the absence of added insulin, you can
15 see that there is a clear increase in the uptake of
16 glucose by these cells. The L-6 muscle cells are also
17 sensitive to insulin, and as a submaximal stimulation
18 10^{-8} molar, we can see that this increases glucose
19 uptake by about 50 percent. This effect is actually
20 significantly increased by sibutramine at 10^{-8} molar
21 and metabolite 2 at all doses. These concentrations
22 are very similar to the concentrations which are
23 circulating in plasma at steady state. Thus they
24 argue that sibutramine and the metabolite 2 have a
25 direct action to improve insulin sensitivity in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cultured muscle cells.

2 This slide shows the effects of
3 sibutramine on body weight, food intake and plasma
4 glucose concentration in the ob/ob mouse. This slide
5 shows you effects of sibutramine 10 milligrams per
6 kilogram on these three parameters when given daily
7 for a period of 28 days. The ob/ob diabetic mouse
8 produces a model of severe insulin resistance and
9 hyperinsulinemia. During the treatment period, this
10 dose of sibutramine did not significantly affect
11 either food intake or body weight. However, it
12 significantly decreased plasma glucose concentrations
13 as you can see here, without significantly altering
14 plasma insulin levels. This study provides evidence
15 to show that sibutramine also improves insulin
16 sensitivity in vivo.

17 DR. SHERWIN: That's very interesting.
18 I'm surprised. Do you have -- well, I guess we can
19 discuss it later in terms of the clinical.

20 As far as the isolated muscle cells, I
21 would think that since this drug is working on the
22 nervous system, an isolated muscle cell wouldn't be
23 terribly relevant or may not be the best approach.
24 But the animal study is interesting and surely is
25 unexpected for me from my perspective anyhow.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MENDEL: Right, we also have -- I'm
2 sorry.

3 DR. HEAL: It seems that this may be
4 actually a direct structure effect of sibutramine as
5 a molecule because those muscle cell cultures wouldn't
6 be expected to contain catecholamines.

7 DR. SHERWIN: Right.

8 DR. MENDEL: We also have looked at the
9 data in the diabetes study and we have a presentation
10 prepared that show that trends actually favor
11 increasing insulin sensitivity rather than decreasing
12 it. I don't know whether you'd like to present that
13 now or later?

14 CHAIRMAN BONE: Certainly not. Let's talk
15 about that later. Otherwise, we're going to
16 compromise the rest of your presentation. That was a
17 point that Dr. Spigelman asked me to avoid.

18 Are there further questions?

19 Dr. Critchlow?

20 DR. CRITCHLOW: Yes, just one, a
21 clarification on the titration. I just want to be
22 sure I understand this. You would propose that people
23 be started on the five milligram dose. If they don't
24 lose sufficient weight, they would increase to ten?
25 Otherwise they stay on five or what?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SPIGELMAN: The starting dose of the
2 vast majority of the patients -- and we can get into
3 this in a discussion or now, as Dr. Bone prefers --
4 would be ten milligrams with five reserved for special
5 populations. Then the schema would be as I described
6 it earlier. For those patients who do not lose the
7 four pounds over four weeks but who do have very good
8 tolerability of the drug, the dose would be escalated.
9 But those patients who do lose four pounds in four
10 weeks, they would continue with good tolerability on
11 that same dose.

12 CHAIRMAN BONE: I think that's a little
13 bit contrary to the suggestion that was made earlier
14 and we're going to have to discuss that extensively
15 this afternoon, I'm sure.

16 Further questions or comments before we go
17 on to the next speaker?

18 DR. MENDEL: I'd like to then introduce
19 Dr. Timothy Seaton who will deliver the safety
20 presentation.

21 DR. SEATON: Good morning, Dr. Bone, Dr.
22 Sobel, members of the Advisory Panel and guests.

23 The safety presentation will demonstrate
24 that sibutramine is a safe and well tolerated drug.
25 The presentation will include a description of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006