

1 DR. LEVANDOWSKI: Okay. Dr. Kuniaki
2 Nerome is from the Laboratory of Respiratory Viruses
3 at NIH in Tokyo. And, as you heard, they've had quite
4 a different experience there this year, with H1N1
5 viruses, from most of the rest of the world. And we'd
6 very much like to hear that information.

7 DR. NEROME: If possible, I would like to
8 express to Dr. Levandowski from FDA and Dr. Nancy Cox
9 from CDC thanks for their invitation to attend this
10 important meeting and present influenza activity in
11 Japan.

12 May I have my first slide, please.

13 In Japan, co-circulation of the H3N2 and
14 B viruses were repeated this sick season. This is the
15 first half was Hong Kong and second half was the B
16 viruses. The end saw a small peak caused by the B
17 virus Victoria-like strain. So, in Japan, two types
18 of B viruses coincided in the second half of the
19 season.

20 Next slide, please.

21 This is the total number of virus isolated
22 last season in Japan. 9,373 viruses isolated in Japan
23 in last season. They are divided into three antigenic
24 groups. The first one with H1 subtype. There are
25 1.13 percent. The second type H3N2 viruses,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 corresponding to 57.2 percent. The third type are the
2 B viruses. The diversity divided into, evolutionary,
3 the Yamagata-like lineage. So, only in Japan, two
4 types of unrelated viruses co-circulated last season.
5 In this season, this was a quite different.

6 Next slide, please.

7 And you are looking at this visual of a B
8 virus strain. This indicate as two viruses in
9 different parts of the west part of Japan, the center
10 of a part of Japan in Hokkaido. And this red
11 indicates co-circulating H3N2 and H1N1 viruses, but
12 only two prefectures isolated the H1N1 viruses.

13 Next slide, please.

14 And this is the original H1N1 and H3N2.
15 And co-circulating, H3N2, H1, and B viruses. You can
16 see here, Japan experienced co-circulation of the H3N2
17 and H1N1 viruses.

18 Next slide, please.

19 This is a number of virus in isolation
20 reported at weekly intervals. You can see here
21 strains started in isolation with H3N2 viruses here in
22 mid-October, like this. You see here a sharp increase
23 in the number of virus isolation H3N2 viruses. In
24 parallel, H1N1 were also isolated in many parts of
25 Japan country here. You can see here a co-circulation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D. C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with H1N1 and H3N2 viruses. Co-circulating only in
2 Japan.

3 Next slide, please.

4 This is a table explaining of the H1N1
5 viruses. You can see a HI index showing titer of 640.
6 On the basis of this HI pattern, please look down
7 here. Most of the viruses, you have the high titer
8 160. This is the Hiroshima strain. And the Nagoya
9 strain also you have the high titer to 160. In
10 several strains here you can see a 40, 20, and 80.
11 And a Beijing antiserum inhibited very weakly these
12 second virus here. You can see here there's two type
13 of antigenic variants co-circulating in Japan.

14 Next slide, please.

15 I can summarize here, because the letters
16 are very small, very hard to see the small letters.
17 This is variant. They have the high titer to Beijing
18 strain. And it is saying that this may be belonging
19 to a Beijing-like variant. And the second is Sendai-
20 H. Sendai-H is in Chiba strain. You have the high
21 titer to Beijing and Chiba belonging to a distinct
22 antigen group.

23 Next slide.

24 There we have sequencing of 10 strains
25 here. On the basis of the antigen sequencing,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Beijing/262 strain like this. This is New Caledonia
2 strain. One amino acid difference between the Beijing
3 like this. Only one amino acid. And this is the
4 Bayern/07/95 strain. There are 12 to 13 amino acid
5 differences between them. These amino acid
6 differences only molecules belonging to antigenic site
7 A and B.

8 This is changing of H1N1 viruses because
9 here a changing of viruses has evolved since 1998 two
10 crossed like this. This recent Japanese variant
11 belongs to indicated by New Caledonia-like strain.
12 For example, this first variant belongs to Japanese
13 New Caledonia-like strain. And this indicates a
14 Beijing-reactive strain. The remaining strains are
15 similar evolutionarily to the B/Beijing-like strain.

16 This is H3N2 viruses you can see here
17 The majority of the H3N2 viruses are reactive
18 Chiba-reactive strain.

19 And only one strain

20 I'll summarize here. Japanese have seen
21 two viruses, separating into two distinct other
22 groups. The first one reacted to the -like strain
23 like this. Chiba and inhibited it weakly, this
24 strain. And the second group you can see here, Osaka
25 and Hiroshima Yamagata, reacting to high titers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactive strain here.

2 And now this is a second group of the
3 antigen variant, Fukushima and Sendai/H and Yoshima/H.
4 and Chiba were inhibited very weakly against strain
5 like this. And this to Sendai/H reacted with high
6 titer to -like strain.

7 We also completed a analysis of this
8 Sydney-like strain and another variant, Moscow like
9 this. The six differences you can see here. And this
10 is constructed from HA genes here. Our indicator,
11 Moscow-like strain, branched across it here. The
12 second one, strain. They are distantly related to
13 each other. The majority of this strain belonged to
14 this branch, indicating it is a Moscow-like strain.

15 This is the only one B viruses isolated in
16 Japan. This is a Shangdong and Beijing were not
17 reactive to this. But this is one of the varieties
18 reactive with a high titer to Beijing like this. It
19 is interesting that --

20 This is also comparing and Yamagata and
21 Yamanashi and Shangdong-like strains. Between
22 Shangdong-like strains, two obvious differences can be
23 seen here between Yamanashi and Yamagata strains.

24 This is also then the You see Beijing
25 here. You see Yamanashi. You see Shangdong-like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strain here. They are quite different from each other.
2 Shangdong-like branch indicator, belongs to a
3 different evolutionary lineage.

4 In conclusion, our total number isolated
5 around 278 strains were isolated in Japan. H1 is 731,
6 corresponding to 57.3 percent. H3 is 546 isolated and
7 B only one.

8 In conclusion, as a result of what we
9 followed up with virological surveillance, a total
10 1,278 viruses were isolated in Japan in this season.

11 Second, of the total isolated, 731
12 corresponded to 57.3 percent was identified and they
13 were separated into two distinct antigenic groups.
14 The first variant was similar to the A/Beijing/262.
15 And the second variant was indistinguishable from
16 A/New Caledonia/29/90 strain. This result suggests to
17 us to change H1N1 vaccine strain from A/Beijing/262 to
18 current epidemic strains such as A/New Caledonia
19 strain.

20 Third, the rest of 546 corresponding to
21 42.7 percent were H3N2 viruses and 93.5 percent of
22 them were A/Sydney/5/97-like variant. I am wondering
23 if Sydney/5/97 viruses will still cause outbreak as a
24 major epidemic strain in the forthcoming winter
25 months. Having considered, we may cancel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A/Sydney/15/97 as a vaccine strain and consider the
2 use of current epidemic strain in 2000-2001 season.

3 Fourth, regarding the vaccine strain of
4 Influenza B viruses, we need, Japanwide, more
5 information because we isolated only one strain in the
6 season.

7 Finally, H5N1 and H9N2 viruses still co-
8 circulate in poultry and wild birds in Southern China.
9 Additionally, co-circulation of H9N2, classic swine
10 H1N1, and human H3N2 viruses in swine population in
11 Southern China suggest to us that influenza in the
12 world in the 21st century may encounter the crucial
13 issue.

14 Thank you very much.

15 CHAIRMAN GREENBERG: Thank you very much,
16 Dr. Nerome. Roland, do you have any questions? Are
17 there any questions of the panelists for Dr. Nerome.
18 If not, we'll take a break. And I'm going to try to
19 catch up a little bit here, so I would like for all of
20 you to be back at -- it's now 10:34 by my watch.
21 10:50 I'd like you to be back and Roland will start.

22 (Recess.)

23 CHAIRMAN GREENBERG: Okay. I'd like to
24 continue with Dr. Levandowski talking to us about
25 vaccine responses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Okay. Thank you, Dr.
2 Greenburg.

3 Some of the material I'm going to cover
4 has been mentioned in passing in some of the other
5 presentations. I'd like to point out that we haven't
6 really gotten all of the information that we would
7 like to have and, as was pointed by Nancy Cox, there
8 are some serologic studies that I don't have reflected
9 in the material that's been handed out only because
10 that information is so new. We tried to do the best
11 we can to have as much up-to-date data as possible.

12 CHAIRMAN GREENBERG: Hold on. People with
13 cell phones are in big trouble.

14 (Laughter.)

15 Especially if they're FDA staff.

16 (Laughter.)

17 DR. LEVANDOWSKI: Okay. So I'll continue.
18 I'm going to talk about the 1999 vaccine studies. For
19 the convenience of the committee and anybody who has
20 one, the handouts include all the overheads that are
21 going to be used to present the serologic responses.
22 What I'm going to try to do is to summarize the
23 information that's contained in materials that have
24 come from a number of different sources and have been
25 provided for committee review.

1 I should point out that the serologic data
2 do reflect this ongoing very major international
3 collaborative effort. And it's greatly facilitated
4 and largely possible because of the commitment by the
5 World Health Organization and its influenza centers to
6 collecting information.

7 If we can go to the first overhead, it
8 should be page two in the handout. This overhead
9 shows the serum panels that are used for the serologic
10 studies, which include three separate sets of serum
11 panels from adults and elderly in Australia, Europe,
12 and the United States. The vaccines used for
13 immunization are shown on the table, I hope.

14 And I call your attention to the vaccine
15 that was used in Australia, which includes as the B
16 component B/Harbin/07/94. Data for the Influenza B
17 viruses for the Australian sera are being presented
18 since they provide quite a lot of information that's
19 very similar to the data from vaccines containing the
20 B/Yamanashi/166/98 strain.

21 The laboratories participating in
22 performing the serologic testing include the WHO
23 Influenza Center in Melbourne, Australia; the National
24 Institute for Biological Standardization and Control
25 in London; the Centers for Disease Control and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Prevention in Atlanta; and the Center for Biologics
2 Evaluation and Research in Bethesda. The labs share
3 these sets of sera, as shown, and it accounts for
4 approximately 175 serum pairs.

5 Next overhead, please. This is on page
6 three; it should be.

7 This slide shows the H1N1 antigens that
8 were used for serologic testing for the material that
9 are presented. Not every one of these antigens was
10 used by all the laboratories, but they were used in
11 different places to try to explore a variety of new
12 antigens. A core of the antigens is, however, tested
13 in each of the laboratories and that's used as a
14 comparison between the laboratories since there are
15 known technical differences between each of our labs
16 in terms of serologic testing.

17 The serologic studies have been performed
18 in two separate campaigns coinciding with the World
19 Health Organization recommendations for the Southern
20 Hemisphere in September of 1999 and the current
21 evaluation of influenza viruses. The antigens shown
22 include representative strains for both of the H1N1
23 lineages that are circulating and it includes strains
24 related to the A/Beijing/262 strain, which is the
25 current vaccine strain and the A/Bayern/07/95-like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strains.

2 Next overhead, which is page four.

3 This overhead shows results obtained in
4 September 1999 from two of the participating
5 laboratories using a panel of sera from elderly in
6 Europe. The table includes data on geometric mean
7 titers; the percent greater than or equal to 32 or 40
8 for the titers; and the percent fourfold rises. The
9 data that are shown here are from the Center for
10 Biologics at the top and from WHO Melbourne at the
11 bottom. And the vaccine strain was A/Beijing/262/95.

12 The vaccine used was immogenic and it
13 produced homologous antibody responses. In this
14 particular instance, the A/New Caledonia and the
15 A/Nanchang are A/Beijing/262-like strains and the
16 A/Johannesburg is a Bayern/07/95-like strain. In both
17 cases, the A/Beijing/262 vaccine produced antibodies
18 that cross-reacted well with the Johannesburg strain.
19 However, the other Beijing/262-like strains were not
20 well-inhibited by the sera produced in response to the
21 vaccine antigen. In both of these instances, there
22 was a reduction in the geometric mean titer of more
23 than 50 percent.

24 Next overhead, which is page five.

25 This overhead shows results that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strains.

2 Next overhead, which is page four.

3 This overhead shows results obtained in
4 September 1999 from two of the participating
5 laboratories using a panel of sera from elderly in
6 Europe. The table includes data on geometric mean
7 titers; the percent greater than or equal to 32 or 40
8 for the titers; and the percent fourfold rises. The
9 data that are shown here are from the Center for
10 Biologics at the top and from WHO Melbourne at the
11 bottom. And the vaccine strain was A/Beijing/262/95.

12 The vaccine used was immogenic and it
13 produced homologous antibody responses. In this
14 particular instance, the A/New Caledonia and the
15 A/Nanchang are A/Beijing/262-like strains and the
16 A/Johannesburg is a Bayern/07/95-like strain. In both
17 cases, the A/Beijing/262 vaccine produced antibodies
18 that cross-reacted well with the Johannesburg strain.
19 However, the other Beijing/262-like strains were not
20 well-inhibited by the sera produced in response to the
21 vaccine antigen. In both of these instances, there
22 was a reduction in the geometric mean titer of more
23 than 50 percent.

24 Next overhead, which is page five.

25 This overhead shows results that were

1 obtained in January of this year from two of the
2 laboratories using a panel of sera from adults in
3 Europe. These data are from NIBSC at the top and from
4 CDC at the bottom. The vaccine strain, again, was
5 A/Beijing/262/95. A/New Caledonia; A/Madrid;
6 A/Wisconsin; A/Nanchang; and A/Peru are all
7 A/Beijing/262-like strains. And A/Johannesburg -- and
8 I hope I'm right on all these because I'm a little
9 confused myself -- A/Hong Kong; and A/Argentina are
10 Bayern/07/95-like strains.

11 Again, the vaccine elicited good responses
12 to the vaccine antigen and to the A/Bayern-like
13 strains, but the response to the new A/Beijing/262-
14 like strains was reduced by more than 50 percent in
15 most of the instances.

16 Next overhead, which is page six.

17 Moving on, this slide shows the Influenza
18 B viruses that were used for serological testing. The
19 antigens shown include representative strains for both
20 of the B lineages that are circulating and includes
21 strains that are related to B/Harbin/07/94 or
22 B/Yamanashi/166/98, the vaccine strains. And to the
23 B/Victoria/287-like strains. For the most part, these
24 serologies have been done with ether-treated Influenza
25 B antigens, but there are some exceptions but I'm not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to go into that on these slides.

2 Next overhead on page 7.

3 This overhead shows the results that were
4 obtained in September '99 using a panel of sera from
5 adults in Australia. The data are from CBER at the
6 top and from the World Health Organization, Melbourne,
7 at the bottom. The data demonstrate that the current
8 vaccine strain produces antibodies at somewhat reduced
9 titers for some of the newer B/Yamanashi-like strains.
10 For the B/South Australia strain, for example, there
11 is more than a 50 percent reduction in titer shown as
12 compared to the vaccine or vaccine-like strain.

13 In addition, as has been previously seen
14 for several years, the antibody titers are extremely
15 low against the newer B/Victoria-like strains, such as
16 B/Shangdong/07/97 and B/Sichuan/40/99.

17 Next overhead which is page eight.

18 This overhead shows results that were
19 obtained in January of this year using a panel of sera
20 from adults in the United States. The data are from
21 CDC at the top and from the WHO Melbourne at the
22 bottom. These data demonstrate that the current
23 vaccine produces antibodies that cross-react
24 reasonably well with other new B/Yamanashi-like
25 strains such as B/Tennessee/4/99 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 B/Shanghai/180/99. However, antibody titers are
2 reduced by more than 50 percent against the
3 B/Shenzhen/654/99 strain, which, again, is in the same
4 lineage as the current vaccine strain, B/Yamanashi/166.
5 And I think you can see that in both of these serum
6 panels, that there's a fairly marked reduction for
7 that particular strain.

8 Next overhead, which is page nine.

9 This overhead shows results that were
10 obtained, again, in January of this year using a panel
11 of sera from the elderly in the United States and the
12 data are from the CDC at the top and from the Center
13 for Biologics at the bottom. The data, again,
14 demonstrate the current vaccine strain produces
15 antibodies that cross-react reasonably well with many
16 of the newer influenza viruses that are in the
17 B/Yamanashi lineage. But, in the CDC data, again, you
18 can see that the titers for the B/Shenzhen/654 virus
19 are markedly reduced as compared to the vaccine
20 strain.

21 We're going to skip over page 10. Go to
22 page 11.

23 This overhead shows the H3N2 influenza
24 viruses used for serologic testing. And, actually,
25 this doesn't even show all the viruses that have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used, but I included most of them here. The antigens
2 chosen, again, are currently circulating strains that
3 are representative of these A/Sydney/5/97-like strains
4 that are the current vaccine strain and also strains
5 that appear to be less well inhibited by ferret
6 antisera raised against the A/Sydney strain.

7 I think we'll skip over to page 13. And
8 this overhead shows results that were obtained in
9 January of this year using a panel of sera from
10 elderly in the United States. The data are from the
11 Center for Biologics at the top and from WHO Melbourne
12 at the bottom. And what they show is that the
13 responses to the newer H3N2 strains were, for the most
14 part, similar to the vaccine strain. However, a
15 notable exception to this is, for the A/Philippines --
16 and I guess I've got a typo there. I think this is
17 supposed to be "'99" -- that the A/Philippines/26/99
18 strain shows a very marked reduction which is more
19 than twofold in the test that was done at WHO in
20 Melbourne.

21 Next overhead, which is page 14.

22 This overhead shows results, again, that
23 were obtained in January of this year using a panel of
24 sera from adults in Europe and the data are from CDC
25 at the top and from NIBSC at the bottom. These data

1 demonstrate reductions in titer against some of the
2 newer viruses, including the A/Moscow/10/99 strain;
3 the A/Shanghai/42/99 virus; and the A/Panama strain.
4 The data from NIBSC also indicate that there are good
5 antibody titers against the A/Shenzhen/510/99 strain.

6 Okay, skip ahead to page 16 and I'll try
7 to put this into a summary form. The following tables
8 show the frequency with which we found new test
9 antigens given a 50 percent or greater reduction
10 compared to the current vaccine strain. 50 percent is
11 used here as an arbitrary breakpoint since it does
12 represent a twofold reduction, which in geometric mean
13 titer terms is fairly substantial.

14 The data included in this table are for
15 antigens that were tested in more than one lab where
16 possible, but antigens that were tested in a single
17 lab are included where they are of particular
18 interest. I've not included everything that's been
19 done here. It should be noted that not all of the
20 testing has been completed, so there are, obviously,
21 some holes in some of these tables we're going to see.
22 In this particular table, the antigen at the top is
23 one of the Bayern/07-like strains and all the others
24 are Beijing/262-like strains.

25 I'd just like to call your attention to

1 the last columns here, for the moment, although you
2 can look at the individual data as well. But the data
3 for the Johannesburg strain indicates that that strain
4 was quite well-inhibited by antisera in all of the
5 tests that were done from sera that were collected
6 using current vaccine strains. Somewhat
7 paradoxically, if you look at all the A/Beijing/262
8 lineage viruses, including the A/New Caledonia; the
9 A/Nanchang; A/Peru; A/Wisconsin; and A/Madrid, on
10 average there were reductions that were greater than
11 50 percent overall, as shown at the end, here. And,
12 in some instances, they were quite substantial, in
13 some of the serum panels that were tested.

14 Next overhead, please, which is page 17 in
15 the handout.

16 This slide shows summary data for the
17 Influenza B viruses. The top two strains here are
18 B/Victoria-like strains. The bottom six strains are
19 like the vaccine strain B/Yamanashi. Some of these
20 B/Yamanashi-like viruses appear to be less well-
21 inhibited by sera from persons who have been immunized
22 with the current vaccines. However, many of the
23 strains do not appear to be well-inhibited at all,
24 which suggests that some antigenic drift may be going
25 on.

1 And I just call your attention to some of
2 theses strains that have been tested in multiple
3 laboratories. In particular, the B/Shenzhen/654/99,
4 which Nancy Cox mentioned as being a new variant
5 virus. As expected, the B/Victoria lineage viruses
6 were not well-inhibited by the current vaccines and
7 there's more uniformity in finding, really, the most
8 marked reduction in antibody titers for those strains
9 as compared to the vaccine strain. That's not news;
10 that's what we've been seeing over the last several
11 years.

12 Next overhead on page 18 will show summary
13 data for the H3N2 viruses. All of these strains are
14 related to the Sydney vaccine strain, but the table
15 includes some of the strains that are less well-
16 inhibited by ferret sera A/Sydney. The most marked
17 reductions in this table are for the Shanghai/42/99
18 strain and for the A/Philippines strain. In both of
19 those instances, there's a substantial, greater than
20 50 percent, reduction in titer. But you can see that
21 there's sort of variability amongst these strains from
22 really not much reduction in the tests that have been
23 done so far to really quite marked reduction.

24 Okay, so slides off. So, in summary, the
25 vaccines that were used for the clinical studies were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunogenic in the populations tested. And for all of
2 the three vaccine component strains, there is some
3 evidence of antigenic drift, which is probably most
4 notable or most obvious for the H1N1 virus strains,
5 which represent drift variance, I guess, or drift of
6 a sort from the current vaccine strain. As we've
7 known for several years, the B/Victoria lineage
8 persists and the current vaccines are probably of
9 limited protection against those strains.

10 And that's all I've got to say. I'll stop
11 there. I hope we're getting back on time. And if
12 there are any questions, I'll be happy to try to
13 answer them.

14 CHAIRMAN GREENBERG: Panel members, do you
15 have any questions for Roland? Okay, if there are no
16 questions, we will move on to availability of strains
17 and reagents by Mr. Offringa.

18 MR. OFFRINGA: If I could have the first
19 overhead.

20 I'm just going to give a brief overview of
21 the vaccine strains, current candidate strains, and
22 potency reagent availability. I'll start with the
23 H1N1 strains. The current vaccine strain is
24 A/Beijing/262/95. The reassortant used for vaccine
25 production is X-127, which has a high-yield growth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 character.

2 There is one H1N1 candidate strain which
3 is A/New Caledonia/20/99. This is also in the
4 Beijing/262 lineage. There are two reassortants
5 available for New Caledonia: IVR-116 and X-139. The
6 IVR-116 has a moderate-to-high yield growth character
7 and the X-139 has a moderate yield growth character.
8 The IVR-116 reassort is currently being used to
9 produce vaccine for the Southern Hemisphere.

10 I'll continue with the B strains. The
11 current B vaccine strain is B/Yamanashi/166/98, which
12 is in the Yamagata lineage. Influenza B reassortants
13 are not available, so the wild-type strain is used for
14 vaccine production and this wild-type strain has a
15 moderate yield and growth character. There's
16 currently one candidate strain distributed to
17 manufacturers, which is B/Johannesburg/5/99, also in
18 the Yamagata lineage. Unfortunately, all
19 manufacturers have experienced a very low yield with
20 this strain.

21 And I'll continue with the H3N2 strains.
22 The current vaccine strain is A/Sydney/5/97. There
23 are two reassortants being used for vaccine
24 production: IVR-108 and RESVIR-13. Both of these
25 reassortants have a moderate-to-high yield growth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 character.

2 We also, again, have one candidate strain
3 distributed to manufacturers, the A/Panama/2007/99,
4 which is a Sydney-like strain. There are several
5 reassortants distributed to manufacturers: the NIB-41
6 and NIB-42. Both of these strains exhibit a moderate
7 yield growth character. And then we have recently
8 isolated two Panama reassortants in our laboratory:
9 RESVIR-16 and RESVIR-17. We are going to distribute
10 these to manufacturers next week and hope to get some
11 information on their growth character shortly.

12 I'll move on to availability of potency
13 reagents. For all current vaccine strains, the
14 Yamanashi/166/98; A/Beijing/262/95; and Sydney/5/97,
15 we have reagents available. We are currently also
16 distributing New Caledonia IVR-116 reagents from the
17 Therapeutic Goods Administration in Australia, which
18 are being used for testing of Southern Hemisphere
19 vaccine. And our IVR-116 reagents will be available
20 by February. If any other strains are chosen, those
21 reagents would not be available until May at the
22 earliest.

23 If there are any questions?

24 CHAIRMAN GREENBERG: Thank you very much.
25 Panel have any questions? Okay. We're moving on to

1 manufacturers' comments and this is Dr. Slusaw.

2 DR. SLUSAW: Thank you. Roland noted I
3 had 30 seconds so that he could get the agenda back on
4 track.

5 (Laughter.)

6 I don't envy the task before the committee
7 today of selecting the strains for the next influenza
8 vaccine season. It's really, I guess, all about
9 balancing and trying to find the best antigenic match
10 for the strains you anticipate will be circulating
11 during the next season, yet selecting strains that
12 also have appropriate growth characteristics so that
13 they are practical to use to make vaccine. And also
14 doing that selection in a timely fashion which allows
15 the manufacturers time to produce the required vaccine
16 within the timeframe that it's needed.

17 There are several critical key pieces
18 the puzzle that have to fit together to support
19 vaccine manufacturing. The first is egg supply.
20 the U.S., the flu vaccine manufacturers probably
21 consume about a half million eggs per day during a
22 six-to-eight month period while the monovalent
23 concentrates are being manufactured each season. The
24 good news is that this is a pretty predictable process
25 and we have a fine-tuned and robust system in place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that ensures the availability of embryonated eggs for
2 vaccine manufacturing.

3 Something that we're talking about a
4 little bit more today is selection of seed viruses and
5 also another component of that, preparation of high-
6 growth reassortants. And it would not be possible to
7 produce the numbers of doses we talked about for last
8 year, for example, 80 to 90 million doses, without the
9 availability of high-growth reassortants prepared by
10 Dr. Levandowski's and Dr. Kilbourne's laboratories as
11 well as laboratories in Europe and Australia.

12 And then, finally, the third critical
13 piece is the availability of the potency test
14 reagents. Until we have prepared the reference
15 antiserum and the reference antigen and those reagents
16 have been calibrated, we have several disadvantages.
17 One is that we don't know precisely the amount of
18 vaccine we're manufacturing. Although we can estimate
19 that number, it can be off by quite a bit. So we run
20 the risk of producing too much or not enough of a
21 particular component. And, of course, until we can
22 measure the potencies, we can't formulate the
23 trivalent vaccine so the rest of that process is
24 dependent on having the potency test reagents
25 completed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A bit of a timeline to illustrate a normal
2 flu vaccine manufacturing season, if there is any such
3 thing. Generally the process begins about a year in
4 advance when the egg suppliers order their birds to
5 support the egg supply for the following vaccine
6 season. These pullets are housed usually in October
7 or November, and this is really the only time during
8 the year when an egg supply is not available.

9 An ongoing process that usually begins
10 sometime in fall and continues through the early part
11 of the year is receiving candidate seed viruses. And,
12 kind of in parallel with that, the preparation of
13 high-growth reassortants. Now this is, of course, one
14 of the first stages where surprises can come up and
15 things can begin to go wrong. An example is what
16 we've heard this morning with the A/Moscow
17 reassortant, for example. There's a bit of black
18 magic involved and things don't always turn out as
19 expected.

20 The manufacturers hope to come away from
21 this meeting with at least the first strain selection
22 at this time. Two would be nice, but I think in a
23 typical year we expect one. And then following at
24 about four week periods, the second and finally the
25 third strain selection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As the strains are selected, production of
2 the monovalent components of the vaccine proceed.
3 Many manufacturers, both in the U.S. and some in
4 Europe, actually start production of the monovalents
5 in January, before the official strain selection. And
6 that's a bit of a risk. We can make educated guesses
7 based on the latest surveillance data at the time, but
8 it's a bit of a risk that must be undertaken in order
9 to support the number of vaccine doses that must be
10 manufactured in the time period.

11 The next surprise in the process, of
12 course, can occur at the stage of producing the
13 vaccine components. Although we receive the candidate
14 strains and have an opportunity to evaluate and test
15 them a bit, especially on small-scale, once those
16 strains are scaled up to large-scale production, there
17 may be surprises with the growth characteristics or
18 the purification properties of the virus that can
19 affect the yields and the final availability of doses.
20 And then, of course, in the same timeframe, the
21 preparation of the potency test reagents for SRID
22 testing.

23 Then normally we would expect to bulk the
24 first vaccine in the beginning of June and then after
25 the appropriate testing and release is completed, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 license is generally issued the first week in July and
2 vaccine distribution will begin. And that'll proceed
3 through about the first or second week in October.
4 And, especially in recent years, this has become kind
5 of a hard cut-off date and any vaccine that's
6 available after that time period generally either
7 won't be sold or will be returned by the customers.

8 I wanted to try to put together a little
9 bit of an illustration about some of the things that
10 begin to go wrong if strain selection is delayed for
11 any reason. And, of course, it pushes out the
12 timeframes for the availability of the candidate
13 viruses as well as the high-growth reassortants.

14 And in this illustration, supposing third
15 strain selection is pushed out a months or so, one of
16 the first bad things that happens is there may be a
17 gap in production of the monovalent concentrates at
18 this point. If a manufacturer has made all of the
19 required first and second strain, rather than
20 overproduce something that won't be needed, we may
21 actually have to wait until the third strain is
22 available and then resume production. The downside of
23 that, of course, is that the chickens and the egg
24 supply can't be turned off, so manufacturers and egg
25 suppliers have to absorb that cost of, say, a half

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON D.C. 20005-3701

1 million eggs per day, which are essentially just be
2 discarded.

3 Then, of course, this gap in production
4 here can translate into an extended production cycle
5 at the end of the program. And, again, that can also
6 delay the availability of the SRID testing reagents
7 and pushing out the bulk vaccine preparation and
8 perhaps the license issuing. And the really critical
9 factor here is that we lose this window of opportunity
10 for vaccine distribution and continue to distribute
11 vaccine as normal, but rather than just simply pushing
12 out the timeframe into the November part of the year,
13 these vaccine doses essentially won't be used by the
14 marketplace.

15 Any questions or comments?

16 CHAIRMAN GREENBERG: Panel? It's clearly
17 a treadmill. Okay. If not, thank you very much. And
18 now Roland's going to lead us in -- yes? There is a
19 short break, but you just broke. You're strong. We
20 don't need it.

21 DR. LEVANDOWSKI: Okay. I would like to
22 lay out what we see as some options for the 2000
23 2001 influenza vaccine composition and just go over
24 the different possibilities here.

25 Okay. Take that off. We're not ready :

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 (Laughter.)

3 Influenza A viruses of the H1N1 and H3N2
4 subtypes and also Influenza B viruses have continued
5 to circulate in human populations and there's been
6 discussion here in the past about eliminating one or
7 more of the components of the vaccine, but I think
8 what we heard today is that all three strains that are
9 currently in the vaccine and more are alive and
10 kicking out there. Therefore, the first thing to
11 consider is what the valency of the vaccine should be
12 and I would argue that the vaccine should continue to
13 be a trivalent vaccine.

14 As far as the Influenza A viruses, the
15 H1N1 types, strains similar to the A/Beijing/262/95
16 strain have now been found in all areas of the world
17 and what we've seen this morning is that those strains
18 are undergoing antigenic drift. The human serologic
19 responses suggest that the current vaccines are
20 immunogenic, but that the predominant new
21 A/Beijing/262/95-like viruses are poorly inhibited.

22 The vaccine candidate strains, such as the
23 A/New Caledonia/20/99 are currently available and
24 being used for production for vaccines for the
25 Southern Hemisphere. So there is some manufacturing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experience with that strain as well. So options.

2 Now the first overhead, the options for
3 the H1N1 viruses.

4 The first option would be to maintain the
5 current vaccine strain. In favor of that is that the
6 current vaccines appear to be highly immunogenic.
7 And, in addition, manufacturing is well-defined and
8 it's predictable at this point from having had the
9 experience.

10 Against that are that the strains are
11 demonstrating antigenic drift and those antigenic
12 drift strains have been found in all parts of the
13 world. In addition, heterologous serologic responses
14 to the H1 deletion strains are clearly reduced.

15 So another option, on the next overhead,
16 for the H1N1 viruses, would be to change the current
17 vaccine strain to a more recent strain. And in favor
18 of that, the more strain could possibly provide a
19 better antigenic match with the current H1N1 strains
20 that are circulating. In addition, there are vaccine
21 candidate strains, such as this A/New Caledonia/20/99,
22 that are available and they've been used for
23 manufacturing vaccines for the Southern Hemisphere.
24 Against that, we don't really have information on the
25 immunogenicity of a New Caledonia-like vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So the third possibility would be, for the
2 H1 strains, to defer this selection to accumulate some
3 more data. In favor of that is that there may be some
4 additional data that would further refine and clarify
5 the position. But against that is that it doesn't
6 appear that it's likely that there will be much more
7 information coming in over the next several weeks
8 because there have not been, except for Japan, there
9 have not been a lot of H1 strains that have been
10 isolated.

11 Okay. Overhead off.

12 For the Influenza B viruses, the strains
13 of Influenza B in the Yamagata/16/88 lineage have
14 predominated, with strains that are similar to the
15 current vaccine strain, which is B/Yamanashi/166/98,
16 isolated in the Americas, Europe, Africa, Australia,
17 Asia, and essentially the whole world. A new variant
18 in that lineage has been identified just in the last
19 week. And therefore its possible significance has not
20 really been assessed completely. Strains of the
21 B/Victoria/287 lineage continue to appear in Asia, as
22 has been true for several years, but the relative
23 frequency of these strains appears to be diminishing
24 at this type.

25 The sera from people who are immunized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the current vaccines inhibit some of the current
2 B/Yamanashi-like viruses well, as previously current
3 vaccines did not produce antibody responses to the
4 B/Victoria/287-like strains. And I should add that
5 some of the B/Yamanashi-like strains are also not
6 well-inhibited by the current vaccines.

7 There's limited information at this time
8 on other vaccine candidates, as we just heard.
9 However, the strain that has been examined really does
10 not appear to grow very well and, for that reason,
11 would probably not be a very desirable candidate.

12 So the options for B, the first overhead
13 here, the first option, of course, is to maintain the
14 current vaccine strain. And in favor of this would be
15 that most of the strains worldwide are similar to the
16 current vaccine strain. The vaccines appear to be
17 immunogenic and, again, manufacturing is well-defined
18 and predictable. But against that is that recent
19 Yamanashi-like strains are not clearly well-inhibited
20 by the post-immunization antisera.

21 So the next option would be, again, to
22 change the current vaccine strain to a more recent
23 B/Yamanashi-like virus. In favor of that is that the
24 vaccines could be antigenically closer to the current
25 Influenza B viruses. But, against that, we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really know that there would be a clear advantage
2 based on any antigenic characterization that's been
3 done so far and there really are no superior alternate
4 vaccine candidate strains at this time.

5 So option three is, again, to defer to
6 accumulate more data. In this case, in favor of this
7 option would be that there are more data that are
8 likely to be available in the next two-to-three weeks,
9 including analysis of these new Chinese Influenza B
10 viruses that have been sent to CDC recently. Against
11 that is that additional data may not really alter what
12 the current considerations are, once all the
13 information is in.

14 You want to take the overhead off?

15 For the Influenza H3N2 A viruses, there is
16 antigenic heterogeneity that's continuing among these
17 strains, as there has been in the past year or more.
18 And approximately 10 to 15 percent of the strains are
19 antigenically distinguishable from the A/Sydney/05/97
20 vaccine strain. And, again, that heterogeneity has
21 been found widely, not only in the United States, but
22 in most areas of the world.

23 From that information, there is not a
24 clear antigenic or genetic pattern to suggest a group
25 of antigenic variance that might become

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 predominant one. The antisera to some of these newer
2 H3N2 strains appear to inhibit many of the current
3 strains reasonably well, but serologic responses are
4 reduced against some of the recently emerging and
5 antigenically divergent strains. Although vaccine
6 candidate strains and high-growth reassortants for
7 some of these are available, it's not clear that the
8 vaccine candidates currently available will offer an
9 antigenic advantage.

10 So, again, the options for the H3N2 virus.
11 The first option would be to maintain the current
12 vaccine composition and that was a strategy that was
13 used last year in view of much of the similar kind of
14 information. In favor of this would be that
15 manufacturing is well worked out and the yield is
16 predictable. But against that is that there is this
17 antigenic heterogeneity and 10 to 15 percent of the
18 new H3N2 viruses are distinguishable, readily
19 distinguishable, from the A/Sydney/5/97 strain.

20 Some of these recent strains are not well-
21 inhibited by post-immunization antisera. And the
22 current vaccine strain has been in use for the past
23 two seasons and the A/Sydney-like strains have
24 predominated for the past three years, which is a
25 distinctly unusual situation to be in, particularly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the H3N2 strains. That's really unprecedented in
2 the last 20 years or more.

3 So the options for the H3N2 virus. Again,
4 the first option is that we could maintain the current
5 vaccine composition and in favor of that is that
6 manufacturing is well worked out and the yield is
7 predictable. But against that, there is antigenic
8 heterogeneity and 10 to 15 percent of the new viruses
9 are distinguishable from the A/Sydney strain. The
10 most recent strains are not well-inhibited by post-
11 immunization antiserum and the current vaccine strain
12 has been -- did I say this already? What am I doing?
13 Sorry.

14 CHAIRMAN GREENBERG: It's okay. We're
15 slow learners.

16 (Laughter.)

17 DR. LEVANDOWSKI: Pardon me.

18 So option two. This is what I really
19 meant to say. We could change the current vaccine
20 strain to more recent. And in favor of that, 10 to 15
21 percent of the recent strains are poorly inhibited.
22 It could be possible to achieve a better antigenic
23 match with the recent strains. And there are some
24 alternative strains that are available for production.

25 Against that would be that the choice of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the strain could benefit from additional
2 epidemiologic, serologic, and manufacturing
3 information. We really don't have all the data in
4 that we'd like to have. It's not clear that the
5 available strains are superior to the current vaccine
6 strain, however. And there is little information on
7 the potential for vaccine production with any of these
8 new strains, except for a very little bit of
9 information for these Panama reassortants that's been
10 mentioned.

11 So the final option of these four is
12 number three, which is to defer to accumulate more
13 data. And in favor of that, there will probably be
14 significantly more data for these more recent strains
15 over the next two to three weeks. And since the H3N2
16 strain is the one that's most likely to cause
17 significant morbidity and mortality, this choice is one
18 that should be really very carefully made. Against
19 that, of course, is always that the additional data
20 may not alter what we know from the current
21 conditions, considerations.

22 So I'll stop there.

23 CHAIRMAN GREENBERG: Roland, that was a
24 very clear, at least to me, delineation of options.
25 Now I'm sure the committee will come up with some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other options, but that was very helpful to me. And
2 I think we had planned for discussion after lunch, but
3 I think we have some time now and I think it would be
4 wise to see how far we get in discussion. So I'm
5 going to open that up for discussion. And you can sit
6 here, but I suppose most of the questions will be --
7 Dr. Estes first.

8 DR. ESTES: I have one question that
9 hasn't been brought up yet today. We have a new
10 potential factor in flu variation and that's the
11 introduction of neuraminidase inhibitors this last
12 year. And I wonder are there studies that are looking
13 at flu coming out of areas where these inhibitors are
14 being used extensively to be sure that we're not
15 putting a new pressure, there will be a new virus
16 that's now going to pop up in the next month or so?
17 I'd just like to hear people's opinions. Others must
18 be worrying about this.

19 CHAIRMAN GREENBERG: Anybody with
20 expertise can answer that. Dr. Cox.

21 DR. COX: I can at least give a partial
22 answer. This has been of concern because the viruses
23 that are resistant to the neuraminidase inhibitors
24 have been found to have mutations both in the
25 neuraminidase itself and also in the hemagglutinin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and, of course, the concern is that you might be
2 driving antigenic change. But it also should be
3 stated right up front that it's much more difficult to
4 generate resistant strains to the neuraminidase
5 inhibitors than it is to the older antivirals,
6 symantodyne and rymantodyne.

7 So the manufacturers are now working
8 together to set up a susceptibility network and they
9 will be looking not only at field strains, which are
10 collected by CDC and other WHO collaborating centers,
11 but they will also be attempting to obtain isolates
12 from individuals before treatment and after treatment
13 to look at changes that occur. So I think that
14 monitoring should be sufficiently good to give us a
15 good idea of what's going on.

16 CHAIRMAN GREENBERG: Ms. Fisher.

17 MS. FISHER: This is sort of a general
18 question, but to what extent does mass vaccination
19 versus targeting high-risk groups contribute to
20 antigenic drift of strains included in the vaccine
21 every year?

22 CHAIRMAN GREENBERG: Again, anybody of
23 experts who wants to answer questions, I think
24 Roland, do you want to tackle that?

25 DR. LEVANDOWSKI: Well, I don't think

1 have a good response for it, but when you're saying
2 the term "mass vaccination," I don't believe we've
3 used what's really mass vaccination in the United
4 States at any point. Possibly the closest thing to
5 that experience would be in Japan where all the
6 children were immunized for some number of years, all
7 the school children. But I don't know that there are,
8 from any studies done there, that there was any impact
9 on epidemiology of spread of influenza. I'm not sure
10 whether Dr. Nerome would have any comments on that or
11 Dr. Cox.

12 MS. FISHER: I wasn't speaking
13 children. I was thinking the increase in the elderly
14 and in healthy individuals.

15 DR. LEVANDOWSKI: Yes.

16 MS. FISHER: Does it put pressure on the
17 strains in the vaccine to change?

18 CHAIRMAN GREENBERG: Dr. Cox is looking
19 like she wants to answer.

20 DR. COX: I don't think that there's any
21 way to give a definitive answer. But such a small
22 proportion of the world's population is vaccinated
23 the present time that we would not expect that
24 vaccination could be contributing significantly to
25 antigenic drift. Many of the new strains do emerge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from Asia and immunization rates in Asia, with
2 influenza vaccine, are very low.

3 DR. LEVANDOWSKI: There may be some data
4 from the pre-immunization era. We've only used
5 influenza vaccine since the 1940s and there are
6 studies looking back, seroarcheology, to see what had
7 happened.

8 And I believe Dr. Daudle has published
9 some paper describing one of the events that was
10 originally thought to be an antigenic shift, that is
11 a complete change in hemagglutinin being more like a
12 substantial antigenic drift some time near the end of
13 the 1800s, beginning of the 1900s. I don't remember
14 the exact epidemic or outbreaks that are described
15 from that paper but it has been described previously
16 that there are major antigenic changes that occur,
17 short of an antigenic shift that would cause a
18 pandemic within influenza viruses in the past.

19 CHAIRMAN GREENBERG: I think, just for me,
20 Dr. Cox's answer was a compelling one that the world
21 is susceptible to influenza and the amount of vaccine
22 given to the world at this point is very small
23 compared to the amount of people who are infected.
24 But it's an interesting question.

25 Dr. Daum and then Dr. Kohl.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: I'm actually very interested in
2 that question and would like to sort of push the
3 envelope a little bit further. Leaving the world
4 aside for a moment and focusing just on the United
5 States where we're distributing 70 or 60, I don't know
6 the exact number, million doses of vaccine a year and
7 we have very little B activity, relatively speaking,
8 very little H1 activity, relatively speaking and lots
9 and lots of H3 activity, relatively speaking. We've
10 had this Sydney-like H3 member of the trivalent
11 vaccine in the last few years. And couldn't we make
12 any inferences at all about the kinds of viruses that
13 are circulating and the types of vaccines the
14 committee has chosen in years past?

15 For example, one might say, in a naive
16 way, the B vaccine works great; the H1 vaccine works
17 great; and the H3N2 is not working because it's still
18 circulating, despite 60 or 70 million doses of vaccine
19 being circulated.

20 So I'm wondering, is there anything to be
21 gained from what we've done with previous vaccines and
22 what's actually been circulating in the community in
23 spite of or in concert with this large vaccination
24 effort?

25 CHAIRMAN GREENBERG: Dr. Cox.

1 DR. COX: I think that what we're seeing
2 with regard to circulation of H3N2 viruses versus B
3 and H1 is a reflection of what's occurring globally.
4 I mean, if you remember the first slide that I put up
5 for each of the different groups of viruses, what we
6 were seeing in the United States or what we're seeing
7 in the United States is pretty representative of what
8 we're seeing globally. And the vaccine coverage in
9 the United States is higher than it is in many other
10 regions of the world.

11 So I think it's very difficult to say that
12 we're not having H1N1 because the vaccine is working
13 well or we're not having B because the vaccine is
14 working well. It's a very complex interaction of the
15 host, the immune background, and the virus itself, how
16 transmissible it is, how different antigenically it
17 is, and so on. And those host and virus factors are
18 what determine which strains circulate.

19 I think we have a tremendous amount to
20 learn and there are some very interesting modeling
21 techniques that we'd like to use to try to help us
22 understand the way viruses are changing and the
23 epidemiology of the circulation of the different
24 strains.

25 DR. HOKE: Thank you. One population that

1 is immunized in what might be called a mass way is the
2 military recruit populations and they are universally
3 immunized against influenza and carefully monitored
4 for respiratory diseases rates. It has an important
5 impact on training. And when rates exceed a certain
6 threshold, investigations are conducted.

7 We've long recognized that if there were
8 strains of influenza that circulated that, for
9 example, against which vaccine could not protect, that
10 that would be a very good sentinel population in which
11 that would happen. And, to my knowledge, the kind of
12 instance that you inferred that there might be a
13 sudden shift in that population, highly immunized as
14 a sort of source of a new strain that emerged under
15 pressure, I don't know that that's ever happened. I
16 haven't combed back through the data.

17 But I should say, on the other side, that,
18 through the use of influenza vaccine in association
19 with adenovirus vaccine, that respiratory disease
20 rates in military recruits are suppressed to very,
21 very low levels. And this is really a population that
22 would be highly susceptible to transmission of
23 respiratory viruses. So that, you know, anecdotes of
24 isolates from people who had been immunized
25 notwithstanding, I think that the almost complete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suppression of influenza in military recruits under a
2 universal immunization policy is rather compelling
3 information about the effectiveness of the
4 immunization.

5 CHAIRMAN GREENBERG: I would guess also
6 that recruits are a somewhat isolated population,
7 especially isolated to children more than the general
8 population, which might help the vaccine work a little
9 better.

10 DR. HOKE: Well, that would depend on
11 where the training force post was, but generally they
12 are embedded in communities.

13 CHAIRMAN GREENBERG: Dr. Kohl.

14 DR. KOHL: I don't know if this is
15 premature, but I think I, for one, feel we can start
16 focusing on some of these viral selections and, to use
17 a phrase earlier, one is a no-brainer, I think. And
18 the no-brainer is the H3N2. It looks like we should
19 hold off on that selection pending, quote
20 "significant new data."

21 CHAIRMAN GREENBERG: I want to make sure
22 that -- if the panel feels that they've asked enough
23 questions to help Roland give recommendations, I'm not
24 against moving ahead with that. Roland, is that okay
25 with you? And we can start with each one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Are there any further questions from
2 anybody in the panel? Dr. Couch.

3 DR. COUCH: Well, mine was just a question
4 for clarification that I neglected to ask Dr. Hampson.
5 Just to be sure I understood that all of the Influenza
6 B experience in Thailand and in New Zealand and in the
7 Southern Hemisphere was the Yamanashi-like virus, not
8 anything related to the B/Shenzhen that's now being
9 considered as perhaps something different.

10 DR. HAMPSON: No, there we haven't any of
11 the Shenzhen-like virus.

12 DR. COUCH: So those would fit with the
13 previous classification of these.

14 DR. HAMPSON: Yes, the B viruses that we
15 have found throughout our area of surveillance are the
16 B/Yamanashi-like viruses. We're showing some
17 percentage of them with a degree of drift, react about
18 two to fourfold down with the B/Yamanashi antiserum.
19 And more closely related to the B/Johannesburg is our
20 current updated reference strain.

21 CHAIRMAN GREENBERG: Dr. Ferrieri.

22 DR. FERRIERI: I just want to second what
23 Dr. Kohl said. We will have more data in about two to
24 three to four weeks about alternative strains and so
25 I don't know that we need extensive discussion on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 H3N2 status.

2 CHAIRMAN GREENBERG: Well, what I would,
3 since I think everybody feels they're ready to go, I
4 think I'll just move around and we can take one virus
5 at a time. I'm going to switch to the left-hand side
6 of the table here.

7 (Laughter.)

8 And I see somebody, an expert in influenza
9 down there. So, Dr. Couch, why don't we start with
10 the -- does everybody want to start with the big guy,
11 H3N2? Because I've had two people say let's start
12 with the H3N2 and our recommendation there. Is that
13 okay with the panel? We'll start with that component
14 and what we think?

15 I think we were presented by Roland three
16 possibilities. One, to recommend staying with the
17 current. Two, to identify a new candidate. And, as
18 best I can tell, there's only one candidate that could
19 be identified at this moment and that's the Panama
20 reassortant that is there. Or, three, to wait some
21 period of time while more serology and analysis of new
22 viruses is done. And we were told that since -- and
23 Roland clearly, I think, tipped his hand as to what he
24 thought since he said this is by far the most
25 important, usually the most important virus and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 most information we could have, the better-off we'll
2 be.

3 So I will start with you as to what you're
4 recommendations would be.

5 DR. COUCH: Well, I will do one and then
6 let's move down the table on the left. How about that
7 for the others?

8 Well, actually, I'd like to first of all
9 compliment industry on their efforts, which those of
10 us who've got institutional memories know that
11 industry used to be relatively intolerant of not
12 making all three decisions at this time. And that was
13 always extremely difficult. And then they gave us
14 one. And now they're giving us three. So we really
15 only have to make one for sure at this time and
16 they've set up a schedule that we've all certainly got
17 to try and live by.

18 And so I think that's a major improvement
19 over the way, if you want to go back 10, 15 years, the
20 way these decisions were made in the past. And so I
21 think they ought to be complimented for that
22 accommodation to make this decision a little bit more
23 definitive.

24 So if you want to start with the three, I
25 guess I'd consider that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Could I interrupt for
2 one second? I am told -- excuse me, this is my
3 parliamentary naivete. But before we start voting
4 from the panel, I was supposed to ask for public
5 comment and I apologize to the public. This is purely
6 my inability to follow schedule correctly. Please
7 step up to a microphone and identify yourself.

8 MR. RUBIN: Yes, Mr. Chairman. I'm Fred
9 Rubin. I've worked for Aventis Pasteur. We are one
10 of the manufacturers of influenza vaccine.

11 I think one consideration that hasn't been
12 mentioned here. I'm sure some people know it, but
13 maybe not everybody and that's that the ACIP at its
14 October meeting voted to lower the high-risk age group
15 to 50 and that, I think, constitutes a sizeable
16 additional population of people. And so the challenge
17 is being put to industry to produce vaccine a little
18 bit more than there's been in past years. So rather
19 than this being a trivial piece of information, I
20 think it's a highly significant piece of information.

21 If that policy, which they've voted on, is
22 implemented, it's going to mean that we have to
23 produce, in industry, you know between 25 and 40
24 million additional doses. I could be off by, you
25 know, a million or two here or there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think the putting off of the decision
2 could have some impact. So I think it's important for
3 you to keep that in mind. Even though you have to
4 pick the right strain, we also have to provide enough
5 vaccine for all these people. And if we don't have
6 time to do it, a policy that's voted on and then you
7 can't implement it has terrible repercussions.

8 CHAIRMAN GREENBERG: That's very helpful.
9 And since I am now in the high-risk group --

10 (Laughter.)

11 -- sort of startling to me.

12 DR. COUCH: Did you get your vaccine this
13 year?

14 CHAIRMAN GREENBERG: No. Are there any
15 other comments from the public? If not, again, I
16 apologize for not having done that before.

17 Dr. Couch, back to you.

18 DR. COUCH: Well, what I usually do
19 started it, actually, many years ago -- was when
20 Roland put up there first. I put down my strain,
21 epidemiologic vaccine, and availability and mark my
22 crosses on these things. And I've been doing the same
23 thing here I always do.

24 And he, of course, enunciated all of the
25 considerations very clearly. And I've got a bunch :

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.

1 question marks and only one plus across under H3. And
2 it's a clear note and deferral is, as Dr. Kohl
3 indicated, I suspect, based on where we stand right
4 now, perhaps the last decision. And if we hold to the
5 industry schedule, that will be an April decision.
6 But we can decide whether one or the other is ready to
7 go with the next decision.

8 It's not the only one I think we have to
9 defer, but H3 should be deferred.

10 CHAIRMAN GREENBERG: Dr. Hoke.

11 DR. HOKE: Am I supposed to talk about H3
12 or pick another one?

13 CHAIRMAN GREENBERG: Yes, we're doing H3
14 into now moving along on that one.

15 DR. HOKE: Yes, I agree with that. I
16 agree with that.

17 CHAIRMAN GREENBERG: So, for the record,
18 Dr. Hoke agrees to defer decisionmaking for the time
19 being on H3N2. Dr. Kilbourne.

20 DR. KILBOURNE: Well, I think we have a
21 population that's saturated with H3N2 right now. I
22 have not seen evidence today of any prospective
23 strains that look as if they're going to be
24 significantly antigenically different, so I think you
25 have not only a well-vaccinated population, but one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fraught with the disease itself. So I suspect that
2 even if one of those new strains were to come into the
3 population next year and we stuck with Sydney, we'd
4 still be okay. Because there's enough cross-
5 reactivity among strains so I think we could be
6 comfortable.

7 CHAIRMAN GREENBERG: So, was that a vote
8 to use Sydney now?

9 DR. KILBOURNE: That would be my vote.
10 Maybe I'm jumping ahead, but certainly it could be
11 deferment as far as I'm concerned.

12 CHAIRMAN GREENBERG: So would you say to
13 defer, pending new information? Or do you say we have
14 enough information at this moment to choose?

15 DR. KILBOURNE: In my view, we have enough
16 to choose.

17 CHAIRMAN GREENBERG: Okay.

18 DR. KILBOURNE: But others may not agree,
19 so I don't want to --

20 CHAIRMAN GREENBERG: No, that's the whole
21 point of voting. Dr. Couch.

22 DR. COUCH: Could I ask Ed a question?
23 What if we get antigenicity data on the neuraminidase
24 and there are major differences between Sydney and say
25 a couple of the recent strains? Just in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neuraminidase.

2 DR. KILBOURNE: Then you put in a new
3 neuraminidase.

4 (Laughter.)

5 CHAIRMAN GREENBERG: I think Dr. Kilbourne
6 has said that his vote is that there's enough
7 information now to say that the manufacturers should
8 go ahead with the current Sydney vaccine, that we do
9 not have to wait. Am I putting it -- did I not
10 interpret what you said correctly?

11 DR. KILBOURNE: You did.

12 CHAIRMAN GREENBERG: Okay. Thank you.
13 Dr. Cox.

14 DR. COX: My view is that, because we have
15 H3N2 circulating widely now and there's always a delay
16 between the time the viruses are isolated and sent to
17 the state health departments or the intermediate labs
18 and then on to the international collaborating
19 centers, it would really be prudent for us to defer
20 this particular recommendation and accumulate as much
21 information as possible.

22 CHAIRMAN GREENBERG: Okay. Dr. Ferrieri.

23 DR. FERRIERI: I support deferral and
24 suggest that exploring not just the Panama strains,
25 but the Shenzhen/510/99, although I may have missed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some point. But the antisera and inhibition of all of
2 the new strains look superb for that, with a rare
3 exception.

4 CHAIRMAN GREENBERG: Dr. Eickhoff.

5 DR. EICKHOFF: Well, I support deferral
6 and yet I'm reasonably confident that, when we can
7 defer no longer, we're going wind up with A/Sydney
8 back in the vaccine.

9 CHAIRMAN GREENBERG: Dr. Daum.

10 DR. DAUM: I must confess to be having a
11 difficult time with this. I'm persuaded that deferral
12 for the period of time talked about would still give
13 manufacturers enough time to prepare properly for the
14 season. And, given that and the possible availability
15 of new information in a timely way, why not have new
16 information?

17 But I share Dr. Eickhoff's view. I don't
18 think there's going to be likely to be a change here
19 and I'm not sure we have enough confidence in what the
20 new information's going to be that's going to drive
21 that.

22 CHAIRMAN GREENBERG: Dr. Edwards.

23 DR. EDWARDS: I would vote for deferral.

24 CHAIRMAN GREENBERG: Ms. Fisher.

25 MS. FISHER: I don't feel comfortable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 making this decision. I would defer to Dr. Cox and
2 others. However, I do want to make a statement.

3 I do think some attention has to be paid
4 to increased mortality among those who got flu this
5 year, particularly looking at what their vaccination
6 status was. And, also, you know, as flu vaccine
7 coverage increases, especially among the elderly and
8 healthy persons under 65 in the U.S., perhaps there
9 should be an investigation of whether vaccinated
10 persons are losing cell-mediated immunity acquired
11 from natural infection and are becoming more
12 vulnerable to both vaccine-strain flu infection and
13 increased mortality from the flu complications such as
14 pneumonia caused by other infectious organisms.

15 CHAIRMAN GREENBERG: Dr. Faggett.

16 DR. FAGGETT: Yes. I really appreciate
17 the input from Dr. Couch and other experts and do vote
18 for deferment. I would agree, though, that I would
19 hope that the manufacturers do have enough inventory
20 to take care of the 50, that new age group, as well.
21 And I think it's probably, in our patient population
22 in my community, we do have a lot more interest now,
23 so I think we're going to have an increased demand.
24 Now we're in for that age 50, other places are going
25 to be wanting it, too.

1 CHAIRMAN GREENBERG: Dr. Kim.

2 DR. KIM: I also support deferral,
3 although I respect Dr. Kilbourne's comment that we may
4 not be able to see any considerable difference in that
5 sense. I think since the Moscow strain was not,
6 indeed, feasible, then, again, the strains like the
7 Panama, you know, appear to be appealing and, again,
8 in addition to Sydney strain. So I think those
9 options need to be continued to be explored.

10 CHAIRMAN GREENBERG: Dr. Kohl.

11 DR. KOHL: Defer.

12 CHAIRMAN GREENBERG: Dr. Estes.

13 DR. ESTES: I support deferral. I also
14 think it's prudent to get as much information as
15 possible and I am concerned about the pressure of the
16 use of the new antiviral as to whether that's going to
17 end up changing this hemagglutinin, which would only
18 affect the A strains.

19 CHAIRMAN GREENBERG: And Dr. Griffin.

20 DR. GRIFFIN: I think we need the maximum
21 amount of information before this choice is made and
22 so we should defer.

23 CHAIRMAN GREENBERG: Okay. And for the
24 record, I also vote defer. And I think, in fact, I am
25 heartened by the fact that, as Roland said, that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 A/Sydney there's two years of experience and we, I
2 would assume, have some ability to defer because that
3 is already in the bank, so to speak, and manufacturing
4 can be done relatively efficiently. So if it ends up,
5 as Dr. Kilbourne thinks, that that turns out to be the
6 strain, we have it and we have a lot of experience
7 making that vaccine. Not me, but --

8 DR. KILBOURNE: I'm not unhappy with this
9 decision.

10 CHAIRMAN GREENBERG: Yes. And your point
11 was clear.

12 DR. COUCH: Well, I might say that I think
13 Ed is correct. I mean, the view that some of us might
14 have been taking, which is in agreement with his that
15 that may well be the decision, but I'm more
16 comfortable backing into it rather than charging out
17 there.

18 CHAIRMAN GREENBERG: And I think everybody
19 understands that there is no real difference with Dr.
20 Kilbourne. He was just looking into the future more
21 than we were.

22 Well, I think we have time for perhaps at
23 least beginning to talk about one other strain or even
24 more. So, Dr. Couch, I know you said you didn't want
25 to start off the next one, but, you know, you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 many years of influenza experience.

2 DR. COUCH: Oh, no. There are other
3 people down here. You're pinpointing me a little bit
4 too much.

5 CHAIRMAN GREENBERG: So I'm going to pick
6 the strain.

7 DR. COUCH: Good.

8 CHAIRMAN GREENBERG: Let's go with the
9 next one, with the H1N1 strain.

10 DR. COUCH: I was going to say, you know,
11 let me select one and then let me try to defer the
12 other one, if I may.

13 (Laughter.)

14 My H1 chart has plusses all the way across
15 and that is what was once again highlighted and
16 described very nicely by Roland and that is that we
17 should change the H1 --

18 CHAIRMAN GREENBERG: Could you use your
19 microphone a little bit more? I'm having a little
20 trouble hearing you.

21 DR. COUCH: That my little chart I told
22 you I make has plusses all the way across on H1 and so
23 we need to recommend a change in the strain and the
24 obvious change is to New Caledonia. And the reasons
25 for that were enunciated very nicely by Roland.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN GREENBERG: Okay. Fine. Dr.
2 Hoke.

3 DR. HOKE: Perhaps I needed to hear Dr.
4 Levandowski again, because I actually had not been so
5 certain that -- but, of course, Dr. Couch always seems
6 to, you know, sound definite. Sounds like he knows
7 exactly what he's talking about.

8 I had written at the bottom of my chart to
9 stay with the A/Beijing. And so I'll just say that I
10 had written that down, because it wouldn't be right to
11 change my mind just because you said that. But
12 perhaps there will be some more discussion on that.

13 CHAIRMAN GREENBERG: So you can reconsider
14 after you hear all of this. This isn't the SATs. Dr.
15 Kilbourne.

16 DR. KILBOURNE: I think, all other things
17 being equal, I would opt for the New Caledonia or
18 something like it. I think there's enough evidence of
19 a drift there so that we should be concerned about it.

20 CHAIRMAN GREENBERG: Dr. Cox.

21 DR. COX: I agree with going with New
22 Caledonia. And I know that not everyone else in the
23 room could see Dr. Nerome HI tables very clearly, but
24 I happen to have hard copies and it was really
25 gratifying to see that he has several pages :

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recently isolated H1N1 viruses from their outbreak and
2 they're all very well covered by the New Caledonia
3 virus.

4 CHAIRMAN GREENBERG: And Dr. Hoke, I'm
5 sure, is among us who couldn't see that data. Dr.
6 Ferrieri.

7 DR. FERRIERI: Well, I support New
8 Caledonia based on all of the data presented today,
9 but what we don't have is immunogenicity data that
10 should be generated within a relatively short time, so
11 we need a backup plan if that data is negative and
12 perhaps Roland can comment on that. But that's
13 clearly the best choice for us to go to if everything
14 works out.

15 CHAIRMAN GREENBERG: Dr. Eickhoff.

16 DR. EICKHOFF: I concur, based, I think in
17 large part, on the data from Japan.

18 CHAIRMAN GREENBERG: Dr. Daum.

19 DR. DAUM: I concur and don't have
20 anything to add to what's been said.

21 CHAIRMAN GREENBERG: Dr. Edwards.

22 DR. EDWARDS: I would vote for New
23 Caledonia.

24 CHAIRMAN GREENBERG: Ms. Fisher.

25 MS. FISHER: I defer to the CDC.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Dr. Faggett.

2 DR. FAGGETT: That New Caledonia has a
3 good ring. I support New Caledonia.

4 (Laughter.)

5 CHAIRMAN GREENBERG: Dr. Kim.

6 DR. KIM: I support a New Caledonia
7 strain, particularly with the data that Dr. Cox just
8 shared. I think that's a real issue.

9 CHAIRMAN GREENBERG: Dr. Kohl.

10 DR. KOHL: I support the New Caledonia,
11 but I'm a little concerned about isolates 16 to 20,
12 the South American isolates that looks like we're not
13 as well -- is that correct? That they weren't as well
14 neutralized?

15 DR. COUCH: Yes. I've noted the same
16 thing. But South America, so far, is A/Bayern-like.

17 DR. COX: Yes.

18 DR. COUCH: But that may be a result of
19 the number of strains that you've had in hand and on
20 your world chart, it stood out as the only one that
21 had not seen the H1 change, the only major --

22 DR. COX: Peru had. Peru had, previously,
23 had New Caledonia-like strains. And, in fact, those
24 strains that you're mentioning are Bayern-like. And
25 if you recall, the vaccination studies indicate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 viruses related to Bayern are well-covered by the
2 Beijing/262 vaccine.

3 DR. KOHL: Well, then I'll withdraw that
4 comment.

5 CHAIRMAN GREENBERG: Dr. Estes.

6 DR. ESTES: Well, I think the New
7 Caledonia looks like a good candidate. Again, because
8 of my concern about the new pressure on this virus, I
9 just wondered if we would be wise to wait to be sure
10 that we have typed other viruses that are just coming
11 out now to be sure that there are no other changes.
12 And maybe I'm being -- so I was actually going to
13 suggest deferring this. But, obviously, depending on
14 what the discussion is about the B component --

15 CHAIRMAN GREENBERG: You've got to do them
16 in order, so what is your vote, Dr. Estes?

17 (Laughter.)

18 DR. ESTES: Actually, I vote to defer.

19 CHAIRMAN GREENBERG: Okay. Dr. Griffin.

20 DR. GRIFFIN: I think we have sufficient
21 information. I think we have the most information on
22 this; put it this way. We've got to make a choice on
23 one of the three and so New Caledonia.

24 CHAIRMAN GREENBERG: Okay. And, for the
25 record, I would pick New Caledonia as well. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agree with what Diane said, that this was the one
2 that, for me, I thought we had the most information.

3 I would like a clarification on something
4 that Dr. Ferrieri raised. And that is when a strain
5 is switched, as we are recommending now, how
6 frequently do we have immunogenicity data on what we
7 are switching to at the time we make our decision?

8 DR. LEVANDOWSKI: Generally, it's very
9 unusual to have access to any data before a vaccine is
10 made. We've been in the position once or twice in the
11 past 10 years where there have been some experimental
12 vaccines that were made when some new variants had
13 been identified at an early point. It's not so easy
14 to get those vaccines made, but they would, if we
15 could have them, would provide very important pieces
16 of information, just to be sure.

17 Most of the time, I think we've been okay.
18 And it seems like the vaccines are immunogenic, given
19 the fact that measuring immunogenicity is sometimes a
20 little bit tricky. But we, you know, we don't usually
21 have that information.

22 I suppose it's possible at some point, as
23 we're, both Southern Hemisphere and Northern
24 Hemisphere are using the same vaccines, that it might
25 be possible to get some earlier information, but

1 probably not in time for us to make a recommendation
2 here.

3 CHAIRMAN GREENBERG: Because, of course,
4 Dr. Ferrieri's question was critically important, you
5 would hate to switch to a vaccine that was not
6 immunogenic. I just was trying to figure out how, if
7 you were making a new one, you would necessarily have
8 that information.

9 Okay. It's now noon and, since this
10 committee is hot, I think we should just go on to H3N2
11 while it's fresh -- I mean -- excuse me -- to B while
12 it's fresh in some of our minds and make our decision.
13 And so, Bob, despite the fact that you were, you know,
14 I let you off the hook and then you bit, so do you
15 want to start off with B again? Or should I --

16 DR. COUCH: I'll make a proposal then,
17 because I -- the only concern that's been emphasized
18 very well is -- well, let me back up a minute and say
19 last year, you know, we do guesses, you see. And we
20 thought, well, gee, we've had H3N2 two years in a row.
21 We've got to start worrying about B.

22 And so we fine-tuned the B and it turned
23 out that we had another H3N2 epidemic. And so now
24 we've got three of them behind us and, unless H1 does
25 something a little bit unexpected, B should be here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 next year. So that we're back in that same position.

2 And do we need to fine-tune it? I'd say
3 the data says we don't need any fine-tuning. So the
4 question is whether we need to change the B strain.
5 And I think Nancy emphasized very well that, gee,
6 we've got a whole potload of new viruses coming in.
7 Shenzhen's out in front of us. The epidemiologic data
8 is not in-hand. And so the B information that we want
9 for the definitive decision to stay with Yamanashi
10 versus consider something else is not yet in-hand.

11 So it's another deferral. I actually
12 suspect that that one will be the February decision
13 and H3 will be the March, but they could be reversed.
14 But I think it's in the deferral status also.

15 CHAIRMAN GREENBERG: Okay. Thank you.
16 Dr. Hoke.

17 DR. HOKE: That is my choice also.

18 CHAIRMAN GREENBERG: Dr. Kilbourne.

19 DR. KILBOURNE: I would move for deferral.

20 CHAIRMAN GREENBERG: Dr. Cox.

21 DR. COX: I concur.

22 CHAIRMAN GREENBERG: Dr. Ferrieri.

23 DR. FERRIERI: I support that. And Nancy
24 Cox said there would be more data from Chinese strains
25 in two to three weeks or Roland said that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned that the other candidates to date have
2 demonstrated low yield in vitro, so we may end up
3 having to go back to Yamanashi, though.

4 CHAIRMAN GREENBERG: Okay. Dr. Eickhoff.

5 DR. EICKHOFF: I concur.

6 CHAIRMAN GREENBERG: Dr. Daum.

7 DR. DAUM: Same.

8 CHAIRMAN GREENBERG: Dr. Edwards.

9 DR. EDWARDS: Deferral.

10 CHAIRMAN GREENBERG: Ms. Fisher.

11 MS. FISHER: No comment.

12 DR. FAGGETT: Dr. Faggett defers.

13 CHAIRMAN GREENBERG: You vote for
14 deferral? Yes. Dr. Kim.

15 DR. KIM: Defer.

16 CHAIRMAN GREENBERG: Dr. Kohl.

17 DR. KOHL: Defer.

18 CHAIRMAN GREENBERG: Dr. Estes.

19 DR. ESTES: I agree.

20 CHAIRMAN GREENBERG: Dr. Griffin.

21 DR. GRIFFIN: I also agree.

22 CHAIRMAN GREENBERG: And, for the record,
23 I also agree.

24 So I'd like to thank the panel for dealing
25 in a very logical way with a very complicated system.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Of course we punted on two decisions, which was, I
2 think, the logical thing to do.

3 So, because of scheduling issues, I'd
4 actually like you -- it turns out that it would be
5 easiest for us to continue a little while longer and
6 hold your hunger in place. We're going to have 10
7 minutes of open public meeting which Nancy and Dwight,
8 I just ask whether there's any public -- is there
9 anybody in the public out there who wishes to say
10 something? Okay. Well, in which case, then, there is
11 nobody.

12 So, then, we're going to have 10 minutes
13 of talk from Dr. Carbone and then we'll adjourn and we
14 will have then ended the public component of this
15 meeting. Kathy, come on up. So we're just switching
16 gears here and Dr. Carbone is going to talk, describe
17 to us the activities of the Laboratory of Pediatric
18 and Respiratory Virus Diseases.

19 DR. CARBONE: Hi. Actually, today I'm
20 going to describe the two subsections of the
21 laboratory that I head. And that's the Neurovirulence
22 Unit, which is my research group, and Dr. Judy
23 Beeler's research group, the Antigen Immune Response
24 Team.

25 Next slide, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just to illustrate the two. This is Dr.
2 Beeler's group in yellow. This is my group in yellow
3 here. This is the rest of the laboratory. Of course,
4 the noted Dr. Levandowski is part of our group; Dr.
5 Atreya; and associated members. This group here is an
6 academic group that's working in collaboration with my
7 group at the FDA. I just want to point out that these
8 two new candidates have just arrived in the
9 laboratory, so the work that I'll be describing is
10 really the result of Mr. Rubin, Dr. Pletnikov, Ms.
11 Jones just arrived, and myself.

12 Next slide, please.

13 Just to give you a little introduction to
14 the Laboratory of Pediatric and Respiratory Viral
15 Diseases, we regulate the new research on vaccines
16 that are inoculated into virtually every person in
17 the United States, either as an adult or a child.
18 That includes influenza, measles, mumps, rubella,
19 rotavirus, and polio virus vaccines. That's, sum
20 total, about 100 million doses per year.

21 Over the time since I arrived to head the
22 lab in 1996, at the present time in 1999 or now 2000,
23 we have had an increase in IND reviews of twofold PLAs
24 and supplements of fivefold, but we've managed to
25 increase our scientific publications by twofold. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm very proud of the laboratory for that reason.

2 One of the reasons, despite about a 50
3 percent decrease in research funding for the
4 laboratory, is that there have been successful
5 applications for outside funding. And we have the
6 National Vaccine Program Office. Dr. Zoon, the Center
7 Director's Target Award. And we also have our
8 collaborations with academia and the Office of Women's
9 Health at the FDA to thank for these outside funds to
10 continue our research.

11 Next slide.

12 So Dr. Beeler and myself, as an
13 introduction, we deal with licensed vaccines. We have
14 a new collaboration with Dr. Levandowski's group that
15 I'll describe later. Dr. Beeler has been working on
16 measles for many years. We've been working on mumps
17 since my arrival at the FDA. We also work on two
18 viruses there. Dr. Beeler and Dr. Atreya work on two
19 viruses: RSV and human parainfluenza virus III.
20 They're not currently vaccines, but they're in
21 research program.

22 And then, of course, the laboratory has
23 cross-cutting programs which include neurovirulence
24 and human immune responses that we serve as references
25 and act on these areas for the entire division.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide.

2 So just briefly I'm going to describe in
3 two minutes my program and follow with Dr. Beeler's.
4 Time does not permit us, obviously, to get into much
5 detail so I hope I don't leave everyone too much in
6 the dark. But, in general, when I arrived, I had an
7 interest in neurological disease and viruses. And
8 virus vaccines, of course, have been associated with
9 many neurological diseases. And part of our job is to
10 determine which of those associations are causal and
11 which are coincidence.

12 In some cases, there's clearly a causal
13 association between certain types of mumps vaccine
14 strains and meningitis; Ural B vaccine, Leningrad III,
15 and Sophia, none of which were licensed in the United
16 States. Polio vaccine has clearly been associated
17 with the polio the disease. Measles vaccine has
18 rarely been described in cases of encephalitis. There
19 are issues of varicella vaccine and questions of
20 latency for this virus in the nervous system.

21 And then there are several areas that are
22 of great concern to the public and, as yet, the data
23 are not available to know whether this is a causal
24 a coincidence and more work needs to be done to
25 determine it, but publications have come from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outside, non-FDA and non-government publications,
2 trying to associate the measles, mumps, rubella
3 vaccine and autism; hepatitis B vaccine and multiple
4 sclerosis; and a host of other neurological diseases.

5 Next slide.

6 So in my laboratory, we've developed a
7 very multi-disciplinary group to study virus-induced
8 neurological disease and brain development. Because
9 if we're going to determine whether vaccines are safe
10 for use in the population in regards to their
11 infection and damage to the nervous system, it's
12 important to understand how viruses affect the brain.

13 Because we deal with so many pediatric
14 diseases, we do something that's actually very rare in
15 the United States and that's we look at developmental
16 milestones in terms of virus-infected brains. Now
17 typically people have for years been looking at
18 neurovirulence assays in adult animals. We actually
19 look in the developing nervous system and look for
20 developmental brain damage, which may be more
21 pertinent to the pediatric and newborn populations.

22 We actually have data of neurochemistry,
23 serotonin changes in the brain, neuroimmunology, we
24 look at cytokines. We have indicates of brain
25 developmental gene up regulation and infection. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now we have some data which show that sometimes
2 adverse events associated with wild-type virus
3 infection in the brain are dependent upon genetic
4 background of the animal. We use a rat model for
5 these.

6 We also, in the rat model, have studied
7 behavior as sort of an outcome. This is a very,
8 actually a very sensitive outcome for developmental
9 brain damage. And have determined abnormalities from
10 wild-type virus infections with social/play behaviors,
11 cognizant deficiencies, and anxieties and other
12 emotional disorders. In addition, we've been able to
13 describe anatomical differences.

14 We use all this information that we gather
15 with the wild-type viruses to try and develop
16 effective vaccine neurovirulence assays to make sure
17 that the vaccines that we use are safe.

18 Next slide.

19 Thus far, the accomplishments specifically
20 in the laboratory -- and I'm sorry I don't have time
21 to show you data, so I've referenced them if anybody
22 would like the references. With our emphasis on brain
23 developmental damage, we've been able, with the strong
24 involvement of Mr. Rubin in my laboratory, we've had
25 several publications on mumps virus neurovirulence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assay development trying to get to a small animal
2 assay and avoid the use of primates if possible. This
3 was just returned with very favorable review, so I
4 suspect this will be accepted. We are now starting
5 influenza virus and an HPIV3 neurovirulence
6 experiments.

7 Mechanism and pathogenesis of virus-
8 associated developmental brain damage, as I described
9 in the previous slide, has resulted in a host of
10 publications coming directly out of my laboratory or
11 collaborations with Dr. Katz and Dr. Plata-Salaman.

12 And we also have published early last year
13 the first animal model for autism or autistic-like
14 disease associated with neonatal brain damage due to
15 a virus infection. We used Borna disease virus as our
16 agent. And Dr. Pletnikov, a very talented instructor,
17 has published several publications on this model,
18 following our previous 10 years of work. He's has
19 done some tremendous work.

20 Next slide.

21 So now I'll move into Dr. Beeler's
22 program. She's also done some slides here and they're
23 very abbreviated, but I'll try to do my best, due to
24 time constraints. Dr. Beeler has an excellent program
25 in virus-host cell interactions. And she is looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at particularly a virus attachment, two RSV proteins
2 F and G. They are important in attachment in cell
3 fusion.

4 And the particular interest in this area
5 is -- she's currently got an excellent post-doctoral
6 fellow, Dr. Feldman, working in this area -- is that
7 this is a look at antibody-mediated immune responses
8 to prevent infection and spread of the virus. In
9 other words, if we can find the RSV receptor and the
10 virus attachment sites, there may be ways to target
11 the vaccine to prevent, actually, the virus from even
12 entering the cell or to block the receptor rather than
13 neutralize the virus per se.

14 This is very exciting work and it's very
15 unique. The RSV receptor has not been defined.

16 The next slide.

17 You'll see that this work has resulted in
18 both collaborative and publications coming directly
19 from Dr. Beeler's lab, as well as many submitted
20 publications. And the work is continuing nicely. She
21 also has a nice collaborative publication on human
22 immune response to RSV.

23 Next slide.

24 Dr. Beeler has a program on measles as
25 well and has just recently, with Ms. Audet in her

1 laboratory, published a paper in Biologicals. It was
2 recorded by an international group that there was
3 pestivirus contamination of measles vaccine and Dr.
4 Beeler did a very nice careful study which
5 demonstrates, no pestivirus contamination. It was a
6 very well-designed study and, like I said, just got
7 accepted into Biologicals.

8 She has a very interesting line of
9 research that she's been working on when time permits
10 on measles vaccine associated thrombocytopenia resulted
11 in a publication in '96 and another manuscript in
12 preparation. It's a very interesting publication with
13 very interesting work which suggests that certain
14 factors in cell substrates may cause immune responses
15 that result in anti-platelet antibodies. Excellent
16 work.

17 Next slide.

18 She provided this slide and I put it
19 here to illustrate that in many cases we hear about
20 leveraging resources, both inside and outside the FDA,
21 and through leveraging resources in these multiple
22 collaborations that Dr. Beeler has initiated or
23 asked to participate in studies, it has tremendous
24 impact in the research world through
25 collaborations as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thank you very much.

2 CHAIRMAN GREENBERG: Thank you very much,
3 Dr. Carbone. I'd just like to editorialize briefly.
4 As all the panel knows over at least the last couple
5 of years that I've been on it, the FDA-CBER research
6 has been really cut to the bone as far as what their
7 research support is and it's sort of amazing that you
8 and your colleagues continue to be able to be
9 productive in what is an inhospitable situation.

10 Are there any specific questions for Dr.
11 Carbone before I ask you folks a question about how to
12 proceed? Dr. Estes.

13 DR. ESTES: I'd like to ask Dr. Carbone,
14 I mean, your laboratory has been very successful at
15 leveraging resources. Do you have a specific
16 mechanism that has helped you? Is there something
17 unique about what you're doing that has been
18 successful in this that would be of benefit for us to
19 know about?

20 DR. CARBONE: Well, the applications to
21 the NVPO, the Office of Women's Health, Center
22 Director's Target Award are open to everyone. There
23 have been some instances, because I came from academia
24 and was permitted to maintain a part-time academic
25 position at a university, then, through that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mechanism, I am able to be a collaborator on NIH-RO1
2 funding with approval of NIH and the FDA. They got
3 together. And they have done this in the past. It's
4 done at the CDC. It's done at veteran's hospitals.
5 I can't serve as the primary investigator, but I can
6 collaborate on grants. And that is a very helpful
7 mechanism.

8 DR. ESTES: Thank you.

9 CHAIRMAN GREENBERG: Dr. Kohl.

10 DR. KOHL: Dr. Carbone, I want to
11 congratulate you. I was very impressed by what you've
12 been able to do in the last three or four years. I
13 guess my question is is there anything you desperately
14 need that would help you further in your efforts?

15 DR. CARBONE: Oh, boy.

16 (Laughter.)

17 Christmas. I think it's what we don't
18 need. That's the problem. But I think that I would
19 say that the one major problem has been the shrinkage
20 in FTEs at CBER. And that has necessitated some very
21 drastic measures. We've been lately discussing
22 sharing technicians and rotating them through because
23 there aren't sufficient technicians for everyone to
24 utilize. And that makes it difficult because of the
25 review work we do requires that there be support in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the laboratory.

2 So FTEs for technical positions would be
3 very important. And this pertains to every laboratory
4 in the division. This is not just my laboratory.

5 And the second thing would be a somewhat
6 reliable or sustainable source of funding for the
7 research. We've taken some pretty drastic cuts. And,
8 unlike an NIH-RO1 mechanism, which I had an RO1 for 10
9 years, on the outside, we get funded year-to-year.
10 And that makes it very difficult to maintain a program
11 as opposed to the three-to-five year continuous
12 funding you'll get from an RO1.

13 So, really, more funding and more
14 personnel. I think that the CBER environment is
15 extremely supportive and talented. The administration
16 and management is very supportive of the research
17 program. We're dealing, I think, with external
18 issues.

19 CHAIRMAN GREENBERG: Ms. Fisher.

20 MS. FISHER: Yes, I'm curious because
21 there is a lot of publicity about record amount of
22 money that is being allocated to HHS for scientific
23 research. Why is the FDA's research program somehow
24 not getting a part of this pie? I mean, am I --

25 DR. CARBONE: That's a little above my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 area.

2 MS. FISHER: Well, I mean, I don't
3 understand.

4 CHAIRMAN GREENBERG: I think when Dr.
5 Goldman addresses us in closed session, I think that's
6 a spectacular question to ask him.

7 DR. CARBONE: Yes. That's a good person
8 to ask.

9 CHAIRMAN GREENBERG: Dr. Carbone, probably
10 doesn't -- if you have a speculation, feel free.

11 DR. CARBONE: No, I really wouldn't.

12 CHAIRMAN GREENBERG: I would invite you to
13 leave it to the people who can take more heat.

14 DR. CARBONE: Yes. I'm down here looking
15 up. That's all I am.

16 CHAIRMAN GREENBERG: Okay. Any other
17 questions? I would simply say one thing that just
18 because Dr. Carbone and her colleagues have been
19 successful, that should not indicate that anybody I
20 think on this committee thinks that the current level
21 of support is appropriate. I would say this, if
22 anything, your success has occurred despite what's
23 happened to you, not because of it.

24 Okay. You have a choice here as a
25 committee. We can move right now into closed session

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and review the lab. Or you can go for lunch and come
2 back and we can move into closed session and review
3 the lab. I'm happy either way, but I want to give
4 people on the committee the -- I think Dr. Griffin,
5 who ran the review of this lab, says it will be quite
6 expeditious to do that. So she votes closed session.
7 The rest of you?

8 Okay. So I'm dismissing the audience and
9 the consultants, with tremendous gratitude. And you
10 all did a great job.

11 (Whereupon, the proceedings went off the
12 record and resumed in Closed Session.)

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: January 28, 2000

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


