

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH MAR 27 10:18

8479 00

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS ADVISORY COMMITTEE

+ + + + +

MEETING BY TELECONFERENCE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

+ + + + +

FRIDAY,

MARCH 10, 2000

OPEN

+ + + + +

The meeting was held at noon in the Kennedy Room of the Holiday Inn, 8777 Georgia Avenue, Silver Spring, Maryland, Dr. Harry B. Greenberg, Chair, presiding.

PRESENT:

- HARRY B. GREENBERG, M.D. Chair
- ROBERT COUCH, M.D. Temporary Member
- ROBERT S. DAUM, M.D. Member
- THEODORE EICKHOFF, M.D. Temporary Member
- MARY K. ESTES, Ph.D. Member
- WALTER L. FAGGETT, M.D. Member
- L. PATRICIA FERRIERI, M.D. Temporary Member
- BARBARA LOE FISHER Member
- DIANE E. GRIFFIN, M.D., Ph.D. Member
- CHARLES HOKE, JR., M.D. Temporary Member
- ALICE S. HUANG, Ph.D. Member
- EDWIN KILBOURNE, M.D. Temporary Member
- STEVE KOHL, M.D. Member
- MARTIN MYERS, M.D. Temporary Member
- DIXIE E. SNIDER, JR., M.D., M.P.H. Member
- DAVID S. STEPHENS, M.D. Member
- NANCY CHERRY Executive Secretary
- DENISE ROYSTER Comm. Mgmt. Spec.

ORIGINAL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Page

Teleconference Roll Call; Call to Order, Introductions, Administrative Matters . . . . . 3

Session 1 - Open Session

Completion of Formulation of Influenza Virus Vaccine for 2000-2001

Review of Current Situation, Dr. Roland Levandowski, FDA . . . . . 5

WHO Collaborating Laboratory Reports 1999-2000 Influenza Season, Dr. Alexander Klimov . . . . . 9

Additional Information on Serologic Results and Reference Strains, Dr. Roland Levandowski . . . . . 29

Options for Strain Selection, Influenza A, Dr. Roland Levandowski . . . . . 40

Open Public Hearing . . . . . 47

Committee Discussion and Voting . . . . . 47

Options for Strain Selection, Influenza B, Dr. Roland Levandowski . . . . . 52

Open Public Hearing . . . . . 55

Committee Discussion and Voting . . . . . 55

Session 2 - Open Session - Vaccine Safety Action Plan

Briefing, Dr. William Egan, FDA . . . . . 64

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(12:03 p.m.)

1  
2  
3 MS. CHERRY: The following announcement  
4 addresses conflict of interest issues associated with  
5 the sessions of the Vaccines and Related Biological  
6 Products Advisory Committee on March 10, 2000.

7 Based on the agenda made available, it has  
8 been determined that the committee discussions for the  
9 influenza virus vaccine formulation for 2000-2001 and  
10 the briefing of the vaccine safety action plan present  
11 no potential for a conflict of interest. Dr.  
12 Alexander Klimov has been invited as a guest to  
13 participate in this discussion.

14 The Director of the Center for Biologics  
15 Evaluation and Research has appointed Drs. Claire  
16 Broome, Robert Couch, Theodore Eickhoff, Patricia  
17 Ferrieri, Charles Hoke, Edwin Kilbourne, and Martin  
18 Myers as temporary voting members for the discussion  
19 on the flu formulation.

20 In the event that the discussions involve  
21 specific products or firms not on the agenda and for  
22 which FDA's participants have a financial interest,  
23 then the participants are reminded of the need to  
24 exclude themselves from the discussions. Their  
25 refusals will be noted for the public record.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 With respect to all other meeting  
2 participants, we ask in the interest of fairness that  
3 you state your name and affiliation and any current or  
4 previous financial involvement with any firm whose  
5 products you wish to comment on.

6 Now, let me check one more time because I  
7 think somebody else came on the line. Dr. Daum? Dr.  
8 Faggett?

9 DR. FAGGETT: Dr. Faggett is here.

10 MS. CHERRY: Good. Welcome.

11 DR. FAGGETT: Good morning.

12 MS. CHERRY: Dr. Kim. Dr. Broome. Okay.  
13 Well, I hope they will join us as we go through.  
14 That's all I have, Dr. Greenberg.

15 DR. GREENBERG: Okay. Let me look at my  
16 agenda here. What am I supposed to be doing next  
17 here, Nancy?

18 One note that I would like to just simply  
19 say to the advisory group around the telephones, that  
20 obviously it is harder to coordinate statements and  
21 questions in this venue than when we're in person.  
22 What I would just ask is that we all be tolerant of  
23 everybody else because it's complicated. Also that  
24 you spend a little extra time formulating your  
25 question or your thought so that we do it as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 efficiently as possible.

2 I guess with that admonition, I will turn  
3 over the discussion to Roland who is going to review  
4 the current situation.

5 DR. LEVANDOWSKI: Okay. Thank you, Dr.  
6 Greenberg. Good day to everybody. I just would like  
7 to review a little bit about things that have happened  
8 in the past. As you will recall, as the January  
9 meeting the committee recommended that the A/New  
10 Caledonia/20/99 strain would be used as the H1N1 virus  
11 for the 2000-2001 influenza virus vaccine for the  
12 United States.

13 However, at that time the recommendations  
14 for the H3N2 Influenza A and the Influenza B viruses  
15 were deferred so that additional information could be  
16 collected and analyzed.

17 In February new information was presented  
18 and discussed at the World Health Organization and  
19 recommendations were made by WHO at that time. I  
20 believe you should have gotten a copy of the WHO  
21 recommendations. The WHO recommended as published in  
22 the February 25 "Weekly Epidemiologic Record" that  
23 vaccines for the 2000-2001 influenza season of the  
24 northern hemisphere should contain an A/New  
25 Caledonia/20/99 H1N1 like strain, an A/Moscow/10/99

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 H3N2 like strain, and a B/Beijing/184/93 like strain.

2 Those recommendations are the same as those  
3 that were previously made in September of 1999 for the  
4 southern hemisphere where that influenza season is  
5 about to begin and manufacturing has been  
6 accomplished.

7 As you may know from previous discussions,  
8 the A/Moscow/10/99 H3N2 virus has been found to be  
9 unsuitable for vaccine manufacturing and that's  
10 because the wild-type virus does not grow well enough  
11 and no suitable reassortant was obtained after  
12 considerable effort was expended in a number of  
13 laboratories to try to make a high-growth reassortant.

14 However, the A/Panama/2007/99 H3N2 virus is  
15 considered to be an A/Moscow/10/99 like strain and  
16 several high-growth reassortants with potential for  
17 use in manufacturing have been produced. That was  
18 also noted by WHO in their publication.

19 The B/Yamanashi/166/98 virus, which is an  
20 B/Beijing/184/93 like strain is being used for  
21 manufacturing as the B strain in most areas of the  
22 world.

23 Earlier this week on March 7 representatives  
24 of member countries of the European Agency for  
25 Evaluation of Medicinal Products met to complete their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommendations for strains to be used in influenza  
2 virus vaccines next season in Europe.

3 European scientists from national regulatory  
4 authorities and manufacturing groups confirmed the  
5 selections that were made by WHO by choosing A/New  
6 Caledonia/20/99 as the H1N1 virus, A/Panama/2007/99 as  
7 the H3N2 virus, and B/Yamanashi/166/98.

8 In the case of the A/Panama/2007/99 strain,  
9 five high-growth reassortant viruses are being  
10 considered as potential vaccine candidates and it is  
11 expected that work will be completed in the next two  
12 weeks to determine which of those candidate viruses  
13 might be best suited for overall manufacturing  
14 purposes.

15 Our purpose for today is to complete the  
16 recommendations for influenza virus strains to be used  
17 in vaccines for the United States during the upcoming  
18 season. Specifically the committee and consultants  
19 are gathered on this teleconference to make  
20 recommendations for the H3N2 Influenza A virus and the  
21 Influenza B viruses. I'll just read the questions as  
22 they are listed in the agenda.

23 No. 1 is what strain should be recommended  
24 for the Influenza B component of the vaccine?  
25 Question 2 is what strain should be recommended for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Influenza A (H3N2) component of the vaccine.

2 Having said that, unless there are some  
3 other comments from anybody, to begin we would like to  
4 provide some additional data for the committee's  
5 consideration starting with additional surveillance  
6 information from the CDC. Standing by at CDC will be  
7 either Dr. Alexander Klimov or Dr. Nancy Cox to fill  
8 us in on information on surveillance.

9 DR. FAGGETT: Do we have this information in  
10 our packet?

11 DR. LEVANDOWSKI: Yes, that information  
12 should have been sent to all the committee members as  
13 part of the most recent package of information. I  
14 would suggest that you do follow along with the  
15 handouts wherever possible.

16 DR. GREENBERG: Roland?

17 MS. CHERRY: It was faxed.

18 DR. GREENBERG: Roland, I assume this packet  
19 is the packet where the first page says CDC  
20 Information for FDA Advisory Panel March 10, and it's  
21 a 17-page packet. Is that correct?

22 DR. LEVANDOWSKI: That should be correct.  
23 I'll just confirm that with the CDC colleagues.

24 Sasha? Nancy? Are you there? Hello.

25 MS. CHERRY: CDC?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. KLIMOV: Can you hear me? Hello.

2 MS. CHERRY: Yes.

3 DR. LEVANDOWSKI: We're having trouble  
4 hearing you.

5 DR. KLIMOV: Can you hear me now?

6 DR. LEVANDOWSKI: Yes.

7 DR. KLIMOV: This is Dr. Klimov from CDC.  
8 Nancy Cox is not available right now. She might join  
9 us later. Do you hear me clearly?

10 DR. LEVANDOWSKI: Yes.

11 DR. KLIMOV: Okay. Should I start, Dr.  
12 Greenberg?

13 DR. GREENBERG: You should start but I asked  
14 a question which you could answer first, and that is  
15 I am assuming that the information that the panel  
16 members will be following is in a packet that starts  
17 with something saying, "CDC Information for FDA  
18 Advisory Panel, March 10," and it is a 17-page  
19 document?

20 DR. KLIMOV: That's correct.

21 DR. GREENBERG: Okay.

22 DR. KLIMOV: I will start with brief update  
23 on the U.S. Surveillance and national influenza  
24 activities we can ignore in the U.S. Most states or  
25 territorial epidemiologists reported widespread

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 influenza activities this week.

2           Only five of them reported original  
3 activity. The proportion of patients with visits to  
4 sentinel physicians for influenza like illness is  
5 within the baseline level in the U.S. You know there  
6 are issues (indiscernible). I'm sorry. Can you hear  
7 me?

8           DR. LEVANDOWSKI: Sasha, we're not hearing  
9 you because there was a lot of interference there for  
10 a second or so. There was some sort of crunching  
11 noise. Could you please repeat that?

12           DR. KLIMOV: So, as I said, the proportion  
13 of patients with visits to sentinel physicians for  
14 influenza like illness is within the baseline level in  
15 the whole U.S. now. You also know from the January  
16 meeting that there are pharmacological issues existing  
17 for the pneumonia and influenza mortality. This  
18 mortality is deeply down now but also is still  
19 slightly above the threshold for this period of the  
20 year.

21           The dominant one this year was Influenza A  
22 (H3N2). You can look at the page 2 which has a graph  
23 indicating that the peak of the Influenza A (H3N2)  
24 activity was between first and second week of this  
25 year. You could notice as well that at the very end

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of this season there is an increasing number of  
2 Influenza A (H1N1). Totally we received like 45 to 50  
3 Influenza A (H1N1) within the last five weeks. I am  
4 going now to the page 4.

5 DR. KOHL: Can I ask a question about that?

6 DR. LEVANDOWSKI: Dr. Kohl has a question,  
7 I think, for you Sasha.

8 DR. KLIMOV: Okay.

9 DR. KOHL: The H1N1 isolates, were they from  
10 a particular part of the United States? Were they  
11 those Carolina/Kentucky isolates that are featured on  
12 the next page?

13 DR. KLIMOV: The Kentucky isolates and  
14 Carolina isolates but not only from those regions. We  
15 have them from Hawaii, Nevada, California, Wisconsin,  
16 Kentucky as you said, and North Carolina as well.

17 DR. KOHL: Thank you.

18 DR. KLIMOV: And the hemagglutination  
19 inhibition test presented on page 4 for Influenza H1N1  
20 viruses actually validates the decision which was made  
21 in January about H1N1 vaccine component. As you can  
22 see from this table in two sort of difference frames,  
23 we have now in the United States two variations of  
24 Influenza H1N1 circulating. The first group is so-  
25 called Beijing/262-like or we can call them now A/New

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Caledonia-like viruses. This is antigenic and genetic  
2 group.

3 The other group of viruses we found in North  
4 Carolina and Kentucky. They are similar to the  
5 previous year's Influenza H1N1 which circulated in the  
6 United States. They belong to so-called Bayern group  
7 or, according to the vaccine strain, to the  
8 A/Johannesburg/82/96 antigenic and genetic lineage.

9 The Beijing/262-like or New Caledonia-like  
10 viruses, you can see that within this group  
11 Beijing/262 ferret antisera which is A antisera on  
12 this table will not cover well all new strains and in  
13 particular some strains from Hong Kong, Bangkok, and  
14 Philippines. There is more than four point difference  
15 between the homologous titer and the titer against  
16 those (indiscernible). At the same time you can see  
17 that New Caledonia/20 ferret antisera did well with  
18 all the viruses in this set.

19 As you can see from the table also, the  
20 Johannesburg/82/96 or Bayern-like viruses, are close  
21 to each other and to the previous years of  
22 Johannesburg/82. I would like to remind you all that  
23 Johannesburg/82-like viruses are distinct  
24 antigenically to the A/Beijing/262/95-like viruses.  
25 However, the Beijing/262 produces five types of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 antibodies that cross-react well with all  
2 A/Bayern/7/95-like viruses.

3 Now I'm going to move to the Influenza B  
4 viruses. I would like to ask you to turn to page 6 of  
5 our package.

6 PARTICIPANT: It's difficult to hear you.  
7 Could you speak up, please.

8 DR. KLIMOV: Okay. I'm trying to speak as  
9 loud as I can. Can you hear me?

10 PARTICIPANT: It's a bit better.

11 DR. KLIMOV: Okay. I will try keeping  
12 louder. This page 6 and the following page 7  
13 represent recent hemagglutination inhibition data on  
14 some recent viruses. As you can see, most of those  
15 viruses continue to belong to B/Beijing/184/93 and  
16 B/Yamanashi/166/98 group.

17 The test on page 6 includes some recent  
18 viruses from China. As you remember, during the  
19 January meeting we mentioned that we just received at  
20 that moment a package from China. From the table on  
21 the page 6 you can see that some of those new viruses  
22 from China are poorly covered with Beijing/184  
23 antisera.

24 As you remember during the January meeting  
25 we mentioned the Shenzhen/654/99 at that moment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 possible variant which we hope will cover most of the  
2 viruses. You can see from the table on page 6 this  
3 antisera (indiscernible) so it looks like the China  
4 has some new variations but there is no one particular  
5 group of viruses in this region.

6 DR. GREENBERG: There is somebody who is  
7 eating an apple into their phone or something.

8 MS. CHERRY: It may help if you put your  
9 phone on mute while you're listening.

10 DR. KLIMOV: Okay. So page 7 represents the  
11 data which we received from Dr. Alan Hampson from  
12 London. We have similar data, just his collection is  
13 a little bit wider. The test includes recent viruses  
14 from Hong Kong. I forgot to mention that  
15 unfortunately we do have just a few viruses from China  
16 so far. We've had very few come to the U.S. this  
17 season.

18 The table on page 7 clearly shows that the  
19 new virus is from Hong Kong definitely  
20 Yamanashi/166/98-like and this Yamanashi antisera  
21 reacts well with the strains from that region.

22 The next page 8 presents data --

23 PARTICIPANT: Sasha, can I ask a question?  
24 Do we have much epidemiologic information yet about  
25 the Shangdong/07 being so far out?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KLIMOV: Not too much actually. We are  
2 talking more about what I call B/Victoria-like  
3 viruses. We did not receive this virus from China but  
4 we do know that some countries like Japan and Taiwan  
5 do have B/Victoria-like viruses with the  
6 B/Beijing/184. As you all know, the B/Victoria-like  
7 viruses are in the United States for many, many years.

8 PARTICIPANT: Thank you.

9 DR. KLIMOV: Page 8 represents the  
10 evolutionary relationships among Influenza B  
11 hemagglutinin genes. This is about the same shown to  
12 you all at the January meeting except new viruses on  
13 the top of the tree, Shenzhen/652, Shenzhen/654. The  
14 majority of recent viruses are within the bottom part  
15 of this tree. In other words within the  
16 B/Yamanashi/166 genetic group. They are genetically  
17 close to B/Yamanashi.

18 The viruses on the top of this figure which  
19 I mentioned is from so-called Harbin/7/94 genetic  
20 sublineage. I should mention that viruses from this  
21 sublineage wasn't found in the United States. They  
22 continue to circulate a little bit in China but even  
23 in China they have more B/Yamanashi-like viruses at  
24 this moment genetically.

25 The next table on page 9 is actually the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same table we have shown during the January meeting  
2 but we put this in the package just to remind you all  
3 that B-Yamanashi/166/98 virus is the closest virus to  
4 so-called consensus amino acid sequence of the  
5 hemagglutinin.

6 You can see, for example, that Beijing/184  
7 has 9 amino acid differences. Harbin/7/94, which used  
8 to be the same strain for several years, has 12 amino  
9 acid differences but B/Yamanashi/166/98 still has only  
10 2 amino acid differences from the consensus sequence.  
11 This is an indication that we do not have considerable  
12 antigenic change of the hemagglutinin of recent  
13 Influenza B viruses.

14 If you have questions about Influenza B  
15 please go ahead. Otherwise, I will go to Influenza A  
16 (H3N2) viruses.

17 Okay. Influenza A (H3N2) virus. We have a  
18 few which is similar to what we saw during the January  
19 meeting or before January meeting. Most of the  
20 viruses are still close to Sydney/05/97, Moscow/10/99,  
21 and Panama/2007/99.

22 If you look at the hemagglutination  
23 inhibition table on page 11 of your package, you can  
24 see that the viruses are close to Sydney, Moscow, and  
25 Panama. But at the bottom part of the table, you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 see that there are some new viruses.

2 For example, in this particular case, from  
3 Indiana, Texas, Florida, which are low to Sydney/05 to  
4 the homologous side. Also you can see that there is  
5 a tendency that Moscow antisera or Panama antisera  
6 cover those new viruses better than Sydney/05 antisera  
7 does.

8 The next table on page 12 includes some new  
9 viruses from U.S. and other areas. This also  
10 represents the data of hemagglutination inhibition  
11 activity caused by ferret antisera raised against  
12 several Panama high-growing reassortants. Those  
13 reassortants are so-called Resvir-16, Resvir-17 as  
14 prepared in Dr. Levandowski's lab, and also NIB-41  
15 which was prepared by Dr. John Wood in London.

16 Once again, from this table you can see at  
17 the bottom of the table there are some recent viruses  
18 which are low to Sydney/05 antisera but they are  
19 followed pretty well with Moscow/10 or Panama/2007  
20 antisera most of them.

21 Also this table shows that antigenically all  
22 three high-growing reassortants are similar to each  
23 other and acceptable by their antigen as potential  
24 vaccine reassortant. They work as well as wild-type  
25 Panama virus does.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The next page, page 13, represents a genetic  
2 evolutionary relationships data among recent Influenza  
3 A (H3N2) viruses. You can see that definitely the  
4 viruses of this subtype are undergoing genetic  
5 changes. They are quite high now from Sydney/5/97  
6 genetically.

7           In the middle of this graph you can find  
8 Panama/2007/99 virus. Actually, all recent viruses  
9 belong either to the A/Panama/2007 branch on this tree  
10 or to the branch which is on the top of this picture.  
11 I should mention that antigenically those viruses from  
12 those top two branches it is impossible to distinguish  
13 between them. As I said, antigenically they are  
14 pretty similar to A/Panama/2007.

15           If you move to the next page 14, you can see  
16 that Panama/2007/99 is the virus which is one of the  
17 closest to the consensus amino acid sequence of the  
18 hemagglutinin of recent Influenza A (H3N2)  
19 viruses. For example, the Sydney/5/97 had 9 amino  
20 acid differences from the consensus. Panama/2007 had  
21 just 3 amino acid differences which actually provides  
22 additional support to Panama as a potential vaccine  
23 candidate from our point of view.

24           The last page of the package, page 15,  
25 presents the data on evolution of the neuraminidase

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 genes of recent influenza viruses. You can see from  
2 this graph the new variations of Influenza A viruses  
3 like reassortants 16, 17, etcetera.

4 They belong to a sublineage which is  
5 different from the sublineage of where the Sydney/5/97  
6 neuraminidase is. In other words, the neuraminidase  
7 of recent viruses is genetically different from the  
8 neuraminidase of Sydney/5/97-like viruses.

9 As a sort of conclusion about the H3 part of  
10 my presentation, I should repeat that antigenically  
11 and genetically the data which we gained after the  
12 January meeting are similar to what we have shown  
13 during the January meeting about vaccine selections.  
14 Genetically we definitely see that the new age of  
15 Influenza H3 antiviruses are most harm in comparison  
16 to Sydney/5/97 vaccine strain.

17 Also, I would like to mention that we may  
18 sort of vary on how many viruses we received this year  
19 from people who were vaccinated. We call them vaccine  
20 tailors. How data indicate that if two years ago we  
21 received approximately 3.8 percent of viruses from  
22 vaccinated people. Last year we received 4.1 percent  
23 of strains from people who were vaccinated.

24 This year this figure is double so in this  
25 season 47 of 542 viruses we received so far. It was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 9 percent, 8.7 percent of viruses we received from  
2 people who were vaccinated this last year. We do not  
3 ask specifically people to send us the knowledge of  
4 viruses circulated from vaccine tailors so this is  
5 just random figures which we have.

6 Probably I will stop here and ready to  
7 answer your questions.

8 MS. FISHER: This is Barbara Fisher. I have  
9 a question.

10 DR. LEVANDOWSKI: Yes.

11 MS. CHERRY: Go ahead.

12 MS. FISHER: Okay. Sorry. What you're  
13 saying then is that those who were vaccinated and came  
14 down with the flu, we don't know which strain was  
15 associated with that failure?

16 DR. KLIMOV: You know, my most cases,  
17 antigenically those viruses are pretty close to Sydney  
18 to Moscow to Panama, the recent viruses. Genetically  
19 they are withdrawn.

20 MS. FISHER: I have one more question. In  
21 the last meeting you talked, or someone talked about  
22 increased mortality associated with the flu this  
23 season. Do we have anymore data about the health and  
24 vaccination history of those who died including their  
25 ages?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KLIMOV: This data is still in the  
2 collection stage. I know that our edit section is  
3 working on this right now but I do not have any  
4 finalized data about this issue.

5 DR. GREENBERG: Let's clarify something just  
6 for the record here because I'm not sure I understand  
7 the response. It was my impression that the data that  
8 was stated was that this year --

9 PARTICIPANT: You're breaking up, Harry. We  
10 can't hear you.

11 DR. GREENBERG: Is that better? Sasha, hold  
12 on a second. What I heard you say was that this year  
13 approximately 9 percent of the isolates sent to the  
14 CDC appeared to come from people who were vaccinated  
15 as opposed to the past two years where approximately  
16 4.5 percent came from people who were vaccinated.

17 DR. KLIMOV: It's about 4 percent.

18 DR. GREENBERG: You said nothing that I am  
19 aware of of differences in sequence or antigenicity of  
20 the two types of isolates. I think that was what Ms.  
21 Fisher was asking.

22 DR. KLIMOV: Okay. Once again, the tables  
23 which are in our package indicate that we do see a  
24 definite percentage of viruses which are low to Sydney  
25 now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Sasha, the question is are  
2 those viruses specifically obtained from people who  
3 were vaccinated?

4 DR. KLIMOV: Okay. Every year we receive  
5 some number of viruses. We made a query how many --  
6 when we receive some viruses in some cases they have  
7 a remark that the virus was from a vaccine tailor or  
8 from vaccinated person. When we made these  
9 calculations, we realized that this year --

10 DR. GREENBERG: Sasha, you're not listening  
11 I don't think. I understand what you're saying.

12 PARTICIPANT: Harry, permit me. It's just  
13 a simple question. Are the isolates from vaccinees  
14 the same as from none vaccinees?

15 DR. KLIMOV: In general, yes. In general,  
16 yes.

17 DR. GREENBERG: Thank you. Because that was  
18 what Ms. Fisher, I think, was asking and, I think,  
19 your answer confused me. Okay.

20 DR. KLIMOV: Okay. I'm sorry.

21 DR. GREENBERG: Go on.

22 PARTICIPANT: I have two questions. I have  
23 to say I am not terribly impressed with differences  
24 away from the Sydney in terms of antigenicity. If you  
25 look at page 12 again and compare Sydney/5 at the top

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as A with NIB-41, which I gather is a Panama  
2 reassortant, I don't see really significant  
3 differences among those ferrets here.

4 I think you probably only used a couple of  
5 ferrets per observation point. Don't you? I just  
6 wonder that we're always moving too fast here and  
7 relying more on sequence and antigenicity,  
8 antigenicity being the definitive thing as far as I'm  
9 concerned still. The tendency is interesting but  
10 probably academic in terms of protection.

11 DR. KLIMOV: As you can see from the table  
12 on page 12, there is a four-fold difference one way  
13 between Sydney and Panama but not the other way,  
14 between Panama and Sydney as you can see on this  
15 particular table but we don't see this in all the  
16 tests so it's a one-way difference between Sydney and  
17 Panama-like viruses.

18 Yes, indeed, there is no dramatic difference  
19 between Sydney and, let's say, Panama for example.  
20 But as you can see, nonetheless, from the table on  
21 page 12 on the bottom part quite a number of viruses  
22 on this table are more than four-fold down to Sydney  
23 but not to Moscow and not to Panama but all of them  
24 are reacting extremely well with Panama or Moscow.  
25 The percentage of low reactors to Panama or to Moscow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is lower than percentage of low reactors to Sydney.

2 PARTICIPANT: Yes, but the group I would be  
3 concerned about, which would be numbers 20 through 28,  
4 the data looks very much the same to me as I scan  
5 those data in terms of protection by any of those ADCD  
6 antisera at the top.

7 DR. KLIMOV: Exactly. That's what I'm  
8 saying. Antigenetically all reassortants with Resvir-  
9 16, 17, and NIB-41 are similar to wild-type Panama  
10 virus.

11 PARTICIPANT: As far as I'm concerned, it  
12 becomes academic about changing away from Sydney even  
13 though our instincts tell us probably why. I have one  
14 other question, Sasha. Do you have any information  
15 about the neuraminidase antigenicity? You've shown us  
16 a genetic tree there. Is there any information about  
17 any significant antigenic differences among those N2s?

18 DR. KLIMOV: We don't have this here at CDC  
19 but there is data which indicated antigenic properties  
20 of recent neuraminidase are different from the  
21 Sydney/5-like neuraminidase.

22 PARTICIPANT: I see. That might begin to  
23 explain some of the differences you will be seeing  
24 this year in terms of vaccine failure. Are you going  
25 to be looking for that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. KLIMOV: That's correct.

2 PARTICIPANT: Okay.

3 DR. LEVANDOWSKI: Okay. This is Roland  
4 Levandowski. Are there other questions?

5 DR. KOHL: Yes, this is Kohl. I'm a little  
6 concerned about the H1N1 at the end of the season.  
7 And also about the isolation of a considerable number  
8 of low neflodation strains from the southern part of  
9 the United States.

10 DR. KLIMOV: As to the first question, the  
11 number of H1N1 viruses in the United States in the  
12 recent weeks, it's hard to predict right now will it  
13 continue to increase. We do know that Japan, Taiwan  
14 had wide outbreaks of H1N1 viruses this season. We do  
15 know that Canada, for example, had in recent weeks  
16 also outbreaks of Influenza A (H1N1) viruses.

17 Once again, it's difficult to say whether  
18 it's going to be what we call a wide base and small  
19 peak at the end of the season but this is the fact  
20 right now. There is an increasing number of H1N1  
21 viruses which we did not see before during the  
22 preceding weeks of the influenza season.

23 DR. KOHL: And a portion of those H1N1s will  
24 not be well covered by this strain we chose in  
25 January.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KLIMOV: It is well covered. It is well  
2 covered.

3 DR. LEVANDOWSKI: Dr. Kohl, let me just --  
4 can I clarify? This is Roland Levandowski. Can I  
5 clarify? You're looking at the table from page 4 and  
6 you are referring to the antigens No. 17 through 21?

7 DR. KOHL: Correct.

8 DR. LEVANDOWSKI: Those antigens are really  
9 Johannesburg/82/96-like strains. I think we should  
10 emphasis that the CDC's ferret antisera are very good  
11 at detecting the difference between those two  
12 lineages, between the Beijing/262 vaccine lineage and  
13 the older Johannesburg/82/96 lineage.

14 We're not presenting the data here but we  
15 have data from people who have been immunized which  
16 indicate very well that the antibodies produced in  
17 response to Beijing/262 and people do cross-react very  
18 well with those Johannesburg/82/96-like strains.

19 Although we don't have data on these  
20 specific strains, I think we would expect that we  
21 would see the same if we did the serologies with  
22 those. Partly that would be because most people have  
23 been exposed in the past to the Johannesburg/82/96-  
24 like strains.

25 DR. KOHL: Thank you very much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. COUCH: That data is in your handout.

2 DR. LEVANDOWSKI: I wasn't really going to  
3 emphasis it later.

4 DR. COUCH: But the concern arises and it's  
5 covered by your serology table.

6 DR. LEVANDOWSKI: Okay. This is Roland  
7 again. Are there other questions or comments?

8 DR. DAUM: Bob Daum speaking from Chicago.

9 MS. CHERRY: Hi. Welcome, Dr. Daum.

10 DR. DAUM: Welcome to you. Hi. In terms of  
11 failure isolates, return to that for just a moment.  
12 Two questions. First of all, do we know anything  
13 about the reporting system or the likelihood that  
14 someone would send you an isolate from a failure  
15 patient versus a nonfailure patient and has that  
16 possibly changed in the last three years?

17 Secondly, I may have blanked but did you  
18 give us any breakdown by serotype of the failure  
19 isolates?

20 DR. KLIMOV: As to the first question, there  
21 is not actually a system of sending us viruses  
22 specifically for people who failed to be protected  
23 with the vaccine immunization so they us randomly. As  
24 I mentioned before, we do not request specifically to  
25 send us data from such patients.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Dr. Klimov, you are being hard  
2 to hear. Can you speak up?

3 DR. KLIMOV: Okay. Okay. Is this better?

4 MS. CHERRY: Yes.

5 DR. KLIMOV: Okay. Once again, we do not  
6 have any specific system of tracking viruses from  
7 vaccinated people, just people that sent us what they  
8 consider to be interesting to send. Once again, there  
9 is no specific system. Just, as I said, we notice  
10 that this year the percentage seems to be higher than  
11 two years ago.

12 As to the second question, I didn't quite  
13 understand what you mean about serotyping. They are  
14 all H3N2.

15 DR. GREENBERG: Again, I think it's  
16 important for all of us --

17 PARTICIPANT: You're breaking up again.

18 MS. CHERRY: Harry, we're losing you.

19 DR. GREENBERG: I would just like to make  
20 sure that everybody once questions are answered try to  
21 ask a new question because it's hard enough to pay  
22 attention to this thing, at least on my phone, because  
23 it's breaking up so much. Are there any more  
24 questions?

25 DR. COUCH: Harry, Bob Couch. As long as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you've stopped for a moment, I'm going to have to  
2 leave the call in about 10 minutes. I am responsible  
3 for our noon conference. Just in general, I think  
4 Ed's points are well taken but I think the decisions  
5 are fairly straightforward but it's probably too early  
6 for an opinion. In 10 minutes from now I'm going to  
7 leave you but if you want me to say anything at that  
8 time, feel free. Otherwise, I'll try to join you if  
9 you're still going when it's over.

10 DR. GREENBERG: Okay. I might since you  
11 have a lot of experience and probably --

12 DR. COUCH: You've got other people there  
13 that do, too, though.

14 DR. GREENBERG: No, no. I might ask you  
15 your opinion so if you could just indicate when you  
16 are about to leave.

17 DR. COUCH: Okay. Okay.

18 DR. GREENBERG: Roland.

19 DR. LEVANDOWSKI: Okay. If there are no  
20 further questions, then we'll just continue. I would  
21 like to get some information, just some refresher  
22 information about serologic responses and the  
23 availability of strains and reagents. I will try not  
24 to take too long. I'll refer you to the handout from  
25 the Center for Biologics that is dated current to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 March 7, 2000. I don't want to call your attention to  
2 too many tables in there but I will call your  
3 attention to a few.

4 I don't have a handout that has all the  
5 individual serologic studies that were done from the  
6 participating labs to save space so the ones that are  
7 included in the handout are just intended to give some  
8 examples for people who wanted to take a look at them.

9 My main goal is going to be to try to  
10 summarize the work that has been done by the four labs  
11 that are involved in doing serologic testing; CDC in  
12 Atlanta, WHO Melbourne in Australia, National  
13 Institute for Biological Standardization and Control  
14 in London, and CBER-FDA in Bethesda.

15 Overall I would like to point out that this  
16 collaboration is very extensive and labor intensive.  
17 The effort is to try to collect as much information as  
18 we can on the performance of vaccines with respect to  
19 antibodies that will inhibit recently identified  
20 strains relative to the current vaccine strain. You  
21 will see on these tables that we've got more than 50  
22 different influenza viruses that we've taken a look at  
23 this year. I'll call your attention to some specific  
24 ones.

25 A lot of the information has been previously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 described. I'm not going to talk about the H1N1  
2 strains at this point. I'm going to restrict my  
3 remarks to the H3N2 and the B viruses. There's a  
4 table on page 3 of the handout which indicates the  
5 H3N2 Influenza A viruses that have been examined and  
6 you'll see if you look at that that the list is very  
7 extensive. It includes strains from all of the  
8 antigenic and genetic backgrounds that have been  
9 identified.

10 In particular, the list includes some  
11 viruses that were collected during the current  
12 influenza season in the United States such as the  
13 A/Michigan/27/99 and the A/California/32/99, as well  
14 as some strains that are genetically more closely  
15 related to the A/Moscow/10/99 and A/Panama/2007/99  
16 such as the A/Victoria/358/99 and A/Philippines/26/99  
17 strains.

18 If you'll turn to the summary table, which  
19 on page 16 of the handout, you'll see a table that  
20 shows a number of serologic tests that were done  
21 indicating whether a 50 percent or greater reduction  
22 in antibody titer occurred as compared to the  
23 A/Sydney/597 vaccine strain. Here again we're using  
24 the 50 percent or greater reduction as a cut-off point  
25 since it represents a two-fold reduction in titer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 In some instances on this table, the tests  
2 were done with the Resvir-13 A/Sydney/597 reassortant  
3 that was used in the vaccine. In some instances the  
4 wild-type A/Sydney/597 virus was used for comparison.

5 You'll notice that there is some variability  
6 in the results between the laboratories but in several  
7 instances the majority of tests indicate that there is  
8 a 50 percent or greater reduction in the antibody  
9 titers such as the results for A/Victoria/358/99 and  
10 A/Philippines/26/99.

11 In other instances there is little or no  
12 reduction such as with the A/Michigan/27/99 strain and  
13 with the A/Shenzhen/510/99 strain. Overall we think  
14 that the results indicate that the current H3N2  
15 Influenza A vaccine strain is imperfect in its  
16 coverage of recently circulating influenza viruses.

17 Turning to the -- I'll just continue and if  
18 there are questions, I'll take them all at the end I  
19 think. Turning to Influenza B, the table on page 4,  
20 flipping back to page 4, indicates the viruses that  
21 were used for serologic testing. You'll note that  
22 most emphasis was placed on viruses that are similar  
23 to the current vaccine strain which is  
24 B/Yamanashi/166/98. The strains tested are  
25 representative of a wide geographic range and they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 include the new variant B/Shenzhen/654/99.

2           The summary on page 17, flipping back one  
3 more time, shows the number of serologic tests that  
4 were done that showed a 50 percent or greater  
5 reduction in antibody titer as compared to the  
6 B/Yamanashi/166/98 vaccine strain or its equivalent.  
7 Again, the results are somewhat mixed. For the new  
8 variant strain B/Shenzhen/654/99, for example, the  
9 geometric mean titers were reduced by more than 50  
10 percent in the majority of tests.

11           In addition, results for B/South  
12 Australia/5/99 were also reduced. In looking at other  
13 strains that are similar to that one such as the  
14 B/Shanghai/180/99 and the B/Johannesburg/5/99 there  
15 was little or no evidence of reduced titers.

16 Taken all together the results indicate that antigenic  
17 changes that are occurring can be detected in some  
18 cases including in the case of this variant B strain.

19           That's really all I have to say about the  
20 serologies at this point. If there are questions,  
21 I'll take them.

22           One further comments would be that these  
23 data are really not so different from what we were  
24 discussing in January, although there was a lot of  
25 additional information that was collected. If there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 aren't any questions about that, then I'll just go on  
2 and make a few comments about the availability of  
3 strain reagents.

4 The Influenza A/New Caledonia/20/99 (H1N1)  
5 high-growth reassortant virus, the IVR-116, is  
6 currently being used for manufacturing. Information  
7 on the yield of the strain suggest it to be a  
8 moderately high yielding strain. For the benefit of  
9 manufacturers, the specific antisera and antigen for  
10 performing the potency test for A/New Caledonia will  
11 be available from the Center for Biologics within the  
12 next two weeks.

13 The antigen is in the last stages of  
14 calibration for use and both it and the specific sheep  
15 antiserum are being prepared for distribution even  
16 today as we're speaking. These reagents are available  
17 at an earlier time this year than usual for a new  
18 strain because of efforts to support manufacturing for  
19 vaccines being distributed in the southern hemisphere  
20 and we think that's actually a good thing for helping  
21 us smooth out the difficulties with making strain  
22 changes.

23 There are five high-growth reassortants of  
24 the A/Panama/2007/99 (H3N2) virus that are being  
25 evaluated. As Sasha Klimov was pointing out, all of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these appear to be antigenically equivalent. Even  
2 though he didn't have all five listed in the table,  
3 they are all antigenically equivalent. Two of these  
4 appear to be higher yielding than the others.

5 Additional information is being gathered on  
6 those to determine whether one or the other of those  
7 strains might be better suited to downstream  
8 processing which is an issue also.

9 Potency reagents are not currently available  
10 for the Panama/2007 strain, although immunization of  
11 sheep has been begun in anticipation that strain might  
12 be a potential vaccine candidate. Potency reagents  
13 for the A/Panama/2007 strain would not be available  
14 until sometime in May which is typical time for these.

15 Of course, we do have the reagents for the  
16 A/Sydney strain currently available and in the event  
17 that a Panama strain was chosen for manufacturing,  
18 those reagents could be used in the interim, although  
19 they would be expected to give a falsely high estimate  
20 of how much vaccine was being produced.

21 The B/Yamanashi/166/98 virus and potency  
22 reagents are currently available. However, here we  
23 don't have as much of a choice in terms of the  
24 practical issues. Work that's been done earlier in  
25 the United States and Europe with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 B/Johannesburg/599 strain and in Australia with the  
2 B/South Australia/599 strain suggest that neither of  
3 those strains would be suitable for manufacturing  
4 because of their poor growth characteristics. Really  
5 we don't have any other candidate, Yamanashi-like  
6 strains at this time.

7 One further comment about the  
8 B/Shenzhen/654/99 strain is that working with it in  
9 the laboratory it seems to have, at least in some  
10 laboratories, some unusual properties in that when the  
11 antigen is split with ether whereas normally we would  
12 expect the hemagglutinin titer to increase  
13 substantially it sometimes goes down and that's a  
14 little bit concerning.

15 That's all that I have to say about the  
16 strains and reagents unless there are some questions  
17 or comments.

18 DR. FAGGETT: One question. This is Dr.  
19 Faggett in Washington. You said there were two  
20 strains that had a high yield because they were  
21 antigenically -- there were two strains of those?

22 DR. LEVANDOWSKI: We're talking about the  
23 A/Panama/2007/99 reassortants that I believe you are  
24 referring to. Those two strains are designated as  
25 Resvir-17 and NIB-41. They are both reassortants that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were produced using the A/Panama/2007/99 virus as the  
2 wild-type parent.

3 PARTICIPANT: Roland, has just one high-  
4 yield reassortant on the New Caledonia been  
5 distributed to manufacturers?

6 DR. LEVANDOWSKI: That has been and at this  
7 point we don't anticipate using any others mainly  
8 because of the issues about making the reagents  
9 specific for the strain. We have noticed in past  
10 years recently that there can be some differences in  
11 the behavior of the behavior of the potency reagents  
12 for different isolates, even though when they are  
13 related by the wild-type parent.

14 PARTICIPANT: I just wondered if in the  
15 manufacturer's hands if you looked at the other New  
16 Caledonia candidates they might be higher yielding.

17 DR. LEVANDOWSKI: I believe there may be  
18 some information on that but I don't have it at hand.

19 PARTICIPANT: Okay.

20 DR. SNIDER: Roland, this is Dixie Snider.  
21 You went over it rather fast, at least with regard to  
22 the B reassortants that might be available. What I  
23 took away was that there was no B/Yamanashi strains  
24 that were viable. Then you started talking about  
25 Shenzhen I lost you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: I'm sorry. I do tend to  
2 talk pretty fast when I'm excited like I am today.  
3 There are no reassortants for the B strains at this  
4 point. The manufacturers rely entirely on the wild-  
5 type strain and in producing vaccine that often is one  
6 of the biggest problems, to get a wild-type influence  
7 of B virus that grows well.

8 What I meant to point out but maybe didn't  
9 do well enough was that the strains that have been  
10 examined by manufacturers so far which include the  
11 Johannesburg/599 and the South Australia/599 did not  
12 appear like they would be of practical use in  
13 manufacturing.

14 The Shenzhen/654 strain has not been  
15 examined as far as I know but, as I was mentioning,  
16 there are some concerns that come out of just the  
17 laboratory testing of the strain about whether that  
18 would be a suitable strain or not.

19 DR. COUCH: Harry, would you permit me? Bob  
20 Couch.

21 DR. GREENBERG: It would be my pleasure.

22 DR. COUCH: I will just be brief and I'm  
23 sure you'll have other discussions and there may be  
24 different views as well. I think probably our  
25 decisions are not very complicated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Regarding B, I would like to have known more  
2 about the influence of the B strain variation and the  
3 epidemiology of what's going on in China with regard  
4 to questions like Shenzhen and South Australia, but I  
5 think our information otherwise is fairly  
6 straightforward that I would recommend that we stay  
7 with B/Yamanashi again for the coming year.

8           Regarding the H3N2, I agree with Ed. I  
9 don't think it's absolutely clear that there is a  
10 distinct change that requires a change. But, on the  
11 other hand, as he said, I think we have that as a knee  
12 jerk response and I think we need a little fine tuning  
13 if that's what we have in store on the H3N2.

14           I'd feel a little bit better with Panama in  
15 view of the suggested changes in the neuraminidase as  
16 well even though we don't have antigenistic data. I'm  
17 a little more comfortable with changing that H3N2 and  
18 Panama looks like our best bet in that regard. That  
19 would be my recommendation on H3N2.

20           DR. GREENBERG: Thank you very much, Bob.

21           DR. COUCH: And I'll have to run.

22           DR. GREENBERG: Okay. Have a good noon  
23 meeting.

24           DR. COUCH: Thanks.

25           MS. CHERRY: Thanks, Dr. Couch.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Roland, back to you.

2 DR. LEVANDOWSKI: Okay. Are there other  
3 questions at this point? If there weren't, I would be  
4 prepared to make a summary of this and give some  
5 options for what the strain choices might be.

6 MS. CHERRY: Please do.

7 DR. GREENBERG: Yes.

8 DR. LEVANDOWSKI: For the 2000-2001  
9 influenza vaccine, just to summarize the information  
10 about the H3N2 and the Influenza B viruses starting  
11 with the H3N2 Influenza A.

12 In the case of the H3N2 Influenza A viruses,  
13 it's pretty clear that antigenic drift is continuing.  
14 Most of the strains are antigenically similar to the  
15 A/Sydney/597 and A/Panama/2007/99 strains. However,  
16 we have some evidence that suggest that the  
17 neuraminidase of the more recent strains is different  
18 from that of the current vaccine strain which is  
19 A/Sydney/597. That can be debated some.

20 Ten to 15 percent of the strains, no matter  
21 what the choice is, are not well inhibited by the  
22 ferret sera but those low reactors, as we emphasized  
23 in January, don't fall into any particular genetic  
24 background.

25 Whereas the CDC normally receives 2 to 3

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 percent of its influence isolates from persons who are  
2 immunized, this year the percent seems to be higher,  
3 about 9 percent of the isolates, which is a  
4 substantial increase from people who got this year's  
5 vaccine and nevertheless were infected. That was  
6 without particular effort to try to collect those  
7 strains.

8 Some of the H3N2 viruses are not very well  
9 inhibited by antisera from people who are immunized  
10 with the current vaccines that contain the  
11 A/Sydney/5/97 strain. Again, the current vaccine  
12 strain, A/Sydney/5/97, has been around already for two  
13 years which is quite a long time by modern standards  
14 for an H3N2 strain given the continuous antigenic  
15 drift.

16 Finally, the high-growth reassortants of  
17 A/Panama/2007/99 are available. They appear to grow  
18 well. It looks like they would be possible to use in  
19 manufacturing.

20 The options for the H3N2 strain, the first  
21 option would be, of course, to continue to use the  
22 current vaccine strain which is A/Sydney/5/97. In  
23 favor of that, I would say that the manufacturing is  
24 very well worked out and the yield is highly  
25 predictable. In addition, many of the viruses this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 year are A/Sydney/5/97-like.

2 Again that is that there is antigenic drift  
3 that is continuing. The vaccine strain, again, is  
4 relatively old for an H3N2 strain. More than the  
5 usual number of H3N2 isolates are from persons who are  
6 immunized with the current vaccine. A consistent  
7 minority of all the strains, however, are not well  
8 inhibited by the sera produced against the current  
9 vaccine.

10 This brings me to the second option and I  
11 think there are only two here. The second option is  
12 to change the current vaccine to a strain that is more  
13 representative of the currently circulating viruses  
14 such as the A/Panama/2007/99. In favor of that a more  
15 recent strain would provide a closer match with the  
16 hemagglutinin and the neuraminidase of contemporary  
17 strains.

18 There are some alternative high growth  
19 reassortants that could be chosen. Against that we  
20 really don't know that a new strain would be superior  
21 in coverage for the low reactors in terms of its  
22 comparison to A/Sydney.

23 Turning to the Influenza B viruses --

24 DR. GREENBERG: Roland?

25 DR. LEVANDOWSKI: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Do you want to do both of  
2 these and then have discussion or do you want to have  
3 any discussion about your H3N2 and then have a vote on  
4 that?

5 DR. LEVANDOWSKI: If it's simpler to go  
6 ahead with the H3N2, I think that might be the way to  
7 go if that's what you're suggesting.

8 DR. GREENBERG: It's up to you but I think  
9 that might be simpler since it's totally fresh and you  
10 just went through the options and people can ask you  
11 a question and then we can vote.

12 DR. LEVANDOWSKI: Okay. That's perfectly  
13 fine with me.

14 DR. GREENBERG: Are there any further  
15 clarifications that anyone would like of Roland or  
16 anybody else?

17 DR. SNIDER: Well, Harry, this is Dixie. I  
18 was just going to mention that this week's MMWR also  
19 points out that although this past season has not been  
20 out of line with the kinds of epidemics we've had in  
21 the past five seasons, it has been shall we say one of  
22 the more severe seasons. I think that is also a  
23 factor that would indicate to me that it would be wise  
24 to try to keep up with this drift if it continues to  
25 occur.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Thank you, Dixie. Does  
2 anybody have anything else to say before we call a  
3 vote?

4 DR. FAGGETT: This is Walt Faggett. That  
5 would be pushing towards changing the Sydney, right?  
6 Is that what you're saying?

7 DR. SNIDER: Yes.

8 DR. FAGGETT: So that 9 percent probably was  
9 due to that.

10 DR. SNIDER: Yeah, and what I'm saying is  
11 that we had other indicators of the severity of the  
12 epidemic during this past year. Although we've seen  
13 numbers like we've seen this past year in some  
14 previous seasons, you would have to say it was a bad  
15 season.

16 DR. GREENBERG: Okay.

17 MS. CHERRY: Dr. Greenberg, I think it's  
18 only fair we open the floor for an open public hearing  
19 before we vote.

20 DR. GREENBERG: Okay.

21 DR. KOHL: This is Dr. Kohl. I would just  
22 like to comment before we do that. I'm more concerned  
23 in the H3N2 story in that, as was mentioned, this will  
24 be the third year we have a Sydney-like virus in the  
25 vaccine. Last year was a more severe epidemic and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would possibly suggest that the vaccine maybe wasn't  
2 working quite as well, although that is jumping a  
3 little bit.

4 The problem, of course, is that we don't  
5 have another virus to go to that looks like it's a  
6 leading candidate. I think there is some concern in  
7 our two options.

8 DR. GREENBERG: I agree with you, Dr. Kohl.  
9 Of course, I don't think there has ever been a flu  
10 season where anybody knowing the way flu works doesn't  
11 have some concern. Are there any other questions or  
12 comments?

13 DR. FERRIERI: This is Pat Ferrieri, Harry.  
14 I just wanted to echo some of the concerns about the  
15 current -- if we would retain the Sydney/597. I'm  
16 totally opposed to doing that based on the background  
17 noise that we've been hearing on clinical failures.  
18 I think we do have a reasonable substitute.

19 DR. GREENBERG: Thank you, Pat. Anybody  
20 else want to weigh in before we open it up to the  
21 public?

22 DR. ESTES: This is Mary Estes. I concur  
23 with Pat.

24 DR. GREENBERG: Thank you, Mary.

25 DR. EICKHOFF: This is Ted Eickhoff. I will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 take a contrary position and simply point out it's  
2 really stretching the science considerably to infer  
3 that the severity of the outbreak this year was due to  
4 continuing antigenic drift. It may have been but  
5 there certainly may have been other factors also.

6 Furthermore, that 9 percent figure for  
7 strains submitted from "vaccine failures" is subject  
8 to a whole lot of selection by us about which we know  
9 very little or nothing.

10 DR. KILBOURNE: This is Ed Kilbourne. I  
11 agree with Ted absolutely on both points. I also have  
12 to say that I'm in agreement with that and also with  
13 the way Bob Couch analyzed the situation. I think I'm  
14 particularly disturbed by the evidence of possible  
15 neuraminidase change. I would probably go along with  
16 change.

17 DR. GREENBERG: Thank you both, Dr. Eickhoff  
18 and Dr. Kilbourne. Are there any other comments?

19 MS. FISHER: I have one comment. Barbara  
20 Fisher. I think there has to be a more competent  
21 investigation into genetic and other differences in  
22 those individuals who suffer vaccine failure and those  
23 who don't, those who die from the flu and those who  
24 don't. It may be that we're talking about something  
25 that has to do with the individual versus the vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KLIMOV: This is Dr. Klimov. That is  
2 exactly what we are doing right now. We tried to  
3 investigate as much as we can about the history of  
4 some vaccine failures as well as about the genetic  
5 characteristics of those viruses.

6 DR. GREENBERG: Thank you, Ms. Fisher and  
7 Sasha. Any other comments? Okay.

8 Nancy, you said you had people there in the  
9 audience who might want to make a comment?

10 MS. CHERRY: Well, I think it's only fair  
11 before we take a vote.

12 DR. GREENBERG: Yeah. We can't see who's  
13 there.

14 MS. CHERRY: If there is anyone in the room  
15 that would like to make a comment, we do have some  
16 microphones. Well, I do not see a rush to the  
17 microphone so I guess we can proceed.

18 DR. GREENBERG: Okay. Nancy, could you call  
19 a roll call vote because you know who's currently here  
20 and who is not and I don't.

21 MS. CHERRY: Well, there are a few I'm not  
22 sure of but let's start -- well, do we need a motion,  
23 Harry?

24 DR. GREENBERG: Yeah. I am going to make a  
25 motion, I guess, which is that -- we can go on to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 second motion if this doesn't work, and that is I make  
2 a motion that we recommend that the H3N2 component of  
3 the coming year's vaccine be switched to the  
4 Panama/2007/99-like virus.

5 PARTICIPANT: I second that.

6 DR. GREENBERG: Is that okay?

7 PARTICIPANT: Second.

8 DR. GREENBERG: Thank you. So that's the  
9 motion.

10 MS. CHERRY: Okay. Now, I will go down the  
11 list then and read them off. Dr. Kohl.

12 DR. KOHL: I agree.

13 MS. CHERRY: Dr. Huang.

14 DR. HUANG: I agree.

15 MS. CHERRY: Dr. Daum.

16 DR. DAUM: I agree and wonder if it's  
17 possible to consider a more outreaching collection of  
18 viruses from failure patient strategy to avoid the  
19 selection bias that I think Ted Eickhoff rightly  
20 raised as a possible concern.

21 MS. CHERRY: Okay. Dr. Stephens.

22 DR. STEPHENS: I agree.

23 MS. CHERRY: Dr. Faggett.

24 DR. FAGGETT: I agree.

25 MS. CHERRY: Ms. Fisher.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MS. FISHER: I abstain.

2 MS. CHERRY: Okay. Dr. Kim, are you there?  
3 Okay. He's not. Dr. Estes.

4 DR. ESTES: I agree.

5 MS. CHERRY: Dr. Snider.

6 DR. SNIDER: I agree.

7 MS. CHERRY: Dr. Griffin.

8 DR. GRIFFIN: I agree.

9 MS. CHERRY: Dr. Greenberg. Obviously you  
10 agree. It was your motion.

11 DR. GREENBERG: Just to be contrary I could  
12 change my mind.

13 MS. CHERRY: Don't do that across 3,000  
14 miles. Dr. Broome, are you there? No. Okay. Dr.  
15 Eickhoff.

16 DR. EICKHOFF: I support the update. I  
17 agree.

18 MS. CHERRY: Okay. Dr. Ferrieri.

19 DR. FERRIERI: I agree.

20 MS. CHERRY: Dr. Myers.

21 DR. MYERS: I agree.

22 MS. CHERRY: Dr. Katz.

23 DR. KATZ: I agree.

24 MS. CHERRY: Dr. Hoke.

25 DR. HOKE: I agree.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Dr. Couch is gone but we had  
2 his. And Dr. Kilbourne.

3 DR. KILBOURNE: Yes.

4 DR. GREENBERG: I have a question. This may  
5 be procedural. I would like a clarification. Ms.  
6 Fisher?

7 MS. FISHER: Yes.

8 DR. GREENBERG: On the abstention is your  
9 abstention -- the vote was how to pick a new influenza  
10 strain and I'm just curious whether your abstention is  
11 you're feeling that you don't have enough  
12 information --

13 PARTICIPANT: I wasn't voting on that. I  
14 was voting on --

15 DR. GREENBERG: No, no. I was speaking to  
16 Ms. Fisher.

17 MS. FISHER: My abstention is I don't feel  
18 comfortable participating in the vote. I just don't  
19 think that it is something that I can vote on at this  
20 point.

21 DR. GREENBERG: Is that because you don't  
22 think there should be an influenza vaccine?

23 MS. FISHER: Oh, no.

24 MS. CHERRY: Harry, it's all right. I don't  
25 think she really needs to explain.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Okay.

2 MS. FISHER: It was not that at all. Just  
3 that I don't feel comfortable participating in this  
4 decision.

5 DR. GREENBERG: Okay.

6 PARTICIPANT: Dr. Greenberg, I'm sorry but  
7 I was voting on what I thought was a motion to approve  
8 a change.

9 DR. GREENBERG: Yes.

10 PARTICIPANT: You're stating a lot of other  
11 things in your repetition of that motion. I just want  
12 to be sure I wasn't voting on a lot of things  
13 including problems of selection and so forth.

14 DR. GREENBERG: No, I think, as I understand  
15 it, you were simply voting on the motion which was to  
16 change next year's strain to A/Panama/2007/99-like  
17 strain.

18 PARTICIPANT: Okay.

19 DR. GREENBERG: So, as I understand it,  
20 we've completed one half of our selection. Now,  
21 Roland, I would love you to go through the pluses and  
22 minuses of our questions for the B.

23 DR. LEVANDOWSKI: Okay. I think the B  
24 selection may make itself but let me just summarize  
25 what information we have.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The antigenic drift is clearly continuing in  
2           Influenza B viruses as well. Most of the strains  
3           worldwide are related to the current vaccine strain  
4           which is B/Yamanashi/166/98. Within that group there  
5           is a new variant represented by the B/Shenzhen/654/99  
6           but it's not really clear how extensively that strain  
7           is spreading. I don't think we have good information  
8           to suggest that it is at this point but it's just a  
9           little bit fuzzy.

10           There is no evidence that the spread of the  
11           B/Victoria/287-like strains and it's my understanding  
12           that those appear to be declining in frequency at the  
13           present time, although they are still being found in  
14           some parts of Asia where they had been found  
15           previously.

16           Some Influenza B viruses that are related to  
17           the current vaccine strain are not well inhibited by  
18           antisera from people who are immunized with the  
19           current vaccines that contain the B/Yamanashi/166/98  
20           strain. However, the B/Johannesburg/5/99 and B/South  
21           Australia/5/99 strains that were identified as being  
22           potentially capable of giving broad coverage for most  
23           of the Influenza B viruses don't grow very well and  
24           they would not be good choices for manufacturing.  
25           We don't really have other additional vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 candidate strains at this time.

2 The options for Influenza B, the first  
3 option would be to retain the current vaccine strain  
4 which is B/Yamanashi/166/98. In favor of that, again,  
5 most of the Influenza B viruses are clearly related to  
6 the current vaccine strain. In addition,  
7 manufacturing is well defined and it's predictable.

8 Contrary, or on the negative side, there is  
9 a new variant strain that has been recently identified  
10 and we're not always sure where those things are  
11 going. Some of the Yamanashi-like strains are also  
12 not well inhibited by the post-immunization antisera.

13 That leads me to the second option. The  
14 second option would be to change the current vaccine  
15 strain to a more recent B/Yamanashi-like strain. In  
16 favor of that would be that the vaccines might provide  
17 broader coverage for the currently circulating  
18 Influenza B viruses. Contrary to that is that there  
19 aren't any true alternate vaccine candidate strains  
20 and those that have been looked at really are not  
21 going to be adequate for large scale manufacturing.

22 Those are the options and if there are  
23 questions or --

24 DR. GREENBERG: Roland, can I ask you a  
25 question? It seems to me you've postulated a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hypothetical option but not a real option. A  
2 hypothetical option is actually not an option. If I  
3 understand it correctly, there is no chance to change  
4 because we don't have anything to change to. Is that  
5 correct or do I have it wrong?

6 DR. LEVANDOWSKI: I think we can always make  
7 an attempt to catch up with a strain that is  
8 identified as being a significant new variant that  
9 really requires a response. In the past you might  
10 remember that in 1986 there was a new H1N1 virus that  
11 was identified only toward the end of March and an  
12 attempt was made to make vaccines for that.

13 It's very, very difficult this late in the  
14 year for manufacturers actually to turn around and  
15 gear up to make a change for a strain they haven't had  
16 a chance to work with yet. From a practical point of  
17 view, I would say that if there were great urgency to  
18 do so, we would certainly want to make the attempt to  
19 do it.

20 Given the current circumstances where we  
21 don't have information that suggest that great  
22 urgency, I think it would be hard to postpone the  
23 decision to try to fine some other strain which also  
24 might turn out not to grow very well.

25 DR. GREENBERG: Okay. Are there any other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions?

2 MS. CHERRY: I guess then let's open the  
3 floor again in case anyone wishes to comment here  
4 before the vote.

5 PARTICIPANT: Question on the floor.

6 MS. CHERRY: Again, I do not see anyone.  
7 I'm sorry. Who had --

8 DR. HUANG: May I make a motion? This is  
9 Alice.

10 DR. GREENBERG: Yes, Alice. Make a motion.

11 MS. CHERRY: Alice?

12 DR. HUANG: I would like to move that we  
13 remain with the current vaccine strain, the Yamanashi,  
14 for the B.

15 DR. SNIDER: Second.

16 MS. CHERRY: Who was that?

17 DR. SNIDER: Dixie.

18 MS. CHERRY: Okay.

19 DR. GREENBERG: Okay. We have a motion.

20 MS. CHERRY: Would you like me to read the  
21 list?

22 DR. GREENBERG: Yes, please.

23 DR. FERRIERI: May I ask a question first,  
24 Harry?

25 DR. GREENBERG: Sure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FERRIERI: Does this permit the -- does  
2 exclude the possibility of choosing a recent isolate  
3 of B/Yamanashi to use as Roland suggested or does this  
4 confine us to the precise strain used in this year's  
5 vaccine?

6 DR. GREENBERG: Roland, could you answer  
7 that?

8 DR. LEVANDOWSKI: Yes, I will. Yes, it  
9 would require us. We would be using what's the  
10 current vaccine strain. It would not be another  
11 similar strain.

12 DR. GREENBERG: No, I think what Pat asked  
13 was does this mean if all of a sudden a new B isolate  
14 appeared two days from now that clearly looked very  
15 different and looked like the right choice, would we  
16 not be able to change? Isn't that what you were  
17 asking, Pat?

18 DR. FERRIERI: Yes, that, you know, has  
19 relationship genetically to B/Yamanashi but it's a  
20 recent isolate that has some evidence of drift. You  
21 hinted, Roland, that we might use that as an option.

22 DR. LEVANDOWSKI: Well, I guess I would have  
23 to answer that by saying that I don't really know of  
24 another strain at this point that has qualities that  
25 from the outset would make it look suitable for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 manufacturing. I think that's what I was trying to  
2 point out, that we have made an attempt to find some  
3 egg isolates of newer Influenza B viruses that might  
4 be suitable for manufacturing and we've not been able  
5 to do that.

6 DR. SNIDER: Roland, this is Dixie. Isn't  
7 there another issue here which would be that if we  
8 came up with another B strain, we would have all three  
9 new components? How long would that take to  
10 manufacture?

11 DR. LEVANDOWSKI: Well, that issue I wasn't  
12 going to get into but, yes, it would be somewhat  
13 difficult for manufacturers to change all three  
14 strains in one year if it's not really necessary. If  
15 it were necessary, we would make an attempt to do that  
16 but it would mean that vaccines would be delayed for  
17 a longer period of time than it normally takes to  
18 produce them. It would be difficult to make that  
19 change.

20 Although this year we are in a somewhat  
21 different situation where we have for the first strain  
22 that was selected as a different strain we were able  
23 to get reagents together at an earlier time.

24 DR. SNIDER: Right, for the southern  
25 hemisphere. But, nevertheless, I mean, that is an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issue I think that is important to have on the table.

2 DR. GREENBERG: Are there any other  
3 comments?

4 PARTICIPANT: Yeah. Roland, is there still  
5 work ongoing looking for a more suitable B isolate  
6 that will grow?

7 DR. LEVANDOWSKI: I don't know of the actual  
8 work that's going on. I do know that all of the WHO  
9 influenza centers are continuously examining strains  
10 that come in and I believe that our colleagues from  
11 CDC could answer if they thought they had found any  
12 additional strains up to this point that might be  
13 candidates.

14 I don't believe that's true. One of the  
15 difficulties for us is finding strains that are egg  
16 isolates. In current times a lot of the strains that  
17 come in for antigenic characterization are from tissue  
18 culture isolates which we have not really found  
19 suitable for manufacturing.

20 PARTICIPANT: So you're saying that it's  
21 extremely unlikely within the next month, for  
22 instance, that there will emerge a candidate that  
23 could be used in manufacturing the vaccine.

24 DR. LEVANDOWSKI: I think it's not too  
25 likely but it's possible. I mean, it's always

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 possible that a new strain will be identified.

2 PARTICIPANT: And is it possible, Harry, for  
3 us as a committee to leave that door open?

4 DR. GREENBERG: I assume. That was the  
5 original question to Roland who opened this situation.  
6 I assume that it's always possible to alter what's  
7 going into the vaccine assuming that epidemiologic  
8 information has changed. This is simply, I think, our  
9 best recommendation at this point in time.

10 PARTICIPANT: Well, this is an unusual  
11 situation. I think it sounds like we have  
12 epidemiological information that there are new Bs out  
13 there that would be better covered by a virus but we  
14 don't have one that grows well enough to cover it.

15 DR. GREENBERG: I thought we do not have  
16 compelling evidence of a new B variant. That's the  
17 issue, that there is some noise out there and it might  
18 be that a new B one is what we want but it's not an  
19 overwhelming picture.

20 Roland, can you clarify this for me and the  
21 committee?

22 DR. LEVANDOWSKI: I'll try to answer that.  
23 The B/Shenzhen/654/99 strain does represent apparently  
24 -- if Dr. Klimov and Dr. Cox are available, they will  
25 need to comment, too, but I believe we should view

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that as a true new variant of Influenza B virus. What  
2 we don't really have evidence of at this point is that  
3 that strain is spreading and displacing the majority  
4 strains which are still quite clearly  
5 B/Yamanashi/166/99-like but that could change, as  
6 always, that the epidemiology is always moving on.

7 PARTICIPANT: Roland, a B/Shenzhen isolate  
8 would also cover the B/Yamanashi-like isolate?

9 DR. LEVANDOWSKI: Dr. Klimov will probably  
10 want to comment on this but from his tables, and I'm  
11 not sure which page that's on at this point to tell  
12 you the truth, I think suggest that the B/Shenzhen  
13 strain would not necessarily produce at least a ferret  
14 sera that covers all the B/Yamanashi strains that  
15 well. In fact, it might not be a good choice for that  
16 reason.

17 DR. GREENBERG: That was my impression.  
18 Even if such a --

19 DR. KLIMOV: On page 6 you can see the table  
20 which includes the Shenzhen/654/99 ferret antisera.  
21 You can see that this antisera definitely do not work  
22 well against new viruses. Still Yamanashi seems to be  
23 the best one that works reasonably well with the  
24 majority of viruses circulating at this moment.

25 DR. GREENBERG: Okay. Thank you for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clarifying that. Are there more questions? I think  
2 then we can if we don't have anymore questions --  
3 Nancy, I take it nobody in the open hearing got up?

4 MS. CHERRY: No one has. Let me give them  
5 one last chance. No, no one is rising from their  
6 seat.

7 DR. GREENBERG: So we have a motion from  
8 Alice. Nancy, can you call the roll call?

9 DR. FAGGETT: And repeat the motion, please.

10 DR. GREENBERG: The motion from Alice, I  
11 think, if I have it right, Alice, and correct me, was  
12 that we recommend that we stay with the current  
13 B/Yamanashi virus for the coming season, the 166/98.

14 DR. HUANG: That's right, Harry.

15 MS. CHERRY: Okay. Here we go. Dr. Kohl.

16 DR. KOHL: I support the motion.

17 MS. CHERRY: Dr. Huang, obviously. Dr.  
18 Daum.

19 DR. DAUM: Support.

20 MS. CHERRY: I'm sorry?

21 DR. DAUM: I support the motion.

22 MS. CHERRY: Okay. Dr. Stephens.

23 DR. STEPHENS: I support the motion.

24 MS. CHERRY: Dr. Faggett.

25 DR. FAGGETT: I support the motion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Ms. Fisher.  
2 MS. FISHER: I abstain.  
3 MS. CHERRY: Okay. Dr. Kim. Dr. Estes.  
4 DR. ESTES: I agree.  
5 MS. CHERRY: Dr. Snider.  
6 DR. SNIDER: Yes.  
7 MS. CHERRY: Dr. Griffin.  
8 DR. GRIFFIN: I agree.  
9 MS. CHERRY: Dr. Greenberg.  
10 DR. GREENBERG: I agree.  
11 MS. CHERRY: Dr. Broome. Dr. Eickhoff.  
12 DR. EICKHOFF: I agree.  
13 MS. CHERRY: Dr. Ferrieri.  
14 DR. FERRIERI: I agree.  
15 MS. CHERRY: Dr. Myers.  
16 DR. MYERS: I agree.  
17 MS. CHERRY: Dr. Katz.  
18 DR. KATZ: I agree.  
19 MS. CHERRY: Dr. Hoke.  
20 DR. HOKE: I agree.  
21 MS. CHERRY: Dr. Couch is gone. Dr.  
22 Kilbourne. Dr. Kilbourne? Dr. Kilbourne?  
23 DR. KILBOURNE: I agree. I'm sorry. I was  
24 muted.  
25 MS. CHERRY: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Thank you for being so mute.

2 DR. KILBOURNE: It rarely happens.

3 MS. CHERRY: That's the end of the voting.

4 DR. GREENBERG: Okay. I would like to thank  
5 all of you for moving through that so expeditiously.

6 Nancy, as I look at the agenda, correct me  
7 if I'm wrong, we now have something that says Open  
8 Session, Vaccine Safety Action Plan.

9 MS. CHERRY: Yes. As we move into that,  
10 that will be Dr. Egan speaking. I want to remind the  
11 committee that we did not screen you for this because  
12 this was to be a case where we are giving you  
13 information rather than asking you for advice so there  
14 was no conflict of interest screening. You certainly  
15 are welcome to ask questions but, again, our sign is  
16 not hanging out for advice.

17 DR. GREENBERG: Nancy.

18 MS. CHERRY: Yes.

19 DR. GREENBERG: I'm just going to ask my  
20 other committee members. I know I have to just get up  
21 for a minute or two and I just wondered whether we  
22 could take like a three minute break to let anybody do  
23 anything they need to do before Dr. Egan gets started.

24 MS. CHERRY: Absolutely.

25 DR. GREENBERG: Is that okay, folks?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: Good idea.

2 PARTICIPANT: Sure.

3 DR. GREENBERG: Okay.

4 MS. CHERRY: And when Dr. Greenberg gets  
5 back then we will reconvene.

6 DR. GREENBERG: I have about a hundred yard  
7 run. If we can get this wound up and people can get  
8 on their way, that would be terrific.

9 (Whereupon, at 1:29 p.m. off the record  
10 until 1:36 p.m.)

11 DR. GREENBERG: We should probably get  
12 started.

13 DR. EGAN: Thank you. As I just mentioned,  
14 what I'm planning to do is just give a little bit of  
15 an update to the committee about the Department's  
16 vaccine safety action plan and implementation plan.

17 MS. CHERRY: Can you hear him well enough?

18 PARTICIPANT: Yes.

19 DR. EGAN: Although concerns about safety  
20 have always attended the use of vaccines, these  
21 concerns have loomed somewhat larger in recent years.  
22 The increased number of vaccinations that are  
23 available and that are required is obviously one of  
24 the reasons for this increased concern about safety.

25 Paradoxically, also the very success of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 vaccines is another reason. The diseases that  
2 vaccines have been designed to prevent are gone and  
3 are no longer perceived as much of a threat as before.  
4 Attention thus turns to the adverse events that may  
5 accompany vaccines.

6 On this overhead I've listed a number of  
7 vaccine concerns. Most of these concerns are fairly  
8 recent, Hib vaccines and diabetes, for examples. Some  
9 of them are quite old, the progressive vaccinity that  
10 accompanied smallpox. Some of these are clearly  
11 linked to vaccination. Oral polio vaccine and vaccine  
12 associated paralytic polio as an example.

13 Others are not clearly linked. Measles and  
14 autism for example. Some may be very specific to the  
15 vaccine strain as with mumps vaccine and aseptic  
16 meningitis. This has been linked to the Urabe strain  
17 but not to the Jeryl Lynn strain that is in use in the  
18 United States.

19 This is a small list of concerns that have  
20 been expressed in recent years concerning vaccine  
21 safety. I can list a good number of additional  
22 concerns and put up many, many more slides.  
23 Assessments of these concerns certainly need to be  
24 done. However, these take resources and these  
25 resources are limited and prioritizations need to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 made.

2 I would like to make a few points about  
3 these concerns. These safety issues can stem from the  
4 vaccine itself. For example, again, VAPP and polio or  
5 aseptic meningitis and the Urabe strain of the mumps  
6 vaccine. They can stem from an adventitious agent in  
7 the vaccine. For example, SV40 that was found in the  
8 oral polio vaccine. They can stem from additives or  
9 adventitious materials that are found in the vaccine.

10 Some recent work in our own laboratories  
11 indicate that the thrombocytopenia that is associated  
12 with the measles vaccine may derive from an  
13 adventitious protein that is a protein from cell  
14 substrate that is present in the vaccine.

15 One can also look at adverse events due to  
16 LPS, DNA, antibiotics and what have you, that make  
17 their way into the vaccine. For some of these  
18 concerns causality has been established. For some the  
19 most evidence is against them. For some the evidence  
20 is uncertain.

21 What is certain, however, is that an  
22 increased emphasis needs to be placed on and increased  
23 resources need to be devoted to vaccine safety  
24 activities. We need to better address the problems  
25 that are or may be associated with vaccines. We need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to do this in a prospective fashion and retrospective  
2 fashion.

3 The vaccine safety implementation plan is a  
4 departmental plan to address this need. It also  
5 includes a component on vaccine communication. The  
6 plan itself arose as a result of a report that was  
7 presented to Secretary Shalala from the task force on  
8 Safer Childhood Vaccines. The Secretary then directed  
9 the National Vaccine Program Office to develop an  
10 action plan for implementing the needs that were  
11 addressed in the report.

12 This was done through the National Vaccine  
13 Program Office's interagency group. This is a group  
14 of various Government agencies including the NIH, the  
15 FDA, CDC, and HRSA. This interagency group, which was  
16 co-chaired by myself and Dan Salomon, developed a  
17 vaccine safety action plan. This was presented to the  
18 National Vaccine Advisory Committee and later to the  
19 Surgeon General, Dr. David Satcher, the Dep. Sec. of  
20 HHS, Mr. Kevin Thurm.

21 This Vaccine Safety Action Plan, which is  
22 extremely comprehensive, evolved into the vaccine  
23 safety implementation plan which was more of a focused  
24 and immediate implementation of the action plan. Our  
25 highest priority activities were identified and, to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the extent possible, plans were made to implement  
2 these in the current fiscal year.

3 I will note that stable funding for this  
4 plan is being pursued. However, this is a quite  
5 difficult issue and whether this gets done through the  
6 vaccine trust or by setting up an additional trust  
7 fund or through continuing funding through the normal  
8 allocation process is still not settled.

9 There are five goals that have been  
10 identified for the vaccine safety action plan. These  
11 are given on the current overhead which is to increase  
12 effort to detect potential vaccine safety problems.  
13 Two is to improve the response to and understanding of  
14 vaccine safety concerns. To improve the risk  
15 management of vaccines in clinical settings. To  
16 increase and improve communications about vaccine  
17 risks and benefits. To obtain and maintain a state of  
18 the art vaccine supply.

19 This vaccine safety implementation plan was  
20 chaired by Dr. Roger Bernier. The development of this  
21 implementation plan was chaired by Dr. Roger Bernier  
22 from the CDC's National Immunization Program and done  
23 through the National Vaccine Program.

24 Let me say a few words about some of the  
25 immediate action steps that were identified and these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are on the following overheads.

2 With regard to detecting potential safety  
3 problems, the most immediate needs were to improve  
4 laboratory testing of vaccines and evaluate vaccine  
5 safety and purity, and to develop new approaches for  
6 the presence of unknown or previously undetected  
7 agents in vaccines. An example of the latter is, for  
8 example, one could develop generalized PCR methods not  
9 for specific viruses but for families of viruses.

10 With regard to improving response and  
11 understanding of vaccine safety concerns, it was  
12 thought there was a need to evaluate the VAERS reports  
13 more adequately to improve scientific understanding of  
14 the reports and to carry out a more timely review of  
15 newly hypothesized vaccine safety concerns.

16 For example, have a standing board or  
17 committee, presumably investigative scientists outside  
18 of the Government, that could be presented with a  
19 vaccine safety concern and they could make some  
20 assessment of how important it would be to immediately  
21 investigate that concern or if the evidence didn't  
22 support it that well. Then to study possible causal  
23 links between vaccines and specific diseases in  
24 expanded vaccine safety data link population.

25 With regard to improving risk management of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vaccines in clinical settings, we felt it was very  
2 important to finalize the decision rules for vaccine  
3 policies so that any unnecessary repeat doses are not  
4 administered, to update the standards for pediatric  
5 immunization practices, and to include a focus on  
6 safety related issues and practices.

7 With regard to increasing improved  
8 communication, the need to improve the exchange of  
9 information between healthcare providers and parents.  
10 That's healthcare providers on all levels. And for  
11 obtaining and maintaining state of the art vaccine  
12 supplies and to develop safer alternatives for current  
13 vaccines. This could include both the vaccines  
14 themselves and excipients or adjuvant that are used in  
15 these vaccines. For example, changing preservatives,  
16 different preservatives; changing adjuvants, for  
17 example, from aluminum to another type of adjuvant.

18 That's the plan in a nutshell. I think  
19 there is much that we need to do to make vaccines  
20 safer and to promote the safe use of these vaccines.  
21 If I could just close with a quote from Sir Graham  
22 Wilson from his classic book, The Hazards of  
23 Immunization.

24 I quote, "It is for us and for those who  
25 come after us to see that the sword which vaccines and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 antisera have put into our hands is never allowed to  
2 tarnish through over confidence, negligence,  
3 carelessness, or want of foresight." Thank you.

4 DR. GREENBERG: Thank you, Bill, for an  
5 excellent presentation. I guess now I would open it  
6 up to committee members who have any questions of  
7 Bill.

8 DR. FAGGETT: Harry, Walt Faggett. One  
9 question. Excellent presentation. The matter of  
10 stable funding, you mention it's going to be stable  
11 but you don't give the source. I'm not clear how  
12 stable it's going to be if you don't have a source of  
13 funding at this point.

14 DR. SNIDER: Harry, this is Dixie. I wanted  
15 to make an observation about that. As a federal  
16 official I hope I don't step over the line but there  
17 has been a lot of difficulty, Walter, and everyone  
18 else, in trying to nail down some stable funding.

19 Through all of these meetings we have really  
20 had no major problems in convincing people that this  
21 is an important thing for us to be doing. I mean, all  
22 of us, the agencies involved and all professional  
23 societies and so forth.

24 The situation is such that those who are  
25 concerned about having adequate money for vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 purchase are obviously in this environment from which  
2 we are having new vaccines come on line that are  
3 higher priced than earlier vaccines, are very  
4 concerned about trying to redirect funds from vaccine  
5 purchase to vaccine safety.

6 Those who are concerned about having  
7 adequate funds available for vaccine compensation are  
8 understandably reluctant to have any of those funds  
9 reprogrammed for vaccine safety. Those who are  
10 concerned about this are concerned about increasing  
11 appropriations for vaccines.

12 CDC has this year reprogrammed some of its  
13 money to increase our efforts on vaccine safety but  
14 they fall far short of what is needed. I think there  
15 is some real concern about how we get over the hump in  
16 terms of getting some resources.

17 As some of you may know, there has been an  
18 interest in reducing. Since the vaccine compensation  
19 has a goodly amount of money in it, there has been  
20 some interest in reducing the excise tax on vaccines  
21 and some discussion of redirecting some excise tax  
22 money into a vaccine safety arena but that would take  
23 some legislative action which is obviously difficult  
24 to pull off.

25 DR. GREENBERG: Thank you, Dixie.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. EGAN: I would just like to say thank  
2 you very much for that response, Dixie. My comments  
3 about seeking stable funding was seeking stable  
4 funding specifically for vaccine safety because we  
5 don't have the ability, the monies, to redirect  
6 existing funds from existing activities such as the  
7 vaccine purchaser.

8 MS. FISHER: Barbara Fisher here. I think  
9 the vaccine safety plan is a really good first step to  
10 addressing some of the outstanding concerns about  
11 vaccine safety, but I think it might be useful to take  
12 another look at the conclusions of three reports  
13 issued by the Institute of Medicine in 1991 and '94,  
14 particularly those talking about the lack of research  
15 investigating the biological mechanisms underlying  
16 adverse events following natural infection as well as  
17 immunization.

18 Also to review the report of the April 1,  
19 1996, Institute of Medicine Vaccine Safety Forum  
20 Workshop, which also identified biological mechanism  
21 and research needs.

22 I know that the greatest concern to parents  
23 right now is the lack of scientific information on the  
24 cumulative effect of multiple antigens on the  
25 developing immune and neurological system,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particularly and potentially genetically susceptible  
2 populations. In other words, those children who may  
3 be susceptible to developing autoimmunity or immune-  
4 mediated neurological dysfunction.

5 Further, whether some of this might be  
6 influenced by genetic recompensation caused by exposure  
7 to viruses and other toxins including additives in  
8 vaccines, as you mentioned, Dr. Egan, like mercury and  
9 aluminum. There is private money being raised right  
10 now to fund this kind of research.

11 I think that if the FDA takes the lead, it  
12 would have public support because it would pave the  
13 way for development of screening techniques to  
14 identify high-risk children and it would lead to  
15 modification of that policy to make them safer. I  
16 just would like to say, however, that the suggestion  
17 of taking money from the funds, the trust fund, that  
18 was set up for vaccine-injured children would be  
19 vigorously opposed.

20 I think the other funding sources need to be  
21 identified, but I think there would be public support  
22 for it especially if the CDC and FDA and NIH makes  
23 this a priority that the funding the vaccine safety  
24 research, particularly basic science research, is a  
25 priority in our society. You will receive grants or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 support for that but there will be opposition to using  
2 the trust fund money.

3 DR. EGAN: Thank you, Mrs. Fisher, for those  
4 comments. I would like to add that in the vaccine  
5 safety action plan there were a number of items that  
6 related to many of the issues that you brought up,  
7 particularly looking for susceptible populations,  
8 investigating the realm of adverse events and genetic  
9 susceptibility to those adverse events.

10 The particular action steps that I put up  
11 here were those ones that we could begin immediately  
12 this year. Those very, very difficult studies on  
13 genetics with adverse events are certainly elements of  
14 the action plan. Thank you.

15 DR. GREENBERG: Are there any other comments  
16 from the panel?

17 DR. KATZ: Harry, I would like to make three  
18 comments. Is that all right?

19 DR. GREENBERG: Yes. Could you identify  
20 yourself?

21 DR. KATZ: Sam Katz. Three comments and two  
22 for Bill. The first one is scientific in accuracy.  
23 When you talk about SV40 you limited it to OPV. SV40  
24 was found in IPV also.

25 DR. EGAN: My mistake, Sam. I meant to say

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 IPV because it never was in the licensed OPV. It was  
2 only in the IPV. Thank you for correcting that.

3 DR. KATZ: Secondly, I don't know if this is  
4 for publication or just this meeting but it is  
5 sometimes misleading. You made the statement that  
6 diseases are gone. Only smallpox is gone. We know  
7 very well that diphtheria continues in eastern Europe,  
8 that measles is in the Netherlands, that polio is in  
9 Sub-Saharan and much of Asia. We mislead the public  
10 when we say the diseases are gone. They are gone from  
11 the United States but not from the world. No place is  
12 further than a jet plane ride away from wherever one  
13 sits today.

14 DR. EGAN: Thank you for that comment.  
15 Certainly for many of these, barring smallpox, they  
16 are seen. There's a case or two of diphtheria every  
17 year in the U.S. Measles has reemerged and so on. My  
18 point was more that they are not prevalent and  
19 immediate to everybody as they were and not everybody  
20 was seeing the devastation.

21 DR. KATZ: Our worst enemy sometimes. A  
22 third question for Ms. Fisher. I sat the last few  
23 years on the Advisory Commission on Child Vaccines.  
24 It runs very effectively. The commission is made up  
25 of parents and of attorneys who prosecute these cases

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and only a couple of physicians and nurses.

2 Basically they have done an excellent job in  
3 rewarding appropriate cases that have been adjudicated  
4 by medical experts and reviewed very carefully.  
5 Nevertheless, with the current excise tax they've had  
6 more than enough money to pay all the claims that were  
7 adjudicated. With the trust funds it has continued to  
8 increase over a billion dollars that just sits there.

9 It isn't even used to compensate these  
10 families because they get enough funds from the excise  
11 tax. I think that still stands without anyway eroding  
12 the compensation program as a possible avenue for  
13 safety which seems to me perfectly compatible. It's  
14 what the program is set up for.

15 MS. FISHER: Barbara Fisher here. A GAO  
16 report has just been issued in the last few months  
17 looking at the implementation of the National  
18 Childhood Vaccine Injury with respect to the  
19 compensation program.

20 Congress is taking a look at it and I think  
21 that there are still outstanding questions about the  
22 rules for compensation and the way it is being  
23 implemented and whether or not in the future there  
24 will be an opportunity for more awards if the terms  
25 under which compensation are given are changed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think it's a mistake to assume that the  
2 excess amount that is now in the trust fund is not  
3 going to be utilized in the future and a terrible  
4 precedent would be set to go into that fund and use it  
5 for any other purpose than what Congress originally  
6 intended it for in 1986 which is to compensate  
7 vaccine-injured children.

8 DR. EGAN: This is Bill Egan. I think that  
9 the point here is that we need stable funding for  
10 vaccine safety research. I don't think we are going  
11 to settle in this meeting where that's going to come  
12 from. The issue is that the stable funding is needed  
13 and a variety of sources are being discussed and  
14 debated. I think we've just heard some of the points  
15 that have been made in that debate.

16 DR. GREENBERG: Hold on a second. I would  
17 like to get some other panel members who might have  
18 something to say involved here.

19 DR. MYERS: Harry, this is Marty. Could I  
20 add something from the National Vaccine Program  
21 perspective?

22 DR. GREENBERG: Yeah.

23 DR. MYERS: I think the point that Bill was  
24 making is a very important one, that the Department is  
25 looking at a variety of options and the trust fund is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 only one of the many that the Department has been  
2 considering for stable funding.

3 It's understood that stable funding is  
4 necessary but the process is likely to take some time  
5 given the manner in which appropriations are managed  
6 and decisions are made concerning long-term funding of  
7 initiatives such as this.

8 What this implementation plan that Bill has  
9 been describing is those things we thought were so  
10 urgent they shouldn't wait for that process and the  
11 different agencies, as Dixie said, reprogrammed funds  
12 to help support these activities of which CDC, NIH,  
13 FDA, and NDPO with its unmet funding have done to get  
14 a number of these enhanced safety activities  
15 initiated.

16 For example, one of the ones that Bill  
17 mentioned was there is contracting underway with  
18 potential external review groups to consider timely  
19 reviews and hypothesized vaccine safety concerns,  
20 somebody that would be outside of Government.

21 Another that was discussed extensively at  
22 the recent NVAC meeting was a workshop to identify and  
23 discuss more effective approaches to vaccine benefits  
24 and risk communications which is going to occur in the  
25 fall. I think this is looked at as we're getting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 started the issue of stable funding is very important  
2 but it won't come quickly.

3 DR. GREENBERG: Thank you, Marty. Are there  
4 any members who have not yet spoken who would like to  
5 add something? Are there any members who have spoken  
6 who would like to add something?

7 DR. FAGGETT: Harry, Walt Faggett. Just one  
8 quick one for Bill Egan. Do you have a budget  
9 projection on what is needed for some of the very  
10 impressive activities you are planning for vaccine  
11 safety?

12 DR. EGAN: For the whole package these are  
13 really very, very rough estimates. For everything  
14 combined it's numbers on the order of up to \$50  
15 million dollars per year. Activities like, you know,  
16 the vaccine safety data link which is absolutely  
17 essential in all of these. Those are very, very  
18 expensive computer systems to keep up. They cost  
19 millions of dollars per year.

20 DR. FAGGETT: They are mandated under 2010  
21 so that should give you some hope.

22 DR. SNIDER: Harry, this is Dixie. I have  
23 a question for Bill or Marty or anyone who has been  
24 involved in thinking about vaccine safety  
25 implementation plan. Getting back to this committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           The question would be what could this  
2 committee do as part of its role in helping to move  
3 vaccine safety issues forward? Are there other  
4 specific -- is there a specific charge or some  
5 specific recommendations coming out of this Planning  
6 Activity Board or the Vaccine and Related Biological  
7 Products Advisory Committee?

8           DR. EGAN: Dixie, I think this presentation  
9 right now was simply to advise the committee of what  
10 was going on within the Department. I think the  
11 individual members can always act on their conscience  
12 and act as advocates for whatever they see fit. We  
13 weren't asking for any kind of action at this time.  
14 This was simply a briefing or update.

15           MS. FISHER: I have a question. Barbara  
16 Fisher. Is it allowed under committee rules for  
17 individual members of the committee to meet with FDA  
18 staff to review concerns about priorities, for  
19 example, on this plan? Is it appropriate or is it  
20 allowed under the rules for committee members, for  
21 example, like me to meet with an FDA staff to  
22 communicate the parent concerns and priorities or what  
23 they think priorities are for vaccine safety?

24           DR. EGAN: Mrs. Fisher, it's allowed and  
25 appropriate for anyone, member of the committee or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not, to call me, to meet with FDA to express their  
2 concerns, and to give us their opinions and advice.

3 MS. FISHER: Thank you.

4 DR. MYERS: Harry, just to respond to Dixie  
5 and your query. It would seem to me like the NVAC  
6 would be useful to have the advisory committee go on  
7 record in support of a stable long-term funding.

8 DR. GREENBERG: I think we've been --

9 OPERATOR: Conference operator. How may I  
10 help you?

11 MS. CHERRY: I'm sorry. We're still  
12 meeting.

13 DR. MYERS: To Mrs. Fisher, in addition to  
14 the FDA one of the attempts of this workshop this fall  
15 is to -- one of the specific objectives is to  
16 establish meaningful mechanisms for meaningful  
17 discussions to address various concerns by a variety  
18 of different constituents. I think participation in  
19 that would be very useful.

20 DR. GREENBERG: Thank you, Marty. I would  
21 like to make a comment myself. This is simply as a  
22 panel member, not as a chair. That is that this is to  
23 me an incredibly important area. I think all of you  
24 as panel members, and many of you who are scientists,  
25 need to realize that there is going to have to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 priorities set even in such an important area as  
2 safety as to where you are going to get the most  
3 benefit and where you need to put your biggest efforts  
4 and where other efforts go.

5 To some degree because this is such a hot  
6 potato that's hard because everything is important  
7 but, as you've heard, there's not money for anything  
8 it sounds like. What I would ask of all of you is to  
9 think is this wonderful list that Bill presented, is  
10 this what is needed for vaccine safety?

11 Begin to think in your own mind where we are  
12 going to get the most improvement most quickly and  
13 what are longer terms and perhaps slower investments  
14 and share that information or that thought with Bill.  
15 I think it's a very -- it's easy to say we are all for  
16 safety but it's pretty hard to figure out exactly what  
17 is the most important thing to do, the next most  
18 important thing to do. That requires a lot of  
19 thought.

20 DR. EGAN: Just let me emphasize, too, that  
21 this plan is a departmental plan and all of the  
22 agencies that are part of the interagency group of the  
23 National Vaccine Program. I would also like to thank  
24 again Dr. Roger Bernier from CDC who worked so very,  
25 very hard to develop this implementation plan which I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 simply present today.

2 DR. GREENBERG: I would imagine that a  
3 number of you are beginning to get antsy so I would  
4 like to know if there are any other questions of Bill  
5 or thoughts?

6 DR. KOHL: Harry, Dr. Kohl. Would it be  
7 appropriate to have a committee motion to strongly  
8 urge stable funding for this plan?

9 DR. GREENBERG: As far as I'm concerned,  
10 that's just fine. Nancy, are there any prohibitions  
11 of that?

12 MS. CHERRY: Well, this was unplanned and I  
13 don't know where the motion will go from here.

14 DR. GREENBERG: Well, I'm not sure where it  
15 will go either.

16 MS. CHERRY: I mean, you can go on record as  
17 making that motion.

18 DR. GREENBERG: I'm happy to be on record as  
19 we've been a number of times in the past on record for  
20 stable funding for the research mission of the FDA.  
21 It's never clear to me where those motions go either.

22 DR. FAGGETT: Walt Faggett. I'd like to  
23 second that motion.

24 DR. GREENBERG: Okay. Why don't you just  
25 quickly take a vote.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Okay. Shall I go down the list  
2 again?

3 DR. GREENBERG: Yeah.

4 MS. CHERRY: Okay. Kohl.

5 DR. KOHL: Yes.

6 MS. CHERRY: Huang. She's gone. Daum.

7 DR. DAUM: Yes.

8 MS. CHERRY: Stephens.

9 DR. STEPHENS: Yes.

10 MS. CHERRY: Faggett.

11 DR. FAGGETT: Strongly agree.

12 MS. CHERRY: Okay. Fisher.

13 MS. FISHER: Yes, strongly agree but oppose  
14 any use of the trust funds for the children.

15 MS. CHERRY: Okay. Kim. He never made it.  
16 Estes.

17 DR. ESTES: Yes.

18 MS. CHERRY: Snider.

19 DR. SNIDER: Abstain.

20 MS. CHERRY: Abstain? Okay. Griffin.

21 DR. GRIFFIN: Yes.

22 MS. CHERRY: Greenberg.

23 DR. GREENBERG: Yeah.

24 MS. CHERRY: Broome. Eickhoff.

25 DR. EICKHOFF: I agree.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Ferrieri. Dr. Ferrieri? Okay.  
2 Dr. Myers.

3 DR. MYERS: Abstain.

4 MS. CHERRY: Katz.

5 DR. KATZ: Agree.

6 MS. CHERRY: Hoke. Couch is gone.  
7 Kilbourne. Gone.

8 DR. GREENBERG: So we are beginning to lose  
9 people so I would like to --

10 MS. CHERRY: Well, not all of those were  
11 actually --

12 DR. GREENBERG: Yes.

13 MS. CHERRY: Some of those were temporary  
14 voting members.

15 DR. GREENBERG: I totally understand. I  
16 would like to get everybody's -- let everybody express  
17 their opinion but if we don't have any more opinions,  
18 maybe we could move towards closure here.

19 MS. CHERRY: Okay. Before we do, we need to  
20 open the floor one more time.

21 DR. GREENBERG: I understand that, Nancy.  
22 I'm trying to see whether there are any more comments  
23 on Dr. Egan's presentation. No? Great. So, Nancy,  
24 can you check the floor there.

25 MS. CHERRY: Okay. Would anyone like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 address the committee? I guess not. Back to you, Dr.  
2 Greenberg.

3 DR. GREENBERG: Okay. I think the safety  
4 issue is obviously incredibly important. Without  
5 safety we will not -- without real safety and the  
6 perception of safety we will not have utilization of  
7 vaccines the way they should be used. I think we all  
8 need to think long and hard about it and how to  
9 improve it.

10 Bill, that was a good first start. Again, I  
11 suggest all of you to think about ideas that you have  
12 and to relay those to Bill. Are there any last  
13 comments on safety?

14 If not, I would like to thank all of you for  
15 joining on this telephone conference. It worked fine.  
16 I know you are all very disappointed that you could  
17 not spend the last two days in Washington but you'll  
18 just have to live with that.

19 MS. CHERRY: We did have nice weather had  
20 you come.

21 DR. GREENBERG: Okay.

22 MS. CHERRY: I would like to thank everyone  
23 also, particularly for the abrupt change in plans.  
24 Thank you for being flexible enough to go along with  
25 us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: Okay. Thanks again and I  
2 guess we'll be seeing you in May.

3 MS. CHERRY: Okay.

4 (Whereupon, at 2:13 the teleconference was  
5 concluded.)  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25



CERTIFICATE


This is to certify that the foregoing transcript in the  
matter of:                   Vaccines and Related Biological Products  
                                  Advisory Committee

Before:                   DHHS/FDA/PHS/CBER

Date:                    March 10, 2000

Place:                   Silver Spring, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.



A handwritten signature in cursive script, appearing to read "K. DeFuria", is written over a horizontal line.