

1 States have pretty much been in sync with their
2 regulations but we realize that there is so much
3 trade between the three countries in North America
4 that we had to really fine tune it. So we've worked
5 for the last year and a half to make a uniform I
6 should say North American policy in regards to
7 import restrictions. Next please.

8 Just again to give you an idea of what
9 products here, unrestricted entry and these are
10 because of scientific reasons of no detectible
11 infectivity, milk and milk products, semen, hides
12 and skins, tallow and tallow derivatives and they
13 would be tallow that is protein free prepared so
14 that it's protein free, and this is in accordance
15 with the guidelines from the Office of International
16 Epizootics and then WHO. Just for those that don't
17 know, the OIE is kind of the animal equivalent to
18 the WHO. Next please.

19 For other products, we have restricted
20 entries. They can be totally prohibited. They can
21 be for in vitro use only. The importation of raw
22 materials into a restricted country for manufactured
23 products, then to come back into the U.S. and under
24 permit conditions for scientific or research
25 purposes. Let me just give you an example of the

1 third here. We have some individuals in the United
2 States that do better with bovine insulin produced
3 from bovine. There's a plant apparently they get it
4 from in the UK but now what's happened is that we
5 send the bovine pancreases over from the United
6 States, it gets processed under certain restrictions
7 and comes back to those individuals, okay, under
8 permit and under supervision. Next please.

9 The restricted entry blood, fetal bovine
10 serum, bovine serum albumin is prohibited into the
11 United States for use in any kind of animal,
12 pharmaceutical, biologics, heparin, lipids, tissue
13 extracts and gelatin are prohibited. And then under
14 some restrictions microbiological media with
15 ruminant-derived products and then collagen-derived
16 asituants, chondroitin sulfate is prohibited. Next
17 please.

18 You've probably seen enough on this. I
19 put this in because I wasn't sure how much Bob was
20 going to cover on the number of cases from the UK.
21 Next please.

22 And this is just the epidemic curve
23 which you've seen several times and just the whole
24 numbers of confirmed cases of BSE throughout the
25 world. Again you can see right now the native cases

1 of BSE have been confined to Europe, European
2 countries. There have been cattle that have been
3 exported outside of Europe such as Canada, Oman,
4 Falkland Islands, but these were imported animals
5 into those countries. Next please.

6 I just wanted to point out on this slide
7 here why we took the entirety of Europe restriction
8 or prohibition. In 1997, Netherlands, Belgium and
9 Luxembourg reported their first cases of BSE despite
10 BSE being known in the world since 1986, and when we
11 looked at especially Belgium, the Belgium cases,
12 what we saw is that really because of the trade
13 within Europe and how the European Union, the
14 movement and that they can't put restrictions unless
15 the community says that the product and live animals
16 were moving between countries. Another thing that
17 we looked at is surveillance and how much
18 surveillance was being conducted, and Dr. Heim
19 really pointed out the amount of BSE really depends
20 on the quality of your surveillance system and in
21 fact, how much you actually, even the clinical, get
22 reported prior to Switzerland doing the targeted
23 surveillance. So when we look at those two factors,
24 we really did say Netherlands and Belgium were no
25 more a risk for us the day they reported BSE versus

1 the day, weeks, months before and so we thought that
2 we better stop the trade with Europe until we could
3 ascertain if there were any real differences between
4 the countries or even other countries of the world.

5 And to go back before I get into this a
6 little bit more, even with Canada and Mexico, now we
7 have an agreement between the three countries that
8 any of the countries we trade with, that we will
9 evaluate those even if they are outside of Europe to
10 look at their risk factors regarding BSE.

11 These are just some epidemic curves in
12 the countries. One thing to point out, they're not
13 on the same scale. The top for Ireland is 100,
14 Switzerland is 80, Portugal is 200, and then France
15 is 35. So you can see but one of the things that's
16 also been pointed out that I want to point out is
17 that in 1999, these countries have recorded the
18 highest amounts of cases in other than in previous
19 years. So I think that's important just to note
20 that and that's even without other than Switzerland
21 without these targeted surveillance which actually
22 Europe is now moving towards. The European Union
23 and Dr. Heim can jump in ~~or~~ correct me, has adopted
24 policy that countries are supposed to go to
25 targeting the populations like Switzerland and then

1 conducting these tests. Next one.

2 This you've also seen. This is the
3 incident rate per million cattle in over two years
4 of age. Next please.

5 Now to go back to the U.S. BSE import
6 prohibitions and how we did, we prohibited in 1997
7 the live ruminant and most ruminant products from
8 the entirety of Europe because of the trade and
9 surveillance but we did say to the countries we
10 invited them in our interim rule to submit data to
11 look at what risks they had and the risk mitigation
12 measures. Okay. And now that's been extended to
13 other countries of the world. So at that time we
14 asked them to provide data. So what did you import
15 as far as cattle, MBM from countries known to have
16 BSE? And also it's important I think, Dr.
17 Schonberger had pointed it out, that a lot depends
18 on the mitigation they've done for not only human
19 exposure but also animal because in the bottom line
20 the more you prevent the animal exposure, you
21 prevent human because if your animals aren't
22 infected then your humans won't be, and I know the
23 Department of Ag, our policy is keep it out of our
24 cattle and then we'll keep it out of our humans.

25 So what we did is we based our criteria

1 to do our assessment on the OIE or the Office of
2 International Epizootics standards and basically
3 what they required is that the disease is
4 notifiable, BSE, that there is an active or
5 surveillance program in place and they actually give
6 guidelines for numbers that countries are supposed
7 to look at based on the adult cattle population. So
8 we evaluated that based on the OIE guidelines and
9 standards and then the countries are supposed to
10 conduct a risk assessment on the imported cattle
11 from not only the UK but other countries known to
12 have BSE, imported meat and bone meal and then their
13 current trading practices, who they in turn trade
14 with. You kind of have to be careful. I think
15 Switzerland too is a good example and what they
16 found out. They looked initially and they said,
17 well, we don't have too much trade with the UK. So
18 this might be okay, but when you look at who they
19 had trade with and then who they traded with and
20 this movement of products and cattle, you can get a
21 real surprise. And then ruminant feeding and then
22 the prohibitions on the feed bans and what type of
23 feed bans were in place. So these are the things
24 that we did evaluate. Next please.

25 We had a total of 14 countries submit,

1 eight countries without native BSE. The remainder
2 did have native BSE but they submitted dossiers to
3 the USDA. Now we had evaluated those. We came up
4 with evaluations on each of those countries and in
5 the process of going through this, we were getting
6 ready and writing up a rule to announce these
7 evaluations and then the European Union started to
8 conduct their own evaluation. And what we decided
9 is that believe it or not, even though the two
10 processes were very different, at least what we
11 evaluated, the outcomes were similar but we did have
12 some differences. So we decided before we published
13 to kind of hold off and see Europe and see how close
14 we are and also look at what information that both
15 groups that evaluated got to see if they had
16 additional or we had additional information on the
17 countries.

18 And one of the differences you'll see
19 when I talk about the European evaluation is that
20 they have four categories, okay, in their
21 categorization of countries, and we were going to go
22 with two, and I'm not sure. We're still in this
23 evaluation, a restricted versus a non-restricted. I
24 mean don't hold us to those terms. We were debating
25 on how to call them. Lisa's smiling because she

1 knows how many times we've talked about this, what
2 to call these, but the countries that are okay to
3 trade with for ruminant and ruminant products and
4 those that are not. Next please.

5 In the European Union, perhaps some of
6 you have seen this or most of you have seen this,
7 just on the web I believe it was Tuesday, they
8 posted prelim reports on their geographical BSE risk
9 assessments. Groups there evaluated 25 countries
10 who were member states and non-member states, or
11 whoever wants to trade with the European Union, and
12 in this process they examined, they kind of
13 approached it more of like a challenge and a
14 stability of the system approach and basically their
15 challenge is just kind of like what we looked at,
16 the imported cattle, okay, from UK which was
17 considered the biggest risk and then other countries
18 that have BSE and they were assigned like lesser and
19 then stability of the system and what the system is
20 is the cattle feeding system, looking at feed bans.
21 So it would be ruminant to ruminant, mammalian to
22 ruminant or like the United Kingdom, mammalian
23 protein to food producing animals and so that was
24 the feed bans, one factor of the system.

25 They specified risk material, if there

1 was specified risk material bans. That's another
2 key component and also the time, temperature,
3 pressure requirements of the rendering system. So
4 they were evaluated for the system to look, and
5 basically what they were looking for in the final
6 outcome is how much of a challenge did you have and
7 how stable is the system because you can have small
8 amounts of challenge and if you have a pretty stable
9 system, then you wouldn't expect to see BSE. If you
10 have large amounts, a pretty stable system, but not
11 totally, you can override it and if you have an
12 unstable system and you have no challenge or very
13 little challenge, then you wouldn't expect even with
14 the unstable system that you might be able to
15 escape. Next please.

16 So the categories of risk, Category I,
17 European Union classification was highly unlikely to
18 have BSE. Category II was unlikely but cannot be
19 excluded. Category III was actually divided into
20 sections, likely but not confirmed, so have high
21 risk factors, but maybe the surveillance system
22 wasn't considered sufficient enough to pick up the
23 cases, and then BSE confirmed but at a lower level.
24 That's all in Category III and Category IV is BSE
25 confirmed at a higher level. Next please.

1 Category I, I'm just talking the
2 European even though there were non-member states
3 here, and Norway is a non-member but is part of
4 Europe. That was the one country in Europe that was
5 found in Category I was Norway, and again this is
6 prelim and Norway traded with Denmark which
7 subsequent to this evaluation reported a case of
8 native BSE. So one of the little caveats on this
9 prelim report said we have to look into the trade
10 with Denmark and what happened to imported cattle
11 and perhaps Norway would have to be classified II.
12 So I think that's up, but right now on the prelim,
13 Norway was in I. And basically they had very few UK
14 imports prior to 1988. Next please.

15 Category II, this would be unlikely but
16 not excluded, okay, and it would be Austria,
17 Finland, Sweden, the Czech Republic, the Slovak
18 Republic, and again I think Finland has the caveat
19 too to look at meat and bone meal that might have
20 come from the continent like mainland Netherlands
21 and if they can't ascertain really the movement and
22 work that out, they might move to Category III. So
23 there was another footnote in there. Next please.

24 Category III, the three countries and I
25 separated them out that are likely to have BSE

1 categorized but not confirmed, so likely to have it
2 but not confirmed, would be Germany, Italy and
3 Spain. So the three yellow little dots there and
4 the remainder with the blue triangles, they have
5 confirmed but at a lower level. Okay. Next please.

6 And Category IV, the European countries,
7 these are BSE confirmed at higher levels and it's
8 United Kingdom and Portugal.

9 And then in summary, kind of just a
10 policy and reactions to the BSE occurrence and where
11 the U.S. is going, the USDA anyway, again just a
12 summary, our evaluation is based on the OIE
13 criteria. The EU conducted its own geographical BSE
14 risk assessment. They are to finalize their reports
15 coming up. They have invited all the countries that
16 they have evaluated now on these prelim reports to
17 comment back and to comment basically on consistency
18 between the countries. Were the reports consistent
19 from one country to another? Did they evaluate you
20 in the same kind of ruler or yard stick so to say?
21 And the methodology, was it a fair methodology? And
22 again, I think if you're outside Europe, some of the
23 comments from countries outside of Europe that it
24 was basically done with a lot of emphasis on system
25 prevention and not import prevention. So that's one

1 thing some of the countries outside of Europe have
2 made that kind of judgment.

3 So once Europe finalizes its reports,
4 the U.S., we plan to reevaluate our classifications
5 just to see we weren't out of line with the
6 countries and then the status of the countries will
7 depend on the prevention measures that are in place
8 and have been in place historically, mitigation
9 factors and again that there is this ongoing active
10 surveillance to assure that BSE has not entered the
11 countries.

12 A lot of this depends on BSE and a long
13 incubation that what you've done, you know, eight,
14 10 years ago is important or four or five years ago
15 is very, very important to your status, you know,
16 presently. So if you take action today, you won't
17 see results and I think you've seen that all along
18 the way. Thank you. Do you want questions now or
19 no.

20 : DR. BROWN: Thank you, Linda. And we
21 finish the morning's presentations with a
22 presentation by Dr. Lower focusing now more on blood
23 and he'll talk about new-variant CJD and blood
24 safety in the European Union and potential human
25 exposure to BSE and national and European community

1 surveillance activities and public policies
2 concerning blood.

3 DR. LOWER: Thank you very much for the
4 invitation.

5 I think there is a need to introduce
6 shortly the relevant organization of the European
7 Commission and to clarify my affiliation as
8 mentioned in the Agenda, is that I belong and am
9 representing DG XXIV, Consumer Policy and Consumer
10 Health Protection which may be derived from a
11 presentation I gave last September also here during
12 a CBER meeting.

13 But in the meantime, the European
14 Commission changed. It has a new president and he
15 also reorganized the Directorate General. The
16 correct name of the Directorate in which I am
17 involved and a question we have to discuss today,
18 next slide please, is now called DG enterprise and
19 DG Health and Consumer Protection. So it means it's
20 Roman numbers at top and you have short names. The
21 abbreviation for Health and Consumer Protection is
22 SANCO. There is not only the topping of Roman
23 numbers but also a rearrangement of responsibilities
24 and the DG Health and Consumer Protection is now
25 also responsible for regulations on the area of

1 labeled blood products which was formally another
2 Directorate General.

3 One of the tasks of the DG Health and
4 Consumer Protection is to host a number of
5 scientific committees, next slide please, which are
6 listed here and the committee which has to deal with
7 CJD and blood is the Scientific Committee on
8 Medicinal Products and Medical Devices. Next slide.

9 This committee had a subgroup which has
10 discussed to some extent the question of CJD and
11 blood and this is the composition of this subgroup.
12 You see there's also a representative of the
13 American continent, Bob Rohwer and myself, I have
14 chaired this subgroup. I think it's necessary for
15 clarification to state here that I am not here in
16 the capacity as a representative of the European
17 Union. I do not speak on behalf of the European
18 Union. I am a member of the Scientific Committee
19 and I will explain to you the proposals and opinions
20 of the Scientific Committee and I can also explain
21 to you the general policy in the European Union but
22 this is not, and I would like to repeat it, an
23 official presentation of the European Commission
24 here.

25 This group has issued two different

1 opinions, next slide. It shows the first, the name
2 of the first, the title of the first, "Opinion on
3 The Risk Quantification for CJD Transmission Via
4 Substances of Human Organ" issued or adopted in
5 October 1998, and we have developed recently an
6 updated opinion, next slide, which was issued in
7 February this year. You can get these opinions from
8 the Internet.

9 I would like to review shortly the older
10 opinion too before I go to the latest one. Next
11 slide.

12 I just want to go through the main
13 elements of the first opinion and this opinion deals
14 with the question of the probability of CJD being or
15 could be transmitted by blood and this is just to
16 remind you that there are a number of
17 epidemiological studies looking whether there's a
18 higher risk for blood transfusion for example in CJD
19 cases and none of these studies showed an increased
20 risk for blood or blood products.

21 Next slide shows you the outline of many
22 experiments which have been performed to check
23 experimentally sensitivity in animals. Next slide.

24 There are a whole range of caveats which
25 has to be discussed before extrapolations can made

1 from elemental experiments. I don't want to go
2 through all of these caveats but most of them have
3 been discussed in the opinion. Next slide.

4 Now I would like to show you just to
5 remind you how or how less consistent the results
6 are, a few figures. First of all there are no
7 studies which reflect the human situation, namely
8 that naturally infected animals are studied by
9 testing the infectivity in the same species. That's
10 true. There are no published studies. So far as I
11 know, there is one study under way in the UK. There
12 are blood or blood clots from clinically ill cattle
13 injected into cattle. So far there's no positive
14 outcome. That means there's no disease, but it's
15 still too early to make firm conclusion. Next
16 slide.

17 The next slide is to remind you that
18 there is a study which comes very close to this
19 situation, that is a study in cattle, which has been
20 orally infected. This is as I would say very close
21 to the natural situation. In this case, different
22 tissues including buffy coat for example are tested
23 in mice and you have to have the species barrier in
24 mind and so far also there is not infectivity found
25 in the peripheral blood of all the infected cattle.

1 Next slide.

2 There's a huge amount of studies which
3 look for infectivity in animals using artificially
4 infected animals in the same species as in the
5 cattle animals but I show you a few pictures just to
6 show that there in my opinion really a lack of
7 consistency between all these studies. This for
8 example is a study in goats. The goats have been
9 tested in indicator goats and previously was not
10 possible to find any infectivity in blood.

11 Next slide shows the first example of
12 two studies which seem to be comparable as they use
13 the same procedure to purify the infectivity frankly
14 which is called P250s which were both done in
15 hamsters and please look at this picture where
16 Diringer Deerinch apparently found some infectivity
17 in blood and infectivity in brain.

18 The next slide is a similar study by
19 Mauricio Pocchiari in Italy and you get a completely
20 different picture. Here he has a decrease in the
21 infectivity in blood and in this case, spleen
22 parallels very much high the infectivity in brain.
23 Please also have in mind the correlation between
24 blood and spleen and this comes to the question
25 whether infectivity in the lymphoid organs reflects

1 infectivity in the peripheral blood and from this
2 data you will not have the impression that there's
3 parallel between infectivity in spleen or in blood.

4 Next slide shows studies from Manuelidis
5 which he was able to find sometimes irregularly
6 infectivity in blood of guinea pigs which had been
7 infected with a CJD strain.

8 The next slide is the slide of the
9 Kuroda model in mice where there is a clear increase
10 in the infectivity in blood. At this stage I have
11 to say these are not titers. These are the
12 incubation period because there is no straight
13 correlation between incubation period and titer.
14 This is a huge over estimation of the infectivity in
15 blood in these figures. I should ask I guess Paul
16 Brown to give me the figures for titers to have a
17 better graph to show the correlation between
18 infectivity in blood and spleen. And again here
19 there is no parallel lesson between spleen and
20 blood. Next slide.

21 The conclusion from all these
22 considerations from the interpretation of the
23 experimental data is that there is no infectivity in
24 blood of Kuru and CJD patients. However, I didn't
25 show the pictures. The question is whether there is

1 an infectivity in iatrogenic CJD. There is only one
2 study I am aware of and again the question is there
3 infectivity in variant CJD and whether one should
4 use transgenic mice. I think these experiments are
5 underway, but to my knowledge, there is no data at
6 least available to me.

7 What can be concluded from the studies
8 in animal models, especially in rodents, is that
9 there might be a low titer of infectivity in blood
10 especially in rodents, mice and hamsters but this
11 titer is very low especially if you compare these
12 titers with the titers of viruses which are well
13 known to be transmitted by blood. For example, HIV,
14 HCV, HBV which have always titers over 10 to the
15 5th. This is 10,000 times more than the titer of
16 infectivity in these rodents for TSE.

17 And another conclusion is here the
18 question was a TSE agent can be correlated with or
19 the infectivity can be correlated with peripheral
20 leukocytes. As I mentioned, there is no parallelism
21 between infectivity in spleen and blood. Next
22 slide.

23 Now what we have to do to compare the
24 experience with CJD and variant CJD, the CJD says no
25 epidemiological evidence for transmission by blood

1 and the data with variant CJD are insufficient so
2 far just because of the short time we are dealing
3 with this disease and you remember the data
4 presented this morning by Bob Will that there are no
5 indications that infectivity can be transmitted or
6 has been transmitted from vCJD cases. Always a
7 problem is, of course, extrapolation of animal to
8 the human situation but CJD, the prion protein, the
9 pathologic prion protein is usually not found in
10 peripheral tissues especially not in lymphatic
11 tissue in contrast. These prions are found in the
12 peripheral lympho-reticular tissue in vCJD cases and
13 then the question is whether this makes vCJD more
14 closely related to the animals' data and the
15 question is whether the extrapolation from animal
16 data for vCJD may be closer to reality than for CJD.
17 Next slide.

18 A clear difference between CJD and vCJD
19 of course is occurrence. CJD occurs all over the
20 world with a certain unchanged frequency and in
21 contrast vCJD is predominantly found in the UK and
22 as we heard this morning, 57 cases are confirmed so
23 far and 13 are probable. So there's no question
24 that the risk for variant CJD is highest in the UK
25 and residence in UK is thought as a risk factor for

1 CJD. And for this understanding USA, Canada and
2 others have recommended to exclude donors who stayed
3 cumulatively at least six months in UK between 1980
4 and 1996. Next slide.

5 I would like to show you the next three
6 slides shortly what the reaction in Germany was.
7 First again the organization of German. The
8 Ministry of Health is advised by what we call
9 Arbeitskreis Blut which could be translated as Blood
10 Advisory Board and it supervises also the Paul-
11 Ehrlich-Institut. I'm Acting Director of this
12 institute and our duty is to license plasma derived
13 products as well as label blood components, perform
14 batch control, possible hemovigilance.

15 This Blood Advisory Board discussed I
16 guess in August or September last year some measures
17 introduced by FDA and it's similar to the situation
18 in your Advisory Committee here to have been asked a
19 number of questions and voted on this question. I
20 would like to show you the results of this voting.
21 Next slide.

22 The first question was there are no new
23 scientific data which may change the risk assessment
24 regarding the transmission of vCJD by blood and from
25 the 29 members, 28 said yes and one abstention. The

1 next point was FDA measures cannot be transferred to
2 Germany because of differences in basic assumptions.
3 There was a unanimous no to this possible transfer
4 of these measures to Germany. Next slide.

5 The next question was whether a survey
6 of blood donors regarding their pattern of travels
7 to UK should be performed, and there was a very
8 close outcome as you see, 14 no and 13 yes, and then
9 there was a question which was also raised in other
10 countries which was not openly discussed here,
11 namely whether UK citizens should be excluded from
12 donations. That means that the British passport
13 would be a surrogate marker for residence in the UK
14 and to this question there were nine yes and 11 nos.
15 So it was rejected. That's still the official
16 situation in Germany. There is no decision. Three
17 is one deviation from these votes of the Advisory
18 Board, namely that there is intended to perform a
19 survey on the travel patterns of the blood donors.
20 Next:slide.

21 So I think there are a number of
22 questions especially in Europe which are connected
23 with the measures introduced here by FDA and the
24 Canadian health authorities. The basic question is
25 does the exclusion of donor who stayed for some time

1 in UK really contribute to the safety of the blood
2 supply? And then you have to take into
3 consideration what is the risk to acquire the
4 variant CJD in the EU outside of the UK? And, of
5 course, how does the exclusion of donors influence
6 the blood supply quantitatively and qualitatively?
7 Next slide.

8 What is the risk to acquire vCJD in the
9 EU outside UK and this is an issue we have already
10 discussed. You know, you have seen figures have
11 substantial export of live cattle from UK to Europe
12 especially to France and Netherlands and again the
13 question is what is free exportation from these
14 countries? I could easily mention, for example,
15 that some of these materials is exported from
16 Netherlands and France to Germany and this is not
17 only true for live cattle, but also for bovine
18 material and we have discussed it although here
19 today there is still indigenous BSE in continental
20 Europe especially Portugal, Switzerland, France and
21 so on.

22 I again have included a chart of the
23 occurrence of BSE, next slide, in all the other
24 member states taken from the figures published by
25 the Office International des Epizootics. You can

1 see the increase for example in Portugal and I have
2 to again stress as Bob Will has done that these are
3 very low figures compared to the occurrence of BSE
4 in the United Kingdom.

5 What I would like to mention is a fact
6 that was already covered by Dr. Heim, namely the
7 increase of BSE cases in Switzerland which was due
8 to the introduction of test for the presence of BSE,
9 not just clinical observation but indeed testing and
10 this shows to me in this case you have an increase
11 of at least by 100 percent and this means to me that
12 also in the other countries unrecognized cases of
13 BSE come to the slaughter houses. And the question
14 was raised here in which countries in Europe the
15 specified risk material is removed from the cattle
16 during slaughtering, I have not a complete list I
17 have to say. I know as mentioned it's done in the
18 UK, in France, in Switzerland. It's also done in
19 Portugal to my knowledge, but I have no idea whether
20 it's done in Netherlands or Belgium and it's for
21 sure not performed in Germany.

22 So in my opinion there is still a
23 procedural risk of infection of European citizens by
24 BSE containing material. This risk seems to be very
25 low compared to the risk in the United Kingdom, but

1 there is a certain procedural risk. Next slide.

2 This risk is exemplified, of course, by
3 the vCJD cases outside of the UK and we have already
4 discussed here and have written here a more
5 provocative question, namely what is the relative
6 risk of many people staying 60 months, that is five
7 years or 600 months, this is 50 years, in Germany,
8 France or Portugal versus a small percentage staying
9 six months or longer in UK? I guess the answer to
10 this question is very well shown in the study
11 performed in France which came to the conclusion
12 that the indigenous risk in France is much higher
13 than the risk by traveling to UK. Next slide.

14 On the other hand, if one assumes that
15 the risk outside of the UK is close to zero, as I
16 guess U.S. may judge, then of course would be
17 meaningful to defer all people who visited the high
18 risk areas. A practical problem seems to me and
19 this is the question you have to answer if I
20 understand it correctly today, whether measures can
21 be extended if you have once decided to exclude
22 let's say visitors to a certain country, how
23 difficult is it to extend it, and the question will
24 be especially with Europe, you have in my opinion
25 residual risk, how to set the cutoff, where you

1 should stop it, where can you stop or when or where
2 you have to continue. Next slide.

3 The first point, I will come back a
4 little later, but I want to mention here, of course,
5 is also that if you exclude donors, you have to
6 replace them by first time donors and it's well
7 known that first time donors have an increased risk
8 for blood-borne infections especially HIV, HBV and
9 HCV.

10 The next slide says the real question to
11 me. How many HIV infections are we ready to accept
12 in exchange to the reduction of the risk from
13 exposure to BSE in UK? HIV infections are a real
14 risk in my opinion. The other one is still a
15 theoretical risk and I know it's difficult to
16 calculate the risk, but I've taken from the French
17 study that there is an estimate, a reduction or a
18 deferral of donors who stay in the UK according to
19 FDA who will both create at least one additional HIV
20 infection per three years. So that is indeed a real
21 risk but this data, I admit are difficult to
22 calculate and it may be possible for HIV, but it's
23 more difficult to calculate for HBV and even HCV,
24 but one should have it in mind that deferral of
25 donors because of their travel to the UK creates

1 adverse effects in the sense that you have an
2 increase in transmission of other viruses. Next
3 slide.

4 So the SCMPMD, Scientific Committee for
5 Medicinal Products and Medical Devices, came to the
6 following conclusion in its opinion of February
7 2000, is that before we make any decision or any
8 recommendation, we need more data. First of all, we
9 should know the travel pattern of European donors
10 which may differ between member states. Next slide.

11 The next slide is a first attempt to
12 draw as a graph data we have already seen, namely
13 the cumulative risk coming from travel to the UK and
14 this reflects as it was already explained to us,
15 different travel behavior in USA and in France. You
16 have to look at it from this side. It means if you
17 exclude all people who stayed for more than five
18 years in the UK, you have a reduction of the risk by
19 around 30 percent in France and even more than 50
20 percent in the U.S. So if you, for example, exclude
21 all persons who stayed more than six months, you
22 came up with a reduction of the theoretical risk by
23 around 90 percent in the U.S. and only by 70 percent
24 in France. Next slide.

25 What I also want to mention here is, of

1 course, you will have different curves for different
2 countries in Europe. So I would imagine for example
3 in Ireland you will have still steeper curve meaning
4 that there are more people staying for short time in
5 the UK, one day travel to the UK and, of course, in
6 Greece for example, I expect the curve would be much
7 closer to the U.S. Then we have, of course, the
8 problem in Europe if you want to defer travel as to
9 UK, on what basis. Should we have the same
10 reduction in theoretical risk. Then we have to do
11 it according to this straight line, that means if
12 you use reduce the risk in the U.S. by around 90
13 percent, you have to exclude in France for example
14 all donors who stayed for more than one month in UK.
15 I expect for Ireland that you need even to exclude
16 people who stayed for short time, increase for
17 example maybe closer to the U.S. situation but this
18 would mean we would have very different rules all
19 over Europe in different countries to have the same
20 reduction in theoretical risk. On the other hand,
21 one could say very easily exclude everybody who
22 stayed for six months or longer in the UK, but then
23 you have different outcomes in the different
24 countries and Dr. Asher mentioned that there should
25 be no discrimination. It would be difficult in

1 Europe to discriminate between French people who
2 stayed shorter time in UK and people who stayed for
3 longer time in the UK. So what I want to say here,
4 it's very difficult for Europe to make a consistent
5 and scientific based decision on the dates if the
6 decision would be to exclude donors who stayed in
7 the UK. Next slide.

8 The next issue is the SCMPMD asks for
9 exposure to UK bovine derived material, also for the
10 other member states and also for the prevalence of
11 HIV, HBV and HCV in first time donors, and only if
12 you have all of this data, you can perform a
13 scientifically based judgment on the effect of the
14 deferral of donors, and I think a very excellent
15 study has been performed in France and I hope that
16 similar studies will also be done in the other
17 European countries, but my impression at the moment
18 is that there is not a great enthusiasm in Europe to
19 perform these studies at least questionnaire for,
20 just to ask for travel pattern which has to be
21 finalized by the European Commission has not yet
22 ensued to my knowledge at least although it was
23 drafted end of last year. Next slide.

24 So the summary on donor deferral,
25 there's no decision in the EU so far. The survey is

1 initiated but not yet been performed. There are a
2 number of groups which try to get the European
3 harmonization. I will not stop at this place
4 because I think these discussion urged the European
5 authorities to think about other measures to reduce
6 the theoretical risk of vCJD transmission because
7 there would be pressure by the public if European
8 authorities would not decide to exclude the donors
9 who stay for some time in the UK, and therefore this
10 discussion has to be collected, namely the
11 discussion of leukofiltration which is the theme of
12 tomorrow. Next slide.

13 So the SCMPMD also gave its opinion of
14 the leukofiltration and the conclusion is maybe is
15 that the extrapolation from animal models with a
16 peripheral distribution of the prion protein has
17 been found, and also infectivity in blood, that this
18 infectivity is mainly associated with the cellular
19 components or the white cells of the blood. This
20 may be extrapolated to variant CJD. Next slide.

21 And therefore leukofiltration could be
22 helpful, but there are, of course, many caveats and
23 I guess they will all be discussed tomorrow.
24 There's lack of experimental proof of reduction of
25 TSE infectivity. Nobody knows exactly which cell

1 types carry TSE infectivity in the white blood cell
2 compartment. Nobody knows to what degree these
3 cells are removed by filtration. Nobody knows what
4 effects the different types of filters have.
5 There's lack of validation and there's an urgent
6 need definitely to study all these questions. But
7 one has to realize that answers to these questions
8 will only be available if studies are started
9 immediately, the answers will be available maybe in
10 two years from now. Next slide.

11 Therefore the SCMPMD said in the
12 meantime until this data is available, it might be
13 advisable to introduce leukofiltration as a
14 precautionary step emphasizing the Precautionary
15 Principle which was mentioned already by David Asher
16 in his first talk. A recommendation for the general
17 use of leukofiltration would be in line with the
18 belief that many, if not all transfusion recipients
19 would benefit from the removal of white blood cells
20 for other reasons.

21 This is just in contrast to the deferral
22 of donors. If you introduce leukofiltration, you do
23 not really know what the effect will be but it will
24 not cause, it will not have a negative effect. It
25 has definitely a positive effect unless somebody can

1 show that leukofiltration can enhance infectivity in
2 blood but I have only heard rumors about it and
3 haven't seen any data.

4 I would like to close with a short
5 experience I recently had. We in Germany do not
6 perform leukofiltration. So far you have heard it's
7 introduced in France, in Switzerland, but I had
8 proposed to introduce it, but one argument, of
9 course, are the high costs for leukofiltration. We
10 had a short meeting with our Minister of Health and
11 after a short time, I learned that these costs are
12 not in the range a minister really cares. These are
13 tens of millions of deutsche marks. He has other
14 problems with billions of marks with the health care
15 system. So the money which is needed for
16 leukofiltration doesn't count at least in Germany
17 and we have also our problem with the healthcare
18 budget. Thank you very much for you attention.

19 DR. BROWN: Thank you, Dr. Lower.
20 Before we begin the questions and discussions, it
21 was pointed out to me that perhaps not all members
22 of the Committee are familiar with some of the
23 abbreviations that have been used or even some of
24 the terms that haven't been abbreviated. One such
25 term was specified offal and, Bob, I think, Dr.

1 Will, are you in a position to tell us in the UK
2 what is meant by specified or just offal, specified
3 bovine offal, SBO, what tissues?

4 DR. WILL: I think the major tissues are
5 brain, spinal cords, spleen, and intestine are the
6 main ones.

7 DR. BROWN: Does it include all viscera,
8 at least abdominal viscera?

9 DR. WILL: The whole head is now a
10 specified risk material as well.

11 DR. BROWN: Right. Certainly the
12 central nervous system and the head.

13 DR. WILL: Yeah.

14 DR. BROWN: All abdominal viscera.

15 DR. WILL: No, spleen and intestine.

16 DR. BROWN: Spleen, intestine.

17 DR. DETWILER: The spinal column.

18 DR. BROWN: Yeah, sure. What about the
19 chest cavity, lung?

20 DR. DETWILER: Thymus but not lung.

21 DR. BROWN: So head, spinal cord,
22 thymus, spleen and intestine. Anything else?

23 DR. WILL: Eyes.

24 DR. BROWN: That's the head, okay.
25 That's gone. Anything else? Going, going, gone.

1 Annick, did you -- someone over here had their hand
2 up?

3 UNIDENTIFIED SPEAKER: Tonsils.

4 DR. BROWN: Is that true for the UK?
5 Because one of the questions -- that's the head as
6 well. Anything that's in the head? Brain, eyes,
7 tonsils.

8 DR. DETWILER: No, I believe the UK the
9 tongue is excluded. Is that not correct, Bob? It's
10 excluded.

11 DR. BROWN: Okay. And again so that's
12 in the head. Once you take the head off --

13 DR. DETWILER: No, the tongue is
14 excluded.

15 DR. BROWN: Oh, I see. You can cut the
16 tongue out and then you throw the rest of the head
17 away, okay. Now the follow up question on that is
18 -- yeah, Ernie.

19 DR. BELAY: What about the bones? The
20 UK had a ban on beef on the bone.

21 DR. BROWN: Yeah, but I don't think bone
22 is a specified offal, is it, Bob?

23 DR. WILL: No. What happened was that
24 there was an experimental result that showed there
25 was infectivity in dorsal root ganglia.

1 DR. BROWN: Right.

2 DR. WILL: Therefore there was a ban on
3 the use of beef on the bone.

4 DR. BROWN: Right.

5 DR. WILL: That was present for some
6 years but was withdrawn later last year. So it is
7 now again legal to use beef on the bone.

8 DR. BROWN: Okay. So in the United
9 Kingdom, the head is removed, the vertebral column
10 is removed, the tongue is usable as the only entity
11 from the head, and the thymus, spleen, intestines
12 are also excluded, that is they are specified offal.
13 So the next question is, is the definition of
14 specified offal similar or not similar, identical in
15 every European country? Yes.

16 DR. LOWER: As I have mentioned not
17 every European country removes the specified risk
18 material. What I would like to do is to remove
19 these tissues from the proposal of the Commission of
20 decision which should be coming forth in the next
21 few weeks or months, and I can explain exactly what
22 is meant with specified risk material that should be
23 removed.

24 DR. BROWN: Just to be so that the
25 Committee is clear, this is proposed, not in effect

1 now.

2 DR. LOWER: This is proposed and
3 reflects, of course, the practice in such countries
4 which remove the specified risk material.

5 DR. BROWN: Right. Can I first
6 interject is at the moment, as we speak, is there
7 any other country in Europe which has implemented a
8 policy of removing specified bovine offal from its
9 cattle? I assume Switzerland has --

10 DR. LOWER: Yes.

11 DR. BROWN: -- because we heard from
12 Switzerland. Are there any other countries? France
13 or all.

14 DR. LOWER: Yeah, and Portugal also,
15 yeah. And Ireland also, yeah.

16 DR. SCHONBERGER: I think Linda did a
17 survey on that. Maybe she can --

18 DR. BROWN: Yeah.

19 DR. DETWILER: Actually that's in the
20 GBR. The countries that have --

21 DR. BROWN: What's a GBR?

22 DR. DETWILER: The Geographical BSE Risk
23 Assessment. **

24 DR. BROWN: Okay.

25 DR. DETWILER: Okay. It's probably

1 easier to say the countries that have not.

2 DR. BROWN: Okay.

3 DR. DETWILER: The countries that have
4 no SRM, specified risk material bans are the
5 countries that have not confirmed BSE. So like in
6 Category III it would be Germany, Spain and Italy
7 and then Finland does not, Sweden does not, Norway
8 does not, and all the others --

9 DR. LOWER: Netherlands and Belgium also
10 do.

11 DR. DETWILER: Netherlands and Belgium
12 have them but they've confirmed BSE.

13 DR. BROWN: It occurs to me that --
14 let's just take Germany since we have Dr. Lower at
15 the microphone and, Linda, you said that Germany
16 falls within the category of BSE likely but
17 unconfirmed. In other words, it's I think in the
18 four categories, it's the third category which is
19 next to the fourth category.

20 DR. LOWER: But is also close to the
21 second and U.S., and Dr. Detwiler didn't mention in
22 European categorization US is in the second
23 category.

24 DR. BROWN: The U.S.

25 DR. LOWER: Yeah.

1 DR. BROWN: The U.S. is in the second
2 category.

3 DR. LOWER: Yes.

4 DR. BROWN: In any case, the third
5 category by definition is likely BSE but not
6 confirmed.

7 DR. LOWER: Right.

8 DR. BROWN: I had a question that
9 relates to sort of both of these questions. What
10 constitutes likely but unconfirmed? And the second
11 part of it is it's curious to me that a country in
12 which BSE was likely but unconfirmed should not take
13 any action with respect to specified risk materials?

14 DR. LOWER: If I should answer, the
15 Scientific Committee who evaluated that there could
16 be a BSE risk, took into account also challenges for
17 the system. There's what they call the stability of
18 the system. Challenges importation of cattle
19 material for example and stability means if I
20 remember correctly, that these things are published
21 just two days ago, it includes the surveillance for
22 example, the extent of the surveillance and also the
23 order of measures taken in the different countries
24 and taken together, all the challenge and the
25 possibility to detect BSE lets the commission come

1 to the conclusion that there is a likelihood for
2 presence of BSE in Germany and some other countries.
3 Also it has not been confirmed today. This is an
4 augmentation of the BSE scope.

5 DR. BROWN: Yeah. Any other questions?
6 I don't want to enter a dialogue. I'm a little
7 surprised that the U.S. should be Category II, that
8 is to say unlikely but --

9 DR. LOWER: But excluded.

10 DR. BROWN: -- but not proven, yeah. I
11 mean why -- what do you need? Why should the U.S.
12 differ from Norway in this respect?

13 DR. DETWILER: Let me -- I might as well
14 go over all the countries since this came up here
15 and I probably should have. It would have been
16 easier.

17 In Category I were Norway, New Zealand,
18 Argentina and Paraguay, and basically the cutoff was
19 in order to try and keep it consistent again, Norway
20 had that little caveat now because of the trade with
21 Denmark, okay, but if you imported less than 20
22 cattle from the UK prior to 1988, that's how you got
23 in a Category I regardless of the stability of your
24 system. So those countries like Paraguay had no
25 trade with the UK, all right, and that's how. New

1 Zealand had less than 20, Argentina had less than 20
2 and Norway had a small number. So basically that's
3 Category I was regardless really on the stability of
4 the system.

5 DR. BROWN: Is there some magic about
6 the number 20?

7 DR. DETWILER: It was done on an assumed
8 prevalence or incidence in the highest or after '88.
9 Again it was hard to come up with these numbers but
10 the best way is to go on the web page and read the
11 whole procedure in how this was determined because
12 it's complicated.

13 In Category II were, let me go through
14 so I don't miss anybody, Australia, Austria,
15 Finland, Sweden, Canada, Chile, Czechoslovakia, the
16 Slovak Republic and the U.S. Again these are
17 countries that submitted.

18 Category III, you saw the entire list
19 because there is no country other than European
20 countries that were in Category III, and Category IV
21 were only the UK and Portugal.

22 One of the things I think that concerned
23 from U.S. perspective on SRM, and maybe to take into
24 consideration considering this, is that because
25 again trade within the European Union, France can

1 have a ban in place, Switzerland is different
2 because they're not a member state, but if cattle
3 can be born in France, move to another country to be
4 slaughtered, moved to yet another country to be
5 processed, and depending on the regs in the country,
6 may or may not have SRM removed, okay, then that
7 finished product can then move throughout the
8 European Union. Okay. So you can see how it gets
9 really complicated trying to separate out countries
10 because of trading practices.

11 DR. BROWN: Yeah. We'll have some
12 Committee questions now. I think the sense of
13 whatever you've heard in the last five minutes is
14 that the Committee is going to have a great deal of
15 trouble basing any of their decisions on the present
16 categorization of specified risk material policies
17 in the various countries which seem to me still to
18 be work in progress. Yes, we have a question from
19 Blaine I think.

20 DR. HOLLINGER: I just want to ask Linda
21 again, does that mean that cattle could be moved
22 from Britain to France and then be moved from France
23 to another country also? "

24 DR. DETWILER: I'm sorry. I'm glad you
25 pointed that out. There are certain countries that

1 have exclusions on them to send cattle and right now
2 United Kingdom and Portugal, correct? Portugal.
3 Yeah. They are the two that you cannot send out by
4 EU regulations. Okay.

5 DR. HOLLINGER: This is now or was it 10
6 years ago?

7 DR. DETWILER: It started in the full,
8 for all ages was '96, right? How about breeding?
9 The breeding animals or the older animals that were
10 in the earlier nineties, 1990, and then all ages was
11 1996 for UK and then Portugal I believe it was '98.

12 DR. HOLLINGER: And what kind of
13 products are usually sent from say UK to France?
14 Are these processed meats primarily? And is it
15 products, various types of products that were sent
16 there or what?

17 DR. BROWN: Bob.

18 DR. WILL: If I could just answer that
19 question it comes back to a point that was made
20 earlier. One of the problems with calculating
21 exposures in other countries and in the UK is you
22 can do it based on things like the instance of BSE
23 but, of course, that's very much an indirect mark of
24 human exposure. What you really need to know is
25 what SRMs went into the human food chain? What were

1 the inclusion rates? Did they vary with time? Were
2 those products exported to other countries, et
3 cetera, et cetera? And we do not have that
4 information. Even in the UK we cannot tell
5 accurately what the actual exposure was and
6 therefore trying to derive exposures in other
7 countries based on this is virtually impossible in
8 my personal opinion although I'd be very open to
9 other people's views about this. The issue of
10 exposure based on BSE itself is one issue, but
11 actually going from that to actual human risk is a
12 very difficult issue.

13 DR. BROWN: Question.

14 DR. SAYERS: Yes, I've just got a
15 comment and a question for Dr. Lower. I think the
16 opportunity to discuss the categorization of various
17 countries is really only a temporary luxury. If
18 prior related disease has done anything, it's
19 reminded us thanks to international trade in
20 livestock, international trade in meat products and
21 international tourism, that we really are just a
22 global village and the United States is as much a
23 suburb of that global village as is Norway. So much
24 for that comment.

25 A question for Dr. Lower. You pointed

1 out to us that there is in fact a tradeoff and in
2 return for trying to prevent something which has not
3 happened, namely deferring UK donors, the tradeoff
4 is introducing into the blood supply individuals who
5 are first time donors who have an increased risk for
6 HIV, HCV, Hepatitis B, and I'm wondering if it isn't
7 worthwhile including in that enhanced risk with the
8 deferral of these potentially risky UK donors where
9 they're including in that calculation the risk of
10 CMV in those first time donors? And the reason I
11 ask that is an increasing number of transfusion
12 recipients are immuno-compromised these days and
13 they're at risk at transfusion of CMV and we know
14 that neither leukoreduction nor serological
15 screening of donors for CMV is 100 percent effective
16 in reducing the risk of transfusion transmitted CMV.

17 DR. LOWER: I think HIV, HCV and HBV are
18 lead examples of viruses which are really
19 transmitted by blood. One, of course, can include
20 CMV. I have no idea how feasible it is to calculate
21 the increase in the risk for CMV. That's a question
22 to an epidemiologist maybe.

23 DR. BROWN: Yes. I'm sorry. I'm asleep
24 at the switch here. Paul.

25 DR. McCURDY: I'd like to comment on

1 that discussion about donors. In the first place,
2 there's no rule that says you have to replace a
3 donor that's deferred with a first time donor. The
4 mean number of donations per donor in the United
5 States is 1.6 or 1.7 which is exactly the same
6 figure that's been around since the middle
7 seventies. So that if you can get those donors to
8 donate one more time a year or certainly a
9 reasonable number, then you can make up virtually
10 any shortfall that you want to make.

11 The other thing is that the prevalence
12 of disease markers in first time donors is clearly
13 higher. There have been a few attempts, one of
14 which is in the NHLBI REDS Study, to look at
15 incidence of infections in first time versus repeat
16 donors and the data admittedly is a little difficult
17 to figure that out sometimes, but the data would
18 suggest that first time donors are essentially the
19 same as repeat donors when you look at incidence and
20 the incident infections are the ones that are going
21 to be transmitted, not the prevalent ones.

22 DR. HOEL: I have a question for Dr.
23 Will. You had mentioned that the dietary habits had
24 been looked at and it was controversial with the
25 epidemiologist and what I'd like to know is have

1 they looked at the prevalence of particular meat
2 products that are consumed by the older individuals
3 in the UK compared to purportedly what these cases
4 said they took or the prevalence within that age
5 group?

6 DR. WILL: One of the problems with this
7 is that you really want to have surveys of eating
8 habits in the 1980s to determine what the risk was
9 and there have been some household surveys done,
10 some limited information is available, but it's
11 across a broad age range including adults up to I
12 think the age of over 60 and so obtaining
13 information directly on the correct age, there is
14 some information available and Sheila Gore, our
15 statistician had said that she feels that this may
16 correlate with the age distribution of variant CJD.
17 However, as I said the exposures are still
18 uncertain, and the most important exposures in terms
19 of which food products are uncertain also. We are
20 carrying out a case control study to try and
21 determine with age and sex match control whether
22 there is an increased frequency of consumption of a
23 particular product in the cases of variant CJD and
24 as of yet have not come to any definite answer
25 there. One of the problems with this study and

1 there are many of them methodologically is the fact
2 that we cannot target the questionnaire onto
3 specific products that we think would be at greatest
4 risk. So the question is a very important one, but
5 I think currently it's difficult to give you a very
6 accurate answer.

7 DR. HOEL: I see. Because too much time
8 has passed basically to retrospectively go back and
9 get those dietary habits from the elderly I'm
10 guessing.

11 DR. WILL: Well, I think you can look.
12 I mean you can get some feeling but there may be
13 some different dietary exposures in different age
14 groups, that is true, and it's intuitively correct
15 as well, but the problem is that the epidemiologist,
16 for example, Peter Smith, is unhappy if that's an
17 explanation for the age distribution of variant CJD
18 because of the very wide age range of individuals
19 who have been affected by this condition.

20 DR. BROWN: Yes, Peter.

21 DR. LURIE: It seems to me that this
22 debate is going to come down the way it did, the
23 lost time in the sense that we're going to be
24 balancing the same kinds of things against one
25 another. This afternoon we'll hear about the risk

1 to the blood supply, and this morning it's all about
2 the exposure in effect, exposure versus risk.

3 Now Don made the point that the ideal
4 thing that we like to look at is the number of
5 animals in effect who slip through the existing
6 screening systems and the point seems to be that we
7 can't really make those calculations anywhere
8 outside of Britain and a surrogate for that might be
9 the kinds of things that Dr. Will was talking about
10 which is not only looking at exposure rates in terms
11 of infected cows but rather looking at the
12 particular bans that were in effect at the
13 particular times and how that might give us some
14 sense of what actually enters the food supply, but
15 as he pointed out, that's difficult to do in Britain
16 and probably more difficult still to do elsewhere.
17 So that's not going to work.

18 So it seems to me that at least for this
19 morning's part of the discussion, most of it comes
20 down to is what is the rate of BSE per say million
21 cattle between different countries? That seems to
22 me in effect what this really comes down to. And
23 unfortunately most of the presentations this
24 morning, not all, but most have focused on the
25 number of cases of BSE and don't have denominator

1 data with the exceptions of the data presented by
2 Dr. Ducrot and later reiterated by Dr. Detwiler.
3 But what we have really is comparative incidence
4 data only for 1998 and 1999 and what I'm interested
5 in is really in the trends of those rates over time
6 dating back to some period like 1980 and how those
7 compare to the British rates over time going back to
8 say 1980 and the data that we have on Britain
9 unfortunately lumped together the first seven or so
10 years of the time where, you know, after the first
11 cases of BSE were identified.

12 The difference between these unavailable
13 data and the other unavailable data as suggested by
14 Don and Dr. Will, these actually could exist and I
15 find it a little frustrating. To me that's
16 important data that could easily be calculated and
17 put on a slide, but somehow we seem to be lacking
18 that and I'm wondering if there's some way of
19 putting that together.

20 DR. BROWN: Linda.

21 DR. DETWILER: Boy, to say that they're
22 easily calculated, I would totally disagree with
23 that because you're talking all those numbers up
24 there, okay, except for probably Switzerland is
25 probably closest to being accurate. They're not

1 easily available because first of all you just have
2 reported cases and you heard from Dr. Heim that that
3 really is dependent upon a lot of factors within the
4 country. So all you would have are reported cases
5 and you'd have to figure in factors of surveillance
6 system and what was going on at the time, and that's
7 it. So I don't think you'd get an accurate number
8 at all of the surveillance in countries even if you
9 could try and figure out because you'd just be
10 guesstimating what was influencing what country at
11 what time. So, you know, the first case outside of
12 UK was in 1989, and then you have countries come on
13 board and you saw the Benelux countries in 1997. So
14 I think until the EU puts in place the targeted
15 surveillance, I don't think any of us are going to
16 have any decent idea of what's really going on in
17 the cattle population and again I don't know if you
18 can try and go back and you might be able to do some
19 calculations back. A lot of people have tried to
20 figure that out with no success.

21 DR. LURIE: I'm not saying anything
22 really that complicated. I mean you're clearly
23 right, that different surveillance systems will
24 produce different numbers in the data from
25 Switzerland and the increases in recent years show

1 that. I think that's clear, but nonetheless, the
2 fact that there are difficulties interpreting the
3 data doesn't mean that you should not look at the
4 data, and all I'm really saying is were the number
5 of BSE confirmed cases in cattle through whatever
6 your surveillance system is, divide that by the
7 number of cattle that existed in that particular
8 country at that moment in time, and what are the
9 trends? Then we can go about adding caveats of the
10 kind that you're talking, but I don't think it's an
11 excuse not to look at the data.

12 DR. BROWN: If I could interpose a -- go
13 ahead, Susan.

14 DR. LEITMAN: Go ahead.

15 DR. BROWN: I'm guessing that it's not
16 going to be possible, it's more than a guess, it's a
17 certitude, it will not be possible for this
18 Committee today to judge risk to the blood supply
19 from residence in a foreign country on the basis of
20 present data with respect to the number of cattle
21 with BSE in that country. I will be taking myself a
22 very simpleminded approach to this in view of the
23 uncertainty about the amount of indigenous BSE in
24 any given European country and all of the things
25 that intervene between the number of cases of BSE in

1 a given country and the risk of new-variant CJD in a
2 given country and then the further risk of blood
3 from such a population being a risk factor.

4 I'm likely to go back just to the
5 phenomenon of new-variant CJD in the United Kingdom
6 which was the main consideration in the previous
7 meeting and the new data coming from France and we
8 will get into that later this afternoon, but
9 certainly we can't, it's putting too fine a point on
10 equating what we're supposed to do which is risk to
11 blood from people in this country who have visited
12 countries that have BSE, for example, BSE without
13 new-variant CJD. I sense that the Committee is
14 simply not going to have data available as you point
15 out as everybody is aware of to make this
16 determination on the basis of BSE identified in
17 various countries. So as I say, we're going to be
18 more focused on the actual closer to humans and
19 blood this afternoon and we'll get on with it then,
20 but, Larry, do you have something to say?

21 DR. SCHONBERGER: Yeah. I think I tend
22 to agree with you, Paul. It may be useful though in
23 this setting to get some comments confirming that
24 the surveillance at least for humans is pretty
25 consistent throughout Europe including particularly

1 Portugal I guess where they're saying that BSE,
2 that's classified as a Group IV country. Can we
3 assume that Portugal has a reasonably good
4 surveillance for the human disease as Paul has
5 indicated?

6 DR. BROWN: Yeah, I think that's good.
7 Perhaps Bob might be in the best position as the
8 sort of monitor of European wide CJD.

9 DR. WILL: Well, now I think as I've
10 said a system has been set up in which we try and
11 harmonize the methodologies of surveillance and the
12 case definition. We've also tried to improve
13 diagnosis in various countries particularly with the
14 use of 14-3-3 immunoassay and that is an important
15 source of referral in identification of cases and
16 such systems have been set up and I think now all
17 countries are participating in the system and
18 workshops have been held to try and improve these
19 available methodologies in countries taking part of
20 the system.

21 Having said that, of course, not all the
22 countries have the same type of health care system,
23 and not all the countries have the same resources
24 for doing surveillance. And therefore I think there
25 may be some variation in how effective the

1 surveillance works and one final thing I would say
2 is that it is very easy for a country that has
3 experienced surveillance for many years to continue
4 doing the surveillance. It's quite difficult to set
5 it up in the first place, but I do think that we're
6 trying very hard to insure that everything can be
7 done through the European grant to insure that
8 surveillance systems are in place in all countries
9 and my own expectation is that I think it is quite
10 likely that cases of variant CJD will be identified
11 in participating countries.

12 DR. SCHONBERGER: Well, maybe one way to
13 get a handle on that is to ask maybe how many cases
14 of the sporadic CJD that countries have identified
15 and see if it's within what you might expect, you
16 know.

17 DR. BROWN: The European surveillance is
18 pretty good in this regard, and Bob certainly has
19 information about the trends of sporadic CJD or non-
20 new-variant CJD to put it globally and virtually
21 every country that's participating.

22 DR. WILL: I think in the main countries
23 that have been carrying out surveillance for some
24 years, the incidence rates are now I think in all
25 these countries over one case per million per year

1 and relatively comparable in all countries. I think
2 with some of the countries that have started
3 surveillance, that is not the case, and I think it
4 does take time to establish a surveillance system
5 that is effective and as I say, it depends on
6 resources also but certain countries having had a
7 relatively low incidence of CJD, of sporadic CJD,
8 now have a higher incidence. For example, Spain and
9 it took a few years for them to reach that stage,
10 and I think that no one can expect a country to
11 start doing surveillance and have highly efficient
12 surveillance within a very short period. It does
13 take time and I think that we are trying to help as
14 a group to try and improve surveillance in country
15 who only recently started doing this. So I think in
16 the main countries the comparable rates for sporadic
17 CJD as you mentioned I think they are comparable but
18 I think that's not necessarily true for all
19 contributing countries at the moment.

20 DR. BROWN: Yeah. I'm going to ask Jay
21 to give his comment, but just to be specific and
22 concrete, for example, Germany and France certainly
23 have, and the UK, have had surveillance systems in
24 place for many years and their surveillance systems
25 are exceptionally good and they've all reached

1 roughly the same plateau of CJD incidence, but again
2 to be very concrete, Portugal which is one of the
3 countries of great interest because of its apparent
4 increase in BSE, we cannot yet say the same thing
5 about either the surveillance of BSE or the
6 surveillance of CJD. Jay.

7 DR. EPSTEIN: I agree generally with
8 those observations, but I just wonder whether at a
9 cruder level we can't get a quantitative feel for
10 relative risk and as a background, I'd like to
11 suggest that any donor deferral policy as a
12 precautionary measure has to be seen as only a
13 partially effective measure. I mean no one expects
14 that it could contain all risk, and in the end we
15 need to think about what the marginal contribution
16 of expanding deferrals.

17 And in that context the way I see
18 things, there are two useful measures here of the
19 relative risk. One is to ask, well, what proportion
20 of new variant cases are outside the UK? And the
21 available data suggests that it's only about five
22 percent of all known cases. Even if there were one
23 or two missed cases outside the UK, we'd still be to
24 the same order of magnitude or relative risk. I
25 think that those data at least at a superficial

1 level appear to correlate with risks from food
2 exposure to affected animals in the case of France.
3 What we heard if I understood it correct is that an
4 analysis of consumption of beef from the UK suggests
5 that from five to 10 percent of the beef in France
6 would have been from the UK, and that that is in
7 agreement to order of magnitude with the apparent
8 case rate of new variant, suggesting that, you know,
9 crude as it is, these numbers have some correlation.

10 Then moving to the question of whether
11 the other BSE surveillance data are useful, I would
12 frame the question this way. The reported case
13 numbers for all the non-UK countries in each case
14 are at most a few thousandths of a percent of the UK
15 reports. If you look at all cumulative case
16 reports, the highest one is in the Republic of
17 Ireland, 454 compared to about 178, 179,000 in Uk.
18 And the question that I would ask is could the
19 countries that have had low number reports have
20 missed epidemics ten or hundredfold higher because
21 even if they were hundred fold higher, they would
22 still only be 10 percent of UK, not looking at it as
23 a rate per million cattle, but just looking at it as
24 number of infected animals that could have
25 potentially entered the food chain.

1 So I think it's possible to look at the
2 crude data and get a little bit of a handle on the
3 relative human risk. So what I'm suggesting is that
4 even if there were under reporting of BSE and even
5 if there were a few missed cases of new variant
6 outside of the UK, are we not in a position to state
7 that we think that the relative risk must still be
8 in the domain of 10 percent or less? Because if we
9 can conclude at least that much, it gives us a
10 handle later in the day on estimating the potential
11 utility of expanding a deferral strategy recognizing
12 that it might only be a marginal added safety
13 benefit. And that's just another way of looking at
14 it.

15 In other words, I'm suggesting that it's
16 not all one issue, that if you have a indigenous
17 case, therefore you must have a deferral policy.
18 It's a question of what's the benefit or
19 precautionary benefit of the deferral policy as a
20 whole, and I think there are some data on the
21 relative risks both from the new variant case report
22 and from the BSE report albeit in the fact of
23 limited surveillance. **

24 So the long and short of it is that I
25 would ask that Dr. Detwiler, you know, do you think

1 that a hundredfold or greater BSE incidence could
2 have been missed in any of these countries?

3 DR. DETWILER: Not a hundredfold. I
4 think my vet colleagues from Europe will concur with
5 that.

6 DR. BROWN: Yeah, we're going to break
7 for lunch now.

8 DR. WILL: I wanted if you -- I did say
9 I would answer Larry Schonberger's question. Do you
10 want me to do so now or later?

11 DR. BROWN: It's been so long, I don't
12 remember what the question is. I also had
13 something. Yeah, go ahead, Bob. Answer whatever,
14 whenever it was. Go ahead.

15 DR. WILL: Well, the one thing I
16 apologize for being slightly imprecise this morning.
17 My understanding as I recall is that the youngest,
18 that the date of birth of the last case of BSE, the
19 youngest case was in January '96 I think, and
20 therefore it is likely that all the cases to answer
21 Dr. Rohwer's point in 1999 were over 30 months.

22 In terms of the 30-month scheme and it's
23 relative efficacy in protecting human health in
24 relation to the SBO ban, historically in the UK the
25 SBO or SRM ban was introduced in 1980. I personally

1 felt that was an absolutely critical measure to
2 protect human health. The issue of using the over
3 30-month scheme in addition was introduced after the
4 report of variant CJD on March 20, 1996, and was
5 introduced by the UK government as an extra
6 protective measure because of the understandable
7 concern about public health in the UK. To introduce
8 an over 30-month scheme in addition to an SRM ban,
9 that might well increase further the protection of
10 human health in countries with BSE, but as Dr. Asher
11 said at the beginning, any measure that is taken on
12 the Precautionary Principle should be proportional
13 to the likely effect and cost and impact of such
14 measure on other aspects of the country, and I think
15 that therefore the issue of the over 30-month scheme
16 in the UK is entirely understandable because of our
17 position in relation to BSE and the situation in
18 relation to the risk to public health. Whether such
19 measure is appropriate in other countries I think is
20 something they will have to consider individually.

21 DR. BROWN: Thanks, Bob. I'd like to
22 give the Committee something to chew on for lunch.
23 The beautiful thing about the French experience
24 versus the UK experience is that the population of
25 each country is roughly the same. Britain has had

1 about 60 cases over a period of -- total cases of
2 new-variant. France for all intents and purposes
3 had a total of three cases. If the imported
4 consumable beef products to France is in fact five
5 percent, then it works out beautifully even to
6 people who don't like arithmetic. You've got 1/20
7 of the exposure in France. You've got 1/20 of the
8 number of new-variant CJD cases.

9 If another country had instead of five
10 percent let's say .5 percent and we used the same
11 measure, then we could theoretically calculate what
12 the risk might be. Maybe in three or four years
13 there will be a case of new-variant.

14 So what the Committee is going to be
15 faced with at some point this afternoon is saying,
16 all right, we'll establish a deferral policy for
17 France and perhaps it will be a year's residence or
18 a year and a half residence, and then a policy for a
19 country that doesn't yet have new-variant, and then
20 we have someone in the blood bank with a calculator
21 who asks the question, how long have you spent in
22 the United Kingdom and the response is four months,
23 but I spent a year and a half in France and six
24 years in Germany. And so what's the combined risk?
25 Does it go over or does it stay under? And

1 obviously I'm teasing a little bit. No one is ever
2 going to be able to do this, but unfortunately one
3 of the questions is going to address this very
4 problem this afternoon.

5 We'll reconvene at 1:30. Yes.

6 Reconvene here at 1:30. Thank you.

7 (Whereupon, the foregoing matter went
8 off the record at 12:42 p.m. and went
9 back on the record at 1:33 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

**

24

25

A F T E R N O O N S E S S I O N

(1:33 p.m.)

DR. BROWN: Is Dr. Christopher Healey in the room? Dr. Healey? Is Kay Gregory in the room? You're Kay Gregory?

MS. GREGORY: Yes. Dr. Molloy is across the hall.

DR. BROWN: Beg pardon.

MS. GREGORY: Dr. Molloy is across the hall.

DR. BROWN: Who? I'm sorry. I can't hear you.

MS. GREGORY: Dr. Molloy is across the hall.

DR. BROWN: Okay. Are you Dr. Molloy?

UNIDENTIFIED PERSON: No.

DR. BROWN: You are not. Is Dr. Molloy here?

DR. MOLLOY: Here.

DR. BROWN: You're Dr. Molloy. I should have recognized you. Dr. McCullough, you had a question about the possibility of infectivity in TSE apart from central nervous system contaminated meat products.

DR. McCULLOUGH: Yes, I wondered if we

1 might be a brief comment on the implications of
2 dairy cattle being infected with TSE and whether
3 this has any implications for the infectivity of
4 dairy products.

5 DR. BROWN: Dave, you might want to
6 answer that. The question is what is the basis for
7 a lack of concern with respect to dairy products
8 since dairy products are the other major consumable
9 for humans from livestock?

10 DR. ASHER: You will notice from Linda
11 Detwiler's presentation that dairy products have
12 never been considered a product other than minimal
13 risk since the beginning of the TSE era, and insofar
14 as I know, unlike the situation with blood, there
15 all of the data are limited. No experimental
16 studies to indicate that milk contains any
17 detectible infectivity. The OIE, the European
18 Commission as well as both the USDA and FDA in the
19 past have expressed no concern about the safety of
20 milk. That having been said, all these decisions
21 always have to be considered interim and should any
22 new data appear consistent with newly recognized
23 risks for milk, it would have to be reevaluated.

24 DR. BROWN: Thank you. Is that
25 satisfactory?

1 DR. McCULLOUGH: I just want to be
2 clear. There is data, right, that shows no
3 infectivity?

4 DR. ASHER: There are a limited number
5 of studies. David Taylor did a study of BSE and, of
6 course, for scrapie one can argue that we don't
7 really know what the perinatal spread, the mechanism
8 for the perinatal spread through milk is, but
9 certainly a mammary gland study by Bill Hedlow years
10 ago was negative, and I'm not aware of any study of
11 milk although again they're limited and has
12 indicated infection and I suppose the potential
13 maternal calf spread until it's convincingly ruled
14 out which hasn't been yet would allow the
15 possibility for there having been a milk-borne
16 spread, but there's a long regulatory history
17 suggesting that milk is of no concern. Again all
18 these decisions have to be considered interim and
19 obviously there are some who have concern about any
20 product coming from a diseases animal.

21 DR. BROWN: In short, there have been
22 studies, all of them negative, in terms of
23 detectible infectivity in milk and perhaps most
24 appropriate or relevant, in two cases of Iatrogenic
25 CJD in pregnant women, the women nursed their

1 children and their children continued to live in
2 good health in one case 25 years after and second,
3 milk from nursing mothers with Kuru, there's been no
4 detectible infectivity in those specimens either.

5 DR. HOLLINGER: Is that like, Paul,
6 there's been no evidence of transmission through
7 blood transfusions either?

8 DR. BROWN: In primates that is true.

9 DR. HOLLINGER: And were the experiments
10 in milk, were they cross-species experiments or were
11 they in the same species?

12 DR. BROWN: Well, we never inoculate
13 humans, but in chimps and moneys, they were negative
14 from humans. So that's about as good as you can get
15 in terms of closeness of species.

16 Is either Dr. Healey or Kay Gregory in
17 the room now?

18 MS. GREGORY: Hi. I'm Kay Gregory.

19 DR. BROWN: Hold on just a second, Ms.
20 Gregory.

21 MS. GREGORY: What I wanted was to ask
22 if I may speak after the afternoon session because I
23 think my comments are more appropriate after you've
24 heard the presentation from the NBDRC.

25 DR. BROWN: Okay. Dr. Healey. Is there

1 any other person in the audience who wishes to make
2 a public statement?

3 In that case, are we ready from Ireland?
4 Fine. Here's Dr. Molloy from the Clinical CJD
5 Surveillance Unit, St. Vincent's Hospital, Dublin,
6 Ireland, and she will talk about the surveillance of
7 variant CJD and the potential human exposure to BSE
8 in the Republic of Ireland.

9 DR. MOLLOY: I'm probably going to
10 overlap on some of the details and maybe clarify
11 some of the issues that were raised in the questions
12 section before lunch. Could I have the next slide
13 please.

14 I'm going to talk first about BSE in
15 Ireland. The first case was identified in 1989 and
16 BSE was made a notifiable disease at that stage, and
17 the action taken at that stage was that all imported
18 meat bone meal from UK was banned. In 1990, all
19 ruminants derived meat bone meal was banned for both
20 sale and use, and there were controls on UK imports.
21 That means that they were prohibited, and then in
22 1996, more legislation was brought in. All
23 previously imported UK livestock was slaughtered.
24 All birth cohorts, that means cattle under six
25 months who were residing on the same farm of cattle

1 that had been diagnosed with BSE were slaughtered
2 and progeny of BSE positive animals were
3 slaughtered. And this was all done in one plant.
4 There was also a ban on ruminant rations in meat
5 bone meal derived from poultry or pigs, and in
6 total, in the last 11 years, we've had 474 cases of
7 BSE. These are mostly indigenous. However, there
8 have been 15 imports. The majority of these have
9 been from the UK or from Northern Ireland. However,
10 it's interesting to note that one was from Denmark
11 who didn't have a case of BSE until this year, and
12 one was from the Netherlands. Next slide please.

13 So what we do if we find that there is a
14 cow with BSE, the herd is quarantined on the basis
15 of clinical suspicion. If BSE cannot be ruled out,
16 the suspect is slaughtered. The brain is then
17 examined and if BSE is confirmed, the entire herd is
18 slaughtered in a special non-export meat factory.
19 The progeny are traced and slaughtered and the birth
20 cohorts are traced and slaughtered. Next slide
21 please.

22 This came up for debate. What exactly
23 is specified risk material? This is the material
24 that's excluded from human and animal food chains
25 and concerns the spleen of sheep and goats, the

1 skull, brain, eyes, tonsils, spinal cord of cattle,
2 sheep and goats over 12 months old. And these are
3 audited at all the rendering plants in Ireland by
4 the Department of Agriculture daily. Next slide
5 please.

6 In the UK and Portugal, they have
7 slightly different legislation regarding SRMs, and
8 this is just from our Department of Agriculture,
9 what we have been told and in the UK and Portugal,
10 SRM includes the entire head excluding the tongue,
11 thymus, spleen, intestines from the duodenum to the
12 rectum, so not including the stomach and cord if the
13 cow is over six months, and also the vertebral
14 column including the dorsal root ganglia of animals
15 over 30 months in the UK and this has recently been
16 changed and over six months in Portugal. Next slide
17 please.

18 So in order to control BSE in Ireland,
19 the main action taken is that there's an antemortem
20 examination of all cattle for clinical signs of BSE.
21 There's the removal of SRM material from the food
22 chain. There's an inactivation of any possible BSE
23 agents in the SRM free offal, and there's hopefully
24 prevention of cross contamination of ruminant
25 feedstuffs with SRM. The aims of these are

1 obviously to remove the possibility of cattle
2 exposure to BSE agents through the contamination of
3 feed and to insure the safety of Irish beef.

4 So what are our figures. In 1995 and up
5 to 1995, the numbers of BSE cases were very small
6 and they were in the teens, below 20. However, in
7 1996, this rose to 73 and this is unexplained. It's
8 not sure whether there was a real increase in
9 instances of BSE or there was a change in the level
10 of recording due to publicity of the disease. Since
11 then numbers have been in the seventies rising to 95
12 in 1999, and in January to March of 1999, last year
13 was 27 cases and this again has risen this year, and
14 it's not sure again, it's thought that this is due
15 to inadvertent exposure to feedstuffs contaminated
16 with BSE agents in the early and mid-1990s. Seeing
17 as the main, more stringent controls were instituted
18 in 1996, it's expected that the instance of BSE will
19 decrease in 2001 at the earliest. So even though
20 our numbers are rising at present, we are expecting
21 that. Next slide please.

22 There's some interesting comparisons to
23 be made between, what can be talked and can't be
24 talked about, without mentioning the north versus
25 the south. The border counties, these are the

1 counties bordering Northern Ireland but actually in
2 the Republic of Ireland, have had a higher incidence
3 of BSE. For example, you can see from the figures
4 demonstrated there that the number of bases of BSE
5 on the border counties have been 69 with an
6 incidence of .84 when taking into account the entire
7 population whereas for the rest of Ireland, there
8 have been 196 cases but when taken into account with
9 the cattle population, the incidence is .31. The
10 border counties are listed there. I have a little
11 map of Ireland just in case anyone isn't sure where
12 we're talking about.

13 So in total from 1989 to 1997, there
14 were 265 cases of BSE infected cattle. These were
15 all cows but one imported bull and they were mostly
16 dairy stock. They were mostly born between ages of
17 1981 to 1994 and the average age was three to 10,
18 and the average was five. Northern Ireland in
19 comparison have had 1,766 cases of BSE in the same
20 period and Great Britain has had 170,885. So even
21 though our numbers are still rising, they're still
22 relatively small.

23 The difference between the Republic and
24 the north of Ireland has been based on different
25 farming practices and this includes using grass for

1 milking and calf production. There's a lower
2 dependency on ruminant-derived protein feeds in calf
3 rearing in the Republic and most of the imported
4 ruminant ration from the north was mainly used in
5 the border counties, explaining the increased
6 incidence of BSE in that area.

7 So from BSE to CJD, in 1996, we started
8 looking at CJD seriously in Ireland. This is in
9 line with the European collaboration which Bob has
10 already mentioned to you. We have some
11 retrospective data on CJD in Ireland dating back to
12 1980. So we feel that we have accurate data for the
13 last 20 years of CJD in Ireland. The retrospective
14 study took place until 1996 and this concerns death
15 certificates and neuropathological data. There are
16 only two neuropathology centers in Ireland, one in
17 Cork and one in Dublin. There were 20 cases
18 identified and there is data available on 15. This
19 gave us an annual mortality of up to 1996 of .31 per
20 million. Next slide please.

21 Here's my little map of Ireland. Okay.
22 These are the border counties here where there is an
23 increased incidence of BSE. However, you can notice
24 that the data on Ireland for CJD. The only
25 predominant area involved is Dublin which is here

1 with 13 cases and that's because it's the most
2 concentration of neurologists really. The
3 population of Ireland is 3.8 million and about 1.5
4 million would live in this area here. In contrast,
5 probably about 1 million live down here but there's
6 been a marked decrease or low number of CJD in that
7 area and the west traditionally is not associated
8 with cattle farming. So that would explain if there
9 was any if there was any link between BSE and CJD,
10 the low numbers there. Next slide please.

11 In 1996, CJD was made a notifiable
12 disease in Ireland and after surveillance was
13 commenced. This was on the basis of a CJD advisory
14 committee which was set up between the Departments
15 of Health and Department of Food and Agriculture.
16 These have monthly meetings. Postmortems are now
17 done in the two centers. It's now been really
18 confined to the Dublin area for CJD surveillance.
19 Next slide.

20 Since 1996, we've had 12 cases of
21 definite CJD all with neuropathological confirmation
22 and data available on 11. There's been one genetic
23 mutation at codon 178 but the rest apart from one
24 have been sporadic. The features of these are
25 atypical of those described as sporadic CJD.

1 The risk factor is two as of the 11. As
2 we know, we have spent a significant amount of time
3 in the UK. One worked in a meat processor and one
4 worked as a leather factory worker.

5 After surveillance began last year in
6 July with the formation of the unit base between the
7 clinical center and the neuropathological center,
8 this is modeled under surveillance unit in Edinburgh
9 and we notified all physicians, neurosurgeons and
10 psychiatrists of the risk factors for CJD. Because
11 there are so few neurologists in Ireland, we felt it
12 important to extend notification of this to all
13 general physicians and psychiatrists.

14 Since last year, we've had 10 referrals,
15 four to the clinical side and six to the
16 neuropathology side. Seven have died. We have two
17 cases of sporadic CJD and there you have a list of
18 the three cases of alternative diagnosis and we had
19 one case of variant who presented clinically last
20 May, in May of 1999.

21 Just a few details about that. It
22 really fits in the bill with the rest of the cases
23 of variant CJD, the one represented from France.
24 She was a 33-year-old mother of two. Presented with
25 pain in the right leg, unsteadiness and depression.

1 Collateral from her husband confirmed the depression
2 and also some psychiatric symptoms. Her past
3 history was unremarkable. The family history was
4 unavailable because she was adopted and she worked
5 as a chef. She was from the Midlands of Ireland
6 plus had significantly been a resident in the UK for
7 six years between 1989 and 1995. She was also a
8 blood donor when she was in the UK and obviously the
9 UK Transfusion Board was notified of this at the
10 time and all the blood products were withdrawn. On
11 examination, she just had features which confirmed
12 the clinical diagnosis of CJD. Next.

13 Investigations were typical as well,
14 were suggestive to with the 14-3-3 protein being
15 positive. The EEG was abnormal. The MRI showed the
16 high signal's abnormalities described in variant
17 CJD. If you show the next slide, it just shows them
18 there, in the pulvinar region of the thalamus. Next
19 slide.

20 : So this data here refers to the CJD
21 surveillance in Ireland over the last 20 years. In
22 1998, we had six cases but we think this is because
23 of a backlog from and suspicion from the other
24 years, but generally overall it's been petering out
25 between one to three per annum of cases which would

1 fit in. Next slide.

2 This is of our BSE, again 1989 the first
3 case diagnosed and then in 1999, 95 cases and again
4 rising figures for this year.

5 So if we combine the data for the CJD in
6 Ireland, we had 32 cases for 20 years. The annual
7 morality worked out at .44 million and we were a bit
8 concerned about this as to whether it was under
9 ascertainment. But on talking to several of the UK
10 surveillance units, they mentioned that there were
11 similar numbers described for Northern Ireland for
12 CJD and there's a regional variation described in
13 the UK. Next slide.

14 Obviously there are problems with the
15 surveillance of CJD which are universal. It's a
16 rare disease and there are uncertainties and have
17 been uncertainties in case definition. They are
18 developing diagnostic techniques and there's a
19 geographical variation and risk factors for CJD
20 particularly with the UK.

21 But in Ireland we have our own problems,
22 next slide, particularly because of public awareness
23 and we have a very agricultural based economy. So
24 it tends to be a little bit of brushing it under the
25 carpet. Obviously the data is all there but it's

1 not liked to be publicized that the Irish beef could
2 be contaminated. And the data that we have is real,
3 and also the diagnosis made by postmortem. There's
4 been a controversy about doing postmortems and
5 retaining organs in Ireland recently. So that will
6 make it more difficult in the future to gain consent
7 for postmortems to confirm the diagnosis of CJD.

8 I have a slide at the end. I don't know
9 if we can show it, it's just a cartoon. Anyway,
10 this basically shows our Tolnista. That's the
11 equivalent of our Deputy Prime Minister or Vice
12 President, and this over here says "Beef heart", a
13 referral to a particular film that was out most
14 recently and basically what he's saying if you want
15 to interpret in the right way, not British beef
16 anyway is what it says. There we go. It refers to
17 mind the border against Sasanach. That's the
18 English. It's a joke. I hope Bob won't take
19 offense.

20 : And the other thing was, one of the
21 details that arose earlier was questions on the
22 annual incidence of BSE in Ireland and I did get
23 some information from one of the documents that I
24 have, which you can show. I don't know if this will
25 help clarify some issues. The annual national

1 incidence confirmed clinical cases of BSE between
2 '95 and '97 expresses a percentage of the cattle
3 population for these various countries. This is the
4 data that we were given from our Department of
5 Agriculture. So for example, in Ireland in 1995, 16
6 cases, incidence .22 per 100,000 of cattle compared
7 to Great Britain, 14,300 cases, incidence of 119.16
8 per 100,000 cattle. I can get that photocopied if
9 anyone wants that. Okay. Thank you.

10 DR. BROWN: Thank you, Dr. Molloy.
11 Before we hear from Dr. Giulivi, I have a question I
12 think maybe for Bob Will. I'm not sure. Yes,
13 again. For what are a heart and lung used from
14 cattle? They're not specified risk materials. What
15 happens to them? Do you know?

16 DR. WILL: I honestly don't know the
17 answer to that question. It may well be people from
18 the agricultural world would know far better than
19 me.

20 DR. BROWN: Linda.

21 DR. DETWILER: I can't speak for Europe
22 but I know in the United States, heart can be edible
23 for human consumption and lungs in the United States
24 are not for human consumption but they most of the
25 time go into pet food or animal feed.

1 DR. BROWN: The reason I ask this is
2 simply because of the demonstration by a number of
3 studies that depending on the method of stunning
4 cattle, brain emboli wind up in both the heart and
5 lungs and as they are not to my surprise today
6 included among specified risk materials, I was
7 curious to know where they do wind up. Bob.

8 DR. ROHWER: If you're going to raise
9 that issue to the extent that brain emboli end up in
10 the heart, they end up in the whole circulatory
11 system because they get there via the aorta.

12 DR. BROWN: Well, actually that's not
13 so, Bob. Experimentally it's not so, and
14 theoretically it wouldn't be so either because they
15 would be going through the venous system from the
16 brain. They can, however, reach the liver and
17 they've been demonstrated to reach the liver through
18 the connection from the portal vein system and the
19 peri-spinal venous system. So under pressure, when
20 you mash'up the brain as is sometimes done, emboli
21 under pressure can reach the liver directly and
22 presumably from the liver elsewhere.

23 DR. ROHWER: I'm not talking about the
24 emboli per se, but just the idea of having mashed
25 brain tissue in the circulatory system in a still

1 beating heart, probably delivers the thing
2 throughout the whole body.

3 DR. BROWN: Yeah, but the circulation is
4 such that in the venous system it comes down the
5 vena cava into the right part of the heart, goes
6 through the lung and is trapped in the lung. That's
7 been the experimental demonstration. So it's
8 conceivable that emboli, very small emboli might
9 escape. The only study to date that's been
10 concluded, two studies actually have looked at
11 muscle in cattle in which emboli have occurred in
12 the lungs and the muscle does not have emboli and in
13 fact, of the studies that have been done, no emboli
14 have ever been described in the peripheral arterial
15 system, the peripheral blood system which isn't to
16 say it might not happen, but the studies to date
17 have failed to demonstrate it.

18 DR. ROHWER: I guess what I consider a
19 more stringent test of this idea would be the actual
20 titer of infectivity in the blood collected from an
21 animal that had been stunned, and I would guess that
22 it would be greater than the titer of infectivity
23 that would be in the blood of an animal that hadn't
24 been stunned.

25 DR. BROWN: Bob.

1 DR. WILL: Could I ask you a question?
2 My understanding was that the type of stun gun that
3 was used had an effect on the chances of
4 embolization. I wonder if you'd like to comment on
5 that.

6 DR. BROWN: Yeah. The embolization has
7 occurred almost exclusively in cattle that have been
8 stunned with an air-injected so-called captive bolt.
9 It's a system by which something that looks like a
10 pistol fires a bolt which penetrates the skull of
11 the cow and stuns it and then one variety of such a
12 bolt, then inoculates or injects compressed air
13 through the bolt that is penetrated which basically
14 makes scrambled eggs of the brain and that has been
15 associated with emboli fairly consistently. The
16 second procedure that's been associated with emboli
17 no matter what kind of captive, well, as long as
18 it's a penetrating bolt gun, sometimes one method is
19 not to use injected air, but then to run what is
20 called a pithing rod which basically does the same
21 thing into the brain and agitate it. So these are
22 the two stunning methods which have been associated
23 with emboli and I understand from Ray Bradley that
24 the air gun is not used in Great Britain but pithing
25 has been a practice and air guns are used elsewhere

1 in the world including the United States and some
2 countries of Europe. Sorry for that diversion, but
3 I have to get things out while I still remember
4 them.

5 Dr. Giulivi, we're going to have a model
6 quantitative assessment of the risk. Actually,
7 Tony, I'm not sure if that shouldn't be a
8 quantitative model assessment rather than a model
9 quantitative assessment. You're prejudging your
10 presentation before it's made, of the risk of
11 variant CJD in Canadian travelers to the UK and
12 France, and this is a very interesting model system
13 as I'm sure you'll agree after you've heard about
14 it.

15 DR. GIULIVI: I'd like to thank the
16 Committee for inviting me and I'll have the first
17 slide.

18 What's happening in Canada is what's
19 happening in the United States. We're assessing the
20 risk of variant CJD now for France and we'll get
21 into the story more why we're doing that for both
22 the Canadian travelers to France and the UK and then
23 for the blood supply.

24 Now we have not come to a conclusion or
25 anything. We're still in the process of evaluating

1 a lot of data and bringing that date forward. Next
2 slide.

3 One note is that the risk assessment
4 that you'll be seeing here is a little bit different
5 from what we presented in March and Dr. Brown was
6 the Chairman of that Committee. Under that
7 Committee's recommendation, they proposed that maybe
8 we should do two models and see if the predictions
9 of the number of travelers coming down with the
10 disease, the probability of coming down with the
11 disease, and the prediction of how many people in
12 France are coming down with the disease, compare to
13 each other and then use that model for the blood
14 supply, and that's what we have done, and you'll see
15 that. Next slide.

16 Just an introduction and I don't want to
17 go through all of this, but Health Canada Policy
18 which is done by our regulators, we function as a
19 risk assessment surveillance group, LCDC. The
20 regulator people will function as a regulation
21 policy. When they have to do a risk assessment,
22 sometimes they come to us because we have the
23 surveillance part of that activity, and we will
24 assess as a third party independently and being a
25 forward to a committee which is called the BTOX

1 Committee which is addressed by our regulator,
2 present that data to them. Then they bring it
3 forward for policy development, if they agree or not
4 agree with the data.

5 Now they're the ones that asked us
6 because of the situation in France to reassess our
7 risk assessment that we done before this which was
8 the UK and reassess for France and now we're
9 reassessing for most of the countries in Europe.
10 Because of what you have heard this morning, we're
11 going through the same exercise in getting data and
12 assessing using our models. Next slide.

13 So when we say a comprehensive review of
14 risk, we have divided our risk assessments into
15 internal and external and internal is the domestic
16 cases and food imports into Canada first. So we're
17 looking at what we have imported from outside to
18 inside, what's the risk of that compared to what
19 they are to risk because we have to know if we did
20 import or not import, and that took time to get all
21 the information. We had one cow imported that came
22 down with CJD out of the 200 and all of them were
23 slaughtered and it was originally from the UK. I
24 think it was 400. Yeah, one in 400. And we had
25 some lawfuls coming in, but the amount of losses

1 you'll see in the following slides are very, very
2 little.

3 Then we looked at the travel of BSE
4 countries. Our Canadians travel to Canada and to
5 UK, assessing the risk of traveling to the UK,
6 assessing the risk for that. Then for the question
7 on do we add the deferral or not as a risk
8 assessment, so we're looking at detailed forecasting
9 of using BSE and CJD cases and basing on that
10 forecast, we're predicting certain numbers and that
11 will move forward. We're also looking at the impact
12 of blood supply and that is going to be done like it
13 has been done in the past by the blood system, Hema-
14 Quebec and CBS and they have information there, and
15 then we're looking at other countries. Next slide.

16 For the impact of the blood supply,
17 Hema-Quebec and CBS and you'll hear from them later
18 on, their data, what we did is we received their
19 data and we just combined the data to represent as
20 one, and we took the higher numbers and I'll explain
21 that when we get there, the higher numbers. Like
22 there's two sets of data and CBS has a certain set,
23 Hema-Quebec has a certain set and you have a range.
24 To be prudent, we just took the higher range.

25 The travel length assignment and the

1 risk percentage was done by Hema-Quebec and CBS, but
2 the travel by length of stay was an assumption that
3 we had to get from Stats Canada, and then CBS, the
4 blood supply, CBS is doing a residual risk of
5 recruiting donors and we're looking at the impact of
6 residual risk of recruiting new donors and how
7 that's a true risk. If you're losing donors, you
8 have to recruit these donors and what's the true
9 risk of certain other viruses and that's going to be
10 in a collaboration with the blood system and also
11 with other hospitals. And this is a study that I
12 have with about six hospitals. The probability of
13 death from shortage of blood supply in the ICU and
14 trauma. Next slide.

15 The Blood Borne Pathogens Division and
16 you'll see there the two now going to the tables has
17 undertaken this type of analysis or scenario
18 analysis and Monte Carlo Simulation, and I'll go
19 into that more later on. The factors that we
20 included is our model takes into consideration the
21 number of variant CJD in France and in UK, the
22 estimated number of slaughtered BSE infected cattle
23 and the infectiousness of the bovine tissue. These
24 are all hypothesis but especially this one. This is
25 data got from surveillance. And then we used other

1 available information to use various scenarios to
2 estimate the theoretical risks and this is my waiver
3 for Canada is that "this work demonstrates the
4 extent of current uncertainty" right now, and
5 caution must be used to interpret the results which,
6 you know, we are doing that, but at least we're
7 getting some numbers. Next slide.

8 So the first method that you heard about
9 was presented to our TSE Advisory group and it was
10 divided into two parts. One part is this part here
11 which is using Monte Carlo and a technique called
12 Markhov Chains Analysis and it's based one important
13 fact, based on the numbers of CJD in France and in
14 UK. So that's 61 or 60 whatever it was at that
15 time, was 61 people in the UK and we took into
16 consideration at that time the third case in France.
17 And we had to use it as a proxy and exposure rate.
18 Now these numbers are low and in theory we could do
19 that because the numbers are low, and then did an
20 analysis of that. We also then used the estimation
21 of risk in France as we did with the UK based on the
22 cattle exposure or the portion of food lawfuls that
23 went into France and that was easy because we got it
24 from the French surveillance group confirmed. We
25 were doing it separate but, you know, we got the

1 same figures of 10 percent and so on. So we were
2 happy that we got the same results and we just
3 reported here. This analysis method too is
4 different from theirs and this is using a study that
5 was conducted by Wellcome Trust using these numbers
6 of estimated BSE cattle and using the number of
7 infectivity agent from that study, and that's a
8 study based analysis with using a Monte Carlo
9 Analysis after that. We did that to compare if we
10 were going to get the same numbers of prediction of
11 people coming down with the disease in France, the
12 probability of the people coming down, our Canadian
13 travel, and they were comparable. What we predicted
14 here at the end of this year on using this model and
15 we take and considered the genetic, you know, math
16 and so on, and these are all hypothesis in that we
17 assume that there should be five cases by the end of
18 the year and plus or minus two. What we predicted
19 from this is about six plus or minus three. So
20 they're corresponding to each other and that number
21 three, they're probably increasing in France, it
22 seems that it is true. So our model does reflect
23 that. We're predicting nine cases in France by the
24 year 2003 I think it is, another extra nine cases,
25 and in that predict about 10. And then it slopes

1 down and what we're predicting for the UK is that
2 this 10 or 12 cases per year which is obvious
3 because we're using those numbers, so predict what
4 is happening. Next slide.

5 So as I stated before, this is the
6 available information we've received and what was
7 similar for France, what was unlike with the
8 consumption. Next slide.

9 And at that time we were working with
10 figures of 52 with 9 probable cases and that's
11 700,000 Canadian visits per year to the UK mostly
12 from big cities and approximately, this is the 100
13 percent of beef, that's not the 100 percent of all
14 beef, that's, you know, the beef was contaminated.
15 That's what I mean by 100 percent and that the
16 number of diagnosed cattle we used. Next slide.

17 We used these figures from France, two
18 and this probably case. This figure of 350,000
19 Canadians visiting to France we got from Stats
20 Canada. Stats Canada has a surveillance program for
21 travelers and I think it's used for income tax
22 purposes, that when you step into Canada, they give
23 you I think it's one in 100 person that steps in the
24 border, they give you this survey to fill out and
25 that's how we got that information going back 10

1 years and that's approximately per year. This comes
2 from various resources that confirm each other from
3 the French Embassy, from France surveillance group,
4 from our own internal food people and this also from
5 our internal food people. Next slide.

6 This is where in the model we make major
7 hypothesis. The incubation period is unknown. So
8 we ignored that. We said forget about it. We don't
9 even consider it. We do the model without that.

10 The minimal dose and, you know, the
11 cumulative dose, we don't know that. So we just say
12 this is roulette type game and we made a hypothesis
13 that it is based on a chance.

14 The distribution, we did not know so we
15 had to make assumptions there and this is another
16 thing that we don't know about. So we had to make
17 the assumptions that they're equal. Next slide.

18 The infective agent is assumed to infect
19 all ages and genders alike, a certain age group, and
20 that it is similar from BSE, both from France and
21 from Britain. These are all assumptions that went
22 through out TSE Advisory Committee in March and
23 there was a lot of discussions on that and this is
24 the consensus of what was happening. Next slide.

25 The travel pattern and dietary remains

1 constant over time. That's another assumption. The
2 other assumption that we made that you have one meal
3 containing a specific risk during that time period.
4 We made that assumption, and this is the
5 export/import related between the countries and, you
6 know, British exposure, contamination is 10 times
7 higher than the French. Next slide.

8 Then we looked at our imports to Canada
9 and to see if we have an internal risk, a
10 theoretical and internal risk. This is how much we
11 imported in kilos, not in pounds, but kilos, during
12 this time period and that's total meats. That's
13 offals, processed meats, cattle and so on. These
14 are the imports from each country. We could not get
15 anything from Portugal. It was very hard to get
16 anything at all and we still have nothing. We're
17 about 90 percent self-sufficient. The rest, 10
18 percent, comes from elsewhere and most of that comes
19 from the United States. And then you can see from
20 the United Kingdom that 0.02 and .007. This
21 includes all meats. So when you just look at the
22 lawfals or the high risk meats during that time, it
23 was like 0.002. It was very low. It was only about
24 100,000 kilograms, something like that over that
25 period of time. Next slide.

1 So then what we did is using the model
2 and using both models and averaging out, the
3 prediction of a person traveling with length of time
4 and the prediction of that person coming down, being
5 exposed and exposure for us means disease, okay, and
6 even though it's not true, we took that. And you
7 can see the difference between UK and France and the
8 ratio here is 16 times difference, is 30 times
9 difference and here's 20 times difference. Why we
10 did this is because we're looking at, if we extend
11 the policy or do an assessment and bring this
12 forward to the regulator, what we felt important is
13 the additive effect of introducing a policy, you
14 know, is it 16 times more or 16 times less versus
15 the true risk of introducing a low blood supply
16 versus infections. So these numbers are important
17 because that's the relationship. Next slide.

18 So if you look at it in percentiles and
19 you look at UK, one month stay for us is 5 in 10
20 million, the probability of acquiring the disease,
21 six months three and so on. We have predicted
22 because we have quite a few travelers from the age
23 of 14 to 40 in certain big cities like Toronto, that
24 with our surveillance program, we have predicted
25 that we should see one person in Canada with variant

1 CJD because of the travel through the UK within the
2 year, within the 10 to 12 months, and that has, you
3 know, that information has moved up the line. It's
4 a lot about travel and the cumulative risks of
5 staying there, something like the risk that you just
6 heard from Ireland. Next slide.

7 When you compare that to France, you can
8 see it's much, much lower, okay. In theory, it's
9 much, much lower. Next slide.

10 This data, what we did like I said comes
11 from CBS and Hema-Quebec. We just combined the data
12 and took the highest number to be prudent and CBS
13 and Hema-Quebec will review this, I don't want to
14 get into details, just what we're looking at here is
15 reduction. If we do put a policy or recommend
16 something, what is the reduction of risk and then
17 factor in that thing, that number, probability that
18 I said one in 16 and so on, into that, and then
19 factor in the true risk of blood supply and
20 infectious disease. So that's the procedure we're
21 moving forward in LCDC, to move forward this type
22 plan. Next slide.

23 This is for France. Next slide.

24 And so in summary, like I said, we
25 haven't drawn the conclusion. We're just doing this

1 risk assessment to move forward to the regulators.
2 Any decision in my of view has to account the
3 relative risk and true risks versus the blood supply
4 giving those numbers in our models. Thank you.

5 DR. BROWN: Thank you, Dr. Giulivi. We
6 have now a shed of three papers which will conclude
7 our education this afternoon. The first is a
8 reanalysis of U.S. blood donors particularly with
9 respect to European travel outside the UK. The
10 second will be the implementation and effect of such
11 deferral policies on the U.S. blood supply, and the
12 third is the effect of implementation with respect
13 to UK deferral data. The first presentation is by
14 Dr. Watanabe from the WESTAT, INC. in Rockville.

15 DR. WATANABE: I'm representing the
16 American Red Cross ARCNET and the NHLBI REDS project
17 and in cooperation with AABB and ABC.

18 As you've seen in Alan's talk last year,
19 he listed all the blood centers that participated.

20 : The travel survey objectives included
21 these two main points. The first one, that the
22 survey was designed to estimate U.S. donor travel in
23 United Kingdom and Republic of Ireland for defined
24 periods in 1980 to 1989 and 1990 to 1996, and to
25 also look at associations between travel in the UK

1 and donation variables such as first time, gender,
2 education.

3 The survey design, it was not designed
4 to measure non-UK BSE exposure. There was
5 disagreement as to whether this information should
6 be collected.

7 The travel survey methods, it was a
8 random survey, random sample of donations in
9 December of '98 and January of 1999. We sent out
10 19,000 anonymous OPSCAN forms and it included a
11 single mailing with a cover letter. We didn't send
12 a second mailing out or anything subsequent to that.
13 The data I'm presenting today is an update to what
14 Alan presented last year. It's current up to July
15 20 of 1999. Approximately 50 percent of the people
16 who received the questionnaires responded.

17 The travel survey as you seen last year
18 included demographic characteristics, donation
19 history and travel and residence in the UK which
20 included Ireland and it also included a kind of
21 broad question about travel in non-UK BSE countries.
22 It also included beef ingestion while in the UK and
23 overall in the past year and it also included
24 deferrable risk estimates.

25 On the questionnaire the question about

1 non-UK BSE was stated, did you travel to or live
2 elsewhere in Europe? And it listed all these
3 countries, and the donor had the option of marking
4 one or all of the countries. And if they did answer
5 yes to these countries, then it broke down the two
6 time periods again.

7 From Alan's talk last year, these are
8 the numbers or pretty close to the numbers that he
9 presented last year where for the entire period 22.8
10 percent of the donors had traveled or resided in the
11 UK. From our analysis now, we've looked at also
12 overall the non-UK BSE travel prevalence and it's
13 23.7 percent. The combined travel prevalence for
14 these donors is 32.1 percent. Of this 32.1 percent,
15 14.4 percent of the donors traveled to both the UK
16 and non-UK BSE countries.

17 Now addressing the question of France,
18 for the entire period there are 27.5 percent that
19 indicated that they had traveled or resided in
20 France and the UK. Looking at France alone without
21 the UK was 4.7 percent.

22 Now just looking at the crude prevalence
23 by country, non-UK BSE countries, it ranged from
24 15.6 percent to 2.2 percent for Portugal.

25 Because the questionnaire was not

1 designed for non-UK BSE countries, it was difficult
2 to tease out any specific country especially if a
3 donor answered more than one country on that open-
4 ended question. In the questionnaire, there were
5 approximately 1,500 who indicated that they traveled
6 or lived in France. Of those, 70 percent also
7 traveled or lived in the UK, and of the 1,039 who
8 traveled or lived in the UK and France, 72 percent
9 of them also traveled or lived in one or more of the
10 other BSE countries. Of the 447 who traveled or
11 lived in France but not in the UK, 58 percent of
12 them also traveled or lived in one of the other BSE
13 countries.

14 Now this graph is the same thing that
15 Alan presented last year, and it shows that two
16 percent that responded that they had traveled or
17 lived in the UK five to eight months. Alan had also
18 presented a table showing these numbers are adjusted
19 prevalence for first time and repeat donor response
20 to the questionnaire, and he came up with lost units
21 and this 2.2 percent is what is often quoted in the
22 literature and from Alan's talk.

23 Now I looked at all non-UK BSE countries
24 and the prevalence using the same duration of travel
25 or residence, and for non-UK BSE countries at about

1 one month, there's 21.2 percent; at six months, 4.8
2 percent; and at about a year, it's 2.9 percent.

3 Now when I looked at donors who
4 indicated they went to the UK and/or non-UK BSE
5 countries, the prevalence then becomes 27.7 percent
6 for about a month; 7.2 percent for six months; and
7 3.9 percent for one year.

8 When travelers went to Europe, they
9 didn't just go to one specific area. They often
10 went to many different countries especially looking
11 at the France data, 70 percent or more went to other
12 countries non-UK BSE countries. I tried to come up
13 with an estimate based on 10 percent. What I did is
14 taking the UK data, I took 10 percent of the total
15 non-UK BSE cases in each interval and added them to
16 the UK cases at each interval to come up with, this
17 is just a very rough estimate of taking 10 percent
18 for France. At one month it was 13.4 percent. At
19 six months, it was 2.6 percent and at one year, 1.5
20 percent.

21 This graph is just basically showing
22 what two or three of the previous tables had, the
23 data that it had. Looking at about a month, this is
24 a difference. The first bar is UK only, the second
25 bar is UK and France, and the third bar is UK and

1 non-UK BSE combined. This here is one month, this
2 is six months, five to eight months, and one to two
3 years is here.

4 Now from Alan's talk last year, he also
5 came up with not only the 2.2 percent of blood
6 supply loss if you took a duration of six months,
7 but also 86 percent of the person days at risk would
8 be captured at six months. I also applied that to
9 the total non-UK BSE cumulative person days at risk
10 and it's 88.4 percent.

11 I'd like to thank my collaborators and
12 the PIs at the other blood centers. Thank you.

13 DR. BROWN: Thank you very much, Mr.
14 Watanabe. Dr. McCurdy from the National Heart, Lung
15 and Blood Institute, the National Institute of
16 Health, will now tell us about the implementation
17 and effect of recent changes in deferral policy on
18 the U.S. blood supply. Dr. McCurdy.

19 DR. MCCURDY: Thank you, Paul. Starting
20 a bit more than a year ago, as a result of some
21 decisions that were being made and expected to be
22 made regarding the deferral of blood donors, a
23 strong recommendation was made that some means of
24 surveying the blood supply to detect shortages, it
25 was hoped that there might be trends that would

1 detect shortages before they occurred, but at least
2 to have some data. The Heart, Lung and Blood
3 Institute arranged with the National Blood Data
4 Resources Center to collect data from a sample of
5 blood centers around the country and will shortly
6 begin to collect data from a sample of hospital
7 transfusion services to fulfill that interest in
8 seeing what the blood supply was.

9 Data began to be collected in October,
10 was made available to us at the NHLBI sometime in
11 January and we've been collecting monthly data since
12 that time. Monthly data was specified rather than
13 annual or semiannual because we felt that it was
14 necessary to look at seasonality and other aspects
15 of the available blood supply. Can I have the next
16 slide please?

17 There were several sampling strategies
18 that were looked at. The one that was selected had
19 a larger proportion of cities because our major
20 purpose was to detect shortages. It is likely,
21 however, the way the samples were collected that
22 they are representative of the country. There were
23 some blood centers who were not able to participate
24 and the final sample was 26. Ms. Sullivan will
25 discuss some of this in greater detail when I