

1 | pancreas or bone marrow, for example.

2 |           The immunologic issues are different. The host  
3 | milieu issues are different with advanced diseases. And  
4 | the mechanisms of functions are completely uncharacterized  
5 | in many cases or may be a moving target and multifactorial.  
6 | So, I really do think there are a lot of differences from  
7 | that point of view.

8 |           DR. SALOMON: John, on point, and then Jay.

9 |           DR. TROJANOWSKI: After listening to  
10 | yesterday's discussions and then the questions today, all  
11 | of which I think have been very valuable to air, I think  
12 | what we're talking about is a road map for how to proceed  
13 | in the future.

14 |           I would suggest that we may already have a  
15 | rudimentary road map in the studies that Curt Freed  
16 | presented, that Tom Freeman and Jeff Kordower presented. I  
17 | mean, they have gone forward and done studies that are not  
18 | unmitigated disasters. In fact, I would say the positive  
19 | effects outweigh the negative. If we could use their  
20 | guidelines for going into clinical trials as benchmarks for  
21 | other clinical trials, we would be able to move ahead more  
22 | quickly with guidelines.

23 |           I am aware that the FDA has approved clinical  
24 | trials for laboratory-grown cells. I don't know all of  
25 | what they have allowed to go forward, but if you were able

1 | to tell us, without naming names and so forth, where  
2 | problems arose because you didn't have specific data sets,  
3 | that too would help I think shape and focus what may be a  
4 | very, very good rudimentary road map.

5 |           The only thing I would finally add is that,  
6 | remember, we're not talking about treating headaches.  
7 | We're talking about treating diseases that have no  
8 | therapies, and individuals who have no life to look forward  
9 | to. It doesn't mean we should be cavalier, but I think  
10 | there is a sense of urgency that we all should feel in our  
11 | bones.

12 |           DR. SALOMON: Jay.

13 |           DR. SIEGEL: I am pleased to see Don is ready  
14 | to get on to the specifics. I think that is what will help  
15 | us the most. I am going to make three very short comments  
16 | that I think will help set the stage for that.

17 |           One is, the agency has shared through guidance  
18 | documents quite a bit regarding our experience with cell  
19 | therapies in general, and those are available through our  
20 | web site.

21 |           Secondly, I do agree with Hugh's point about  
22 | this issue of what you can accomplish in the general and in  
23 | the specific. So, as we move ahead I hope we'll talk about  
24 | general principles, but clearly many things will be  
25 | different for different cell types. To the extent that

1 | time permits and that there is enough known about those  
2 | differences, it would be nice to bring those out and talk  
3 | about specific issues regarding specific cell types.

4 |           Thirdly and finally, another nonscientific  
5 | perspective on the difference between this and bone marrow  
6 | transplantation, or only partially scientific and somewhat  
7 | more regulatory, to think about is that for many of the  
8 | products we are talking about here, unlike typically for,  
9 | say, bone marrow transplant or many of the other cellular  
10 | products that we've dealt with over the years, including  
11 | blood products, there is the potential for there to be a  
12 | single allogeneic donor and tremendous expansion in  
13 | commercialization, in a sense, of large numbers of like  
14 | vials of virtually the same product going into the same  
15 | patients.

16 |           And I think Dr. Champlin spoke to the issues in  
17 | controls in bone marrow transplantation, and if you've  
18 | obliterated somebody's marrow and you thaw allogeneic cells  
19 | and they're not quite the quality you want, you think twice  
20 | before you throw them out, but the issues may be different  
21 | simply because of the directions of the ability to expand  
22 | the use of allogeneic cells and the commercialization.  
23 | That will come to bear in thinking about what are  
24 | appropriate product and process controls.

25 |           In those settings at least, there's more

1 flexibility to say, this lot isn't quite right. Let's grow  
2 up another lot because this one has lower viability than  
3 the last three or four. There's more flexibility, for  
4 example.

5 DR. SALOMON: Michael.

6 DR. WALKER: Yesterday was an extraordinary  
7 day, I think, of remarkable science. That science is going  
8 to keep investigators going for at least the next decade.  
9 I don't think the clinical things can wait. Nor should  
10 clinical things necessarily yet be overly influenced by the  
11 concerns and the important scientific questions that we  
12 pose to the laboratory.

13 To that end, I think really what we're speaking  
14 about is the incredibly complex issue, with the vast  
15 unknown that we have to deal with. But we have to deal  
16 with it right now, and it really comes down to, what would  
17 the prudent investigator want to know at this juncture,  
18 with the available data at this juncture in order to  
19 proceed forward.

20 DR. SALOMON: In neurological disease.

21 DR. WALKER: In neurological diseases. And I  
22 think the discussion as to whether the brain is different  
23 or not, we really shouldn't be discussing because it is at  
24 least perceived by a lot of people as being different.

25 (Laughter.)

1 DR. WALKER:

2 DR. SALOMON: I think we'll warrant that it is  
3 different.

4 Rusty, okay.

5 DR. GAGE: I'd just like to reiterate the point  
6 that we're talking about stem cells, and it's an essential  
7 component of the transplantation in bone marrow, that you  
8 have a stem cell. We do not know whether or not stem cells  
9 are a requirement for the transplant procedures of anything  
10 that we've talked about. Stem cells have a property that  
11 may be useful in the propagation of the cells, but we have  
12 no idea whether or not a stem cell is a requirement for the  
13 actual transplantation of the cells and for its viability  
14 and its function. I hope that we can keep that in mind in  
15 perhaps the separation of the manufacturing and propagation  
16 of the cells as perhaps stem cell quality and the actual  
17 product that goes into the patient, which may be something  
18 required, very different from that which you're actually  
19 manufacturing.

20 DR. SALOMON: I was hoping you would make that  
21 point. So, that's a good lead-in right now into where I  
22 want to go here. So, just to set some parameters here, we  
23 are going to go till 12:30. That is one hour on this. If  
24 we can get done before that, which I can't imagine, but if  
25 we can, that would be great. But at 12:30 it ends and we

1 will go on to the second part in the afternoon.

2           So, the first question is on human stem cell  
3 sources. I understand that Dr. Fink is going to put the  
4 bullet points up here. I am not that hung up on these  
5 bullet points, but they are discussion points.

6           So, the first question is, I think, obvious to  
7 everyone, and that is, what sources are going to be  
8 appropriate for these early trials? How far afield are you  
9 going to go with, let's say, some of the first studies  
10 versus how far afield are you willing to go as the field  
11 evolves? I think the latter isn't that important right now  
12 because I think without some proof of efficacy it's really  
13 sort of a non-issue.

14           So, I think what we ought to do is just take a  
15 minute to consider, in the expertise of the group here,  
16 what's practical. I heard somebody say they were going to  
17 go up and actually take a piece of brain from somebody and  
18 process cells out of it. I mean, I need a reality check on  
19 that from my field. That's a hell of a something to do.  
20 But this person was very serious about that, and I am not  
21 in any way trying to make light of that. It may be  
22 something that in neurobiology is okay. So, you guys have  
23 got to give us some framework here.

24           Fetal tissues, embryonic stem cells, et cetera.  
25 So, can we start with that, comments along that line? Short.

1 DR. KOLIATSOS: An obvious thing that comes to  
2 mind is that most of those tissues come from sick brains.  
3 So, although they come in the neighborhood of illness, they  
4 have epilepsy, which propagates beyond the site of origin,  
5 and I would be very much concerned about the pathology  
6 inherent in these tissues. So, in my mind, I think there's  
7 not a way to go about it by going to embryos.

8 DR. SALOMON: So, you would take the position  
9 that in the first phase of trials, which is the only thing  
10 that we really can advise the FDA to do because the shape  
11 of things change anyway as things go on, you can't see  
12 allowing someone to go and take a piece of adult brain in a  
13 diseased state.

14 How about anyone else on that comment?

15 DR. MULLIGAN: I would take the completely  
16 different point of view that it's fruitless to actually  
17 begin to pick a particular cell line or primary cell  
18 culture. I think the point was made yesterday that  
19 everyone has their own favorite source of tissue. There's  
20 never going to be any good comparison, which there ought to  
21 be, but there will never be such a thing. And so, I think  
22 even to kind of move ahead quickly, I would almost say we  
23 should defer that issue. I don't think we're ever going to  
24 come to any consensus about whether one person's source is  
25 more appropriate than another's because it depends

1 completely on the application. There may be cases where,  
2 using epileptic derived stem cells, someone will show that  
3 that has a better effect in terms of growth in some tissue.

4 I would put it, if I can jump just a second to  
5 the issue of purity of these cells, that one thing the FDA  
6 definitely wants to know is how do we define purity. This  
7 is what I think is the most key issue, what is different  
8 about these cells. Just to stir up some things, I would  
9 say that it's impossible that you'll ever be able to find a  
10 pure population of these because biologically, certainly  
11 one way it may work is that these cells are undergoing  
12 amazingly sophisticated transitions, even in culture. So,  
13 people that think, like Evan, that because he has cloned  
14 out cells and amplified them that they are more pure than  
15 cultures of RSP cells, I think that that's going to be the  
16 difficulty.

17 So, we have to think practically, that when we  
18 think of purity, that we can't be thinking in a way that  
19 people think about a compound.

20 DR. SALOMON: Okay, I wanted to clarify one  
21 thing, Richard. There is no desire, certainly on my part,  
22 to achieve consensus on anything today. If we naturally  
23 fall into consensus, that's fine. We're not taking any  
24 votes. We can't take any votes, not even spirit of the  
25 committee votes.



1           So, what I want on this topic is merely what  
2 you did initially, and that was to say you don't think that  
3 you can do this. I mean, just everyone should have a right  
4 to pick whatever brain source, come forward with their data  
5 and defend it. That's your opinion and that is fine.

6           So, I just want from the experts these kind of  
7 statements, and don't worry about. We're not going to  
8 argue about consensus, but I wanted to give the FDA as  
9 broad a sense of at least the experts on this committee of  
10 where you're willing to go and what you're willing to  
11 consider.

12           DR. RAO: I agree that we cannot say which  
13 source of cell you use or what is your favorite choice.  
14 But what we should try and emphasize is consistency, and  
15 that is that if you are using a source, you should know  
16 something about your source and you should be able to type  
17 it in some manner or profile it in some manner that you can  
18 say, this is --

19           DR. SALOMON: We'll get to that next, source  
20 control. What I need are comments specifically on sources.

21           DR. SIEGEL: I guess, if you would look at the  
22 questions, what we're trying to get here is not, you know,  
23 whether one should use live human donor or embryonic,  
24 gonadal. But is the issues, you know, well, I think there  
25 would probably be consensus here you shouldn't use and HIV-

1 | infected source. What are the other, you know -- whether  
2 | it's Parkinson's or not. But what are the other issues  
3 | here? Should there be genetic screening for, say, prion,  
4 | TSE risk or other -- I'm not a neurologist. I'm not even  
5 | sure there are others here who could word the question  
6 | better.

7 | DR. SALOMON: Jay, we'll get to that.

8 | DR. SIEGEL: I thought that's where we were.

9 | DR. SALOMON: I'm taking a little latitude on  
10 | the part of the chairman just to get a quick sense because  
11 | yesterday there were sources mentioned from such a wide  
12 | variety, I was just curious what the response to this  
13 | question would be. It doesn't have to take too much  
14 | longer.

15 | DR. SNYDER: Well, whatever the source is, it  
16 | should probably be well-suited for what the clinical trial  
17 | is going to be for the particular disease. So, knowing  
18 | perhaps what you are trying to achieve will then dictate  
19 | what the logical source would be.

20 | Then the second point, whatever the source is,  
21 | it should at least be very well understood what its biology  
22 | is. I am not talking about safety concerns about that.  
23 | Just understanding what you would expect these cells to do,  
24 | what your knowledge of the cells is. It should be well  
25 | understood. Which may mean not just for the first time

1 pulling out cells, putting them in a dish and saying, this  
2 is what we are going to use. It should be well studied  
3 before going into a clinical trial.

4 DR. SALOMON: Are there any other comments on  
5 that?

6 DR. WALKER: I'd agree with Evan, and I'd put  
7 one other point in on that, that since we don't know how to  
8 fully characterize them. We should also, in some fashion,  
9 bank some of that source as future questions come up, that  
10 we can then go back and address those issues.

11 DR. SALOMON: So, what I'm hearing at this  
12 point is fine, is that there isn't a sense that there is  
13 anything off the table at this early point in stem cells  
14 and neural cell transplantation. I think that's fine.  
15 That's the question that I was asking. To the extent that  
16 there's nothing off the table, it's also a message to  
17 scientists and companies in the field, that if they've got  
18 a sensible path to defend a specific source, then they will  
19 fulfill some of the issues we're going to talk about next.

20 DR. GOLDMAN: To put something on the table  
21 with respect to Dr. Koliatsos' question or remark. I don't  
22 know that it's fair at this point to rule out any given  
23 source, including the adult ventricular zone, even from an  
24 epileptic, given our absence of evidence that there's  
25 anything different about that stem cell population or

1 | defined progenitor cell population.

2 |           Secondly, I can envisage clinical scenarios  
3 | where autograft would be reasonable, thinking in terms of  
4 | nondominant hemispheric biopsy for extraction and  
5 | implantation into small dominant hemispheric subcortical  
6 | infarcts, by way of example. So, I don't know that I would  
7 | rule out clinical scenarios either.

8 |           But again, by way of putting something on the  
9 | table, we discussed yesterday adult sources, we discussed  
10 | early fetal sources, but mid-gestational or late  
11 | gestational fetal sources are actually much richer and much  
12 | more abundant sources of the same types of multipotential  
13 | progenitors that we've all been talking about in terms of  
14 | cell therapy. That's something that was not addressed in  
15 | the course of these two days.

16 |           The same types of cells that we see in the  
17 | adult ventricular zone we can get many, many-fold greater  
18 | numbers out of late second trimester embryos. That's  
19 | something that's out of NIH jurisdiction but it's something  
20 | that may well be an appropriate consideration here.

21 |           DR. SALOMON: Again, I think one of the things  
22 | that we are not going to get into is the ethical issues,  
23 | just because it's not our purview. I didn't think you were  
24 | posing an ethical issue. I am just saying --

25 |           DR. GOLDMAN: I am trying to divorce it from

1 the ethics.

2 DR. SALOMON: So, as long as we, again, in  
3 general, are sending the message that if a source is well-  
4 characterized and fulfills the rest of the things that  
5 we'll get into hopefully now, then a specific source isn't  
6 off the table.

7 DR. KOLIATSOS: Can I respond to that, Dr.  
8 Salomon?

9 DR. SALOMON: Yes.

10 DR. KOLIATSOS: The way that the chair  
11 addressed the question was not ruling in or out. It was a  
12 chance of coming up with what we all think of as a  
13 homogeneous source. It seems to me that upon the  
14 complexity of this cellular sample, you add the complexity  
15 of disease. You do know that growth factors are in very  
16 different arrangement, including BDNF, FGF perhaps, or the  
17 neurotrophins in the epileptic brains, especially in the  
18 temporal lobe. So, these tissues have a different trophic  
19 profile to begin with.

20 It seems to me, talking about probabilities of  
21 coming up with a homogeneous source of cells, this would be  
22 second in my order of priority. It's not ruling in or out.  
23 It's just my sense of what would probably give you a more  
24 homogeneous and well-controlled, propagated over time cell  
25 line.

1 DR. SALOMON: Good point.

2 Hugh?

3 DR. AUCHINCLOSS: I was going to suggest we  
4 come to the questions.

5 DR. SALOMON: Thank you for your suggestion.

6 DR. AUCHINCLOSS: So, I was going to address  
7 the first four.

8 DR. RAO: Just one point, and that's just  
9 simply that this deposition of a reference aliquot that Dr.  
10 Walker made to of keeping cells is really quite critical,  
11 and that we do have an opportunity to do that, which we  
12 haven't done with other cells. We should consider that as  
13 a generalized requirement.

14 DR. SALOMON: Now I'm happy, so let's go to the  
15 questions.

16 DR. AUCHINCLOSS: I wanted to address A, B, C,  
17 D, with two questions. I started with the question when I  
18 read A, B, C, D by saying to myself, why wouldn't you  
19 assume that what we do for blood donors is perfectly for  
20 what you would do for "stem cell donors." So, that's a  
21 question.

22 Then the second question came about as a result  
23 of what you just said, Jay, when you said, well, you know,  
24 it is a matter of scale. One blood transfusion from one  
25 donor, but this is going to be a pot of a million vials

1 that could treat, you know, the whole population.

2 But it seems to me that a special question  
3 enters in, so here is the second question. How much of the  
4 kind of testing that you mentioned here, say for HIV, or  
5 for the genetic defects that might be there from the donor,  
6 can you actually do on the sample that you have, rather  
7 than needing to go back to the potential donor? Can you  
8 solve that problem with the tissue at hand rather than by  
9 the source?

10 DR. SIEGEL: I think for both of your  
11 questions, including, why shouldn't it be the same for bone  
12 marrow, I would rather hear from the committee than try to  
13 answer those myself.

14 DR. SALOMON: So, why don't we get the  
15 committee to start. The first issue is, are existing  
16 standards for blood banking and/or organ transplantation  
17 appropriate for this new field of neural cell, neural stem  
18 cell transplantation?

19 I'm trying to think about how to frame this.  
20 So, there are going to be cell lines. There's going to be  
21 fresh tissue, that isn't really a cell line, and then  
22 there's going to be some sort of gray area where there will  
23 be cell lines that are cultured for maybe even several  
24 weeks but not particularly manipulated. And then there  
25 will be cell lines that are heavily manipulated by specific

1 growth factors, along the line that several mentioned  
2 yesterday, including Dr. Gage.

3 So, in those settings, existing standards get a  
4 little bit confusing. But the initial thing would be HIV  
5 testing of the donor, hepatitis C testing, Epstein-Barr  
6 viral testing, CMV. My personal opinion at this point is  
7 -- and I think that was what Hugh was saying -- I don't see  
8 anything that the blood banking and organ transplantation  
9 and stem cell transplantation groups haven't covered. But  
10 here is a chance to think about it. Is there anything  
11 unique in your area? And there may be, like prions.

12 DR. NOBLE: It may be that as there are a  
13 number of mutations that have been identified that  
14 represent relatively later onset neurologic disease, that  
15 if one is going to harvest from fetal specimens, one might  
16 think of introducing that screen.

17 DR. KOLIATSOS: That's a wonderful point. I  
18 think all we know about genetic predisposition factors for  
19 neurologic disease should be out of the pool that we're  
20 going to use.

21 DR. AUCHINCLOSS: Can you test that on the  
22 tissue at hand? Do you have to go to the donor?

23 DR. NOBLE: Most of the mutations, I guess, can  
24 be screened. I think they could be screened on the tissue.  
25 I don't think you have to go to the donor.



1 DR. KOLIATSOS: Yes, absolutely.

2 DR. AUCHINCLOSS: So, now take it to the next  
3 part of this question. Supposing you do the screen on the  
4 donor tissue, which you have got there and you are  
5 developing as your pot of stem cells for therapy, and you  
6 find there is a genetic defect there that has hideous  
7 consequences. Do you go back to your donor and tell him?

8 DR. KURTZBERG: I don't think we know what  
9 these mutations mean, or what the denominator is that  
10 people who have them and what the expression of the disease  
11 is, and it gets very difficult. We face the same thing in  
12 the cord blood program of not knowing exactly what it  
13 means. I think it is easier to say that for mutations  
14 definitely associated with neurologic disease, those should  
15 be eliminated from the pool and those tissues shouldn't be  
16 used. But in terms of what does it mean in the context of  
17 fetal tissue, I don't think we know.

18 DR. SALOMON: That's a really well-put point.  
19 So, the question back to the neurology experts here is, is  
20 there a short list of genes today -- obviously, we hope  
21 they will grow -- that if you see them in a source tissue  
22 of any sort, means the disease is going to happen in such a  
23 high proportion that you'd all feel comfortable not  
24 allowing that to go forward?

25 DR. TROJANOWSKI: You can screen for 70 PS-1

1 mutations, 6 PS-2, if I got that right. There are 20 tau  
2 mutations. I mean, you can screen for lots of mutations.

3 DR. SALOMON: If they are positive, John, does  
4 that mean that you would not allow transplantation? That's  
5 the question because there are things like BRACA II which  
6 you could screen for that has some association with breast  
7 and ovarian cancer, but not -- what is it? 20 percent?

8 DR. TROJANOWSKI: There are mutations that we  
9 don't know. Fully half the FAD mutations have not yet been  
10 identified. But it is important, I think, to consider this  
11 in the context of a specific disease and even perhaps an  
12 individual's age. A 60-year-old gets a transplant but may  
13 have an FAD mutation, presumably it will take another 40  
14 years for that to manifest itself in that graft. So, it's  
15 not, I think, an absolute negative. It has to be seen in  
16 the context of the disease mutation.

17 DR. CHAMPLIN: I think the obvious thing is  
18 that if you're talking about autologous applications, then  
19 you have a much shorter list of things you have to check.  
20 Just sterility really if you're giving it back to the same  
21 patient. If it's a one-donor/one-patient type transplant,  
22 then again it's a different category of risk than if it's  
23 one donor now who is going to give a million transplants,  
24 with expanded cells. Under those circumstances I would  
25 look for prions and everything else I could think of if

1 | you're exposing large fractions of the population. Whereas  
2 | if it's a single transplant from a relative, then a much  
3 | more limited list would probably be appropriate.

4 |           Also, the sort of timing issues might be  
5 | appropriate to think about. Say you're going to try to do  
6 | a related donor transplant for spinal cord injury. You're  
7 | going to have to do that fast. You're not going to have 6  
8 | months to do your infectious disease testing, whereas if  
9 | you're talking about Alzheimer's disease, where it's not an  
10 | emergency to do the transplant within 2 weeks, there's a  
11 | different time frame that could allow more complete  
12 | testing. So, I think to some extent this has to be common  
13 | sense for the application and the cell source.

14 |           DR. SALOMON: I know there are a couple of  
15 | comments. Evan, Rusty, Vassilis.

16 |           DR. SNYDER: I would just think that if you  
17 | have the option to screen for genes that even theoretically  
18 | could be time bombs, at least at the early stages of  
19 | talking about first approaches for clinical trials, you  
20 | would take that option to use the best source material  
21 | possible. Obviously, the universal donor cell approach  
22 | allows that more than the autologous donor cell approach,  
23 | but I think one should screen not only for all the genes  
24 | that we understand now, even those that subsequently may  
25 | turn out to be problems as our knowledge unfolds. We can

1 | screen for a lot of neurogenetic diseases of childhood,  
2 | like the lysosomal storage diseases, which are now  
3 | routinely screened in prenatal testing anyway.

4 |           We might even want to screen for deletions of  
5 | tumor suppressor genes, for instance, or loss of p53, or  
6 | things that we think may be down the line, if this cell is  
7 | sitting in the brain of somebody for 10 years, could become  
8 | a problem later on. So, I would screen as much as  
9 | possible.

10 |           DR. GAGE: I think this could get out of hand  
11 | in terms of screening for everything, and there would not  
12 | be any cells available.

13 |           One could approach it empirically, and that is,  
14 | the way it's being done just as an experimental question,  
15 | and that is, is a gene mutation dependent on the  
16 | environment for its phenotypic expression, so you can  
17 | mutate cells, over-express CAGs, for example, and then  
18 | transplant the CAG repeat cell, transgenic cell, into a  
19 | normal host animal to see whether or not the expression of  
20 | that transgene in a normal host results in a deleterious  
21 | manifestation in that graft itself.

22 |           I think we need more empirical evidence  
23 | supporting the fact that these gene mutations are really  
24 | damaging to the cell itself autonomously, and whether or  
25 | not in the context of a normal brain they're going to be

1 bad. If you eliminate all tau mutations and all amyloid  
2 mutations because of their potential, or anything else we  
3 are talking about, I think that maybe these are all just  
4 issues to bring up, but maybe empirical evidence supporting  
5 the fact that that mutation in a cell graft situation has  
6 some deleterious effect would be a good reason for  
7 excluding it. There are obviously other ones that we have  
8 talked about that we already know are bad, and those can be  
9 excluded for some reason, but it seems to me that you need  
10 to have some empirical evidence that the expression of that  
11 gene in that cell is deleterious in a graft context, not  
12 just that it is a potential for some genetic abnormality  
13 down the road in a normal context.

14 DR. KOLIATSOS: Actually, Rusty, you know, you  
15 take some of those genes that cause familial Alzheimer's,  
16 familial ALS, and Huntington's disease and stick them in  
17 mice and produce the disease. I wouldn't like those cells  
18 in any of the initial transplants. Period. And it's not  
19 that many. It is 1 to 2 percent. Those familial forms of  
20 neurodegenerative disease are not very common. This would  
21 hardly eliminate your basic pool of donors. You certainly  
22 have to take out the heterodegenerative diseases that  
23 involve childhood neurology and other things. I don't  
24 think that would severely limit your pool.

25 And the other concern I have is, remember, you

1 | put those cells in sick brains. It may very well mean  
2 | nothing, but at the same time I could argue that it could  
3 | mean much more than the mutation itself in a normal host.  
4 | So, I think that we could eliminate those things which we  
5 | know in transgenic animals can produce the same disease  
6 | without losing the necessary pool that we need to do our  
7 | clinical job.

8 |           DR. MACKLIS: To reinforce what Rusty Gage just  
9 | said, putting on my clinical neurologist's hat, in addition  
10 | to all of the research-based mutations that John  
11 | Trojanowski told us, and the ones that we may all agree  
12 | would exclude, there's an increasing list, 50, 70, 100  
13 | clinically available tests for polyglutamine repeats,  
14 | peripheral, central, spinal cord, and I think what Rusty  
15 | pointed out, that we need to take into account where and  
16 | how would such a mutation be limiting because I think every  
17 | month I get a new mailing from Athena Neurosciences with  
18 | four new tests, and we are going to be chasing our tails if  
19 | we rule out all of these on every bit of tissue.

20 |           DR. SALOMON: That's the kind of input I think  
21 | the FDA needs to hear.

22 |           DR. NOBLE: On a practical note, one of the  
23 | ways in which one might approach this is with a mutational  
24 | chip analysis. Maybe, Arelene, with your being here,  
25 | there's value in thinking about whether there's value in

1 assigning a contract for someone to actually develop a kind  
2 of chip that everyone would be able to use in these  
3 approaches in order to actually turn this into something  
4 that's feasible.

5 DR. SALOMON: Standardization is good.

6 DR. CHIU: That's a very good idea, Mark.

7 Thank you.

8 DR. FREEMAN: One other practical issue is, is  
9 the hurdle going to be different for phase I trials versus  
10 multi-center trials?

11 DR. SALOMON: They don't need any help from us  
12 with that. The hurdles are always different for phase I  
13 versus phase II and phase III. I think that is a fair  
14 statement?

15 DR. SIEGEL: Well, they change as a function of  
16 phase. The amount of manufacturing controls typically do  
17 in terms of the validation. But the level of safety still  
18 needs to be there in all phases. When something becomes a  
19 safety issue, the amount of validation is what varies more.  
20 Of course, knowledge will change by the time a trial is in  
21 phase II. This is a field that's rapidly evolving.

22 DR. SALOMON: John?

23 DR. TROJANOWSKI: I think we should take  
24 disease mutations very seriously. Rusty's comment about  
25 empirical data is very important. I think Vassilis knows

1 | that, much to the chagrin of many of us trying to make  
2 | models of disease, merely putting a transgene in a mouse  
3 | doesn't guarantee disease. In fact, you have to over-  
4 | express the protein at a whopping level to see the disease  
5 | in the 2-year life span of an experimental animal.

6 |           From our work with the N-Tera-2 cells, which  
7 | have an abnormal chromosomal profile including, as I  
8 | recall, three copies of chromosome 21, and a number of  
9 | small -- I forget what they're called. We just haven't  
10 | seen disease in the basic science studies within the 2-year  
11 | life span of the animals that we've transplanted, and those  
12 | chromosomes have been stable for a decade. So, I think  
13 | merely an abnormal chromosomal profile, with other data  
14 | mitigating the potential side effects of that, shouldn't be  
15 | discarded. The cells shouldn't be discarded.

16 |           DR. SALOMON: I'd like to go on to the next  
17 | question. I think just summarizing what I've heard, not  
18 | trying to say any kind of consensus, but the flavor has  
19 | been that the idea of general use of guidelines already in  
20 | place for organ, blood banking, sperm, and hematopoietic  
21 | stem cells is perfectly reasonable to apply to this new  
22 | field. However, there are some unique features and things  
23 | like prion, infection, certain specific genetic  
24 | malformations that could be screened right now.

25 |           I thought then things get gray about what would



1 | be the criteria that would allow you to add new genetic  
2 | malformations to the list. I think that maybe falls into  
3 | the area we don't know and perhaps further research should  
4 | be done in that area.

5 |           I thought John's last point, in contrast to Dr.  
6 | Gage's, is just to be careful about, again, the models. I  
7 | think if you put the disease in and you absolutely got a  
8 | disease in the animal model, that would probably influence  
9 | the committee. If you put an abnormal gene in an animal  
10 | and you didn't get disease in a given time frame, that  
11 | might not be as easy to interpret.

12 |           That's not meant to cover everything, but is  
13 | that generally okay with everybody? Hugh?

14 |           DR. AUCHINCLOSS: I wanted to say a couple of  
15 | things. There is a danger in a generic conversation that  
16 | we start acting like academics. I don't know. We were up  
17 | to about 100 genes that I think we wanted to be looking at.  
18 | And the notion that we should be using those 100 genes to  
19 | exclude tissue is, I think, ludicrous. That decision is a  
20 | matter of individual disease and individual nature of  
21 | recipient populations, so you can't answer that question.

22 |           From a research point of view, the chip I think  
23 | makes a lot of sense. But that brings you back now to this  
24 | other question that we haven't addressed on here. When you  
25 | have a chip and you have all that information, do you go

1 back to the donor and tell them about it?

2 DR. SALOMON: Great. I like that. Let's go on  
3 to that question. Maybe, Joanne, given your experience  
4 with cord blood donation, do you want to start by making a  
5 comment? So, what do we do when the donor sources are  
6 fetal? How do you feel about that in terms of  
7 confidentiality, keeping records of the person? How far do  
8 you go? And then we will turn it back to the group here.

9 DR. KURTZBERG: There are two levels of  
10 answering in cord blood. One is what the specific groups  
11 that I am involved with have decided to do, and the other  
12 is what the whole community has sort of accepted as a  
13 consensus. That still is sort of up in the air.

14 I think our general approach has been that  
15 we've screened for infectious diseases that could pose a  
16 risk to the recipient but use the blood donor rules for  
17 informing the mother and her physician if an infectious  
18 disease was found, like HIV or hepatitis, that had an  
19 impact on the health of the mother or baby.

20 In fact, in our consent form, we require that  
21 the mother allows us to tell her and her physician that she  
22 has HIV or she has a positive test for syphilis or  
23 hepatitis screen so that the baby's interests can be  
24 prioritized because some mothers have refused consent on  
25 that basis. They let you test as long as they don't have

1 | to know, but they don't want to know.

2 | DR. SALOMON: Have you done any genetic  
3 | testing?

4 | DR. KURTZBERG: The genetic testing is limited  
5 | to screening for trisomy 21 and 18 in mothers over 35 who  
6 | didn't have amnios -- and the baby's cells -- and screening  
7 | for the leukodystrophies. If the recipient of a unit has a  
8 | leukodystrophy, then the unit is screened. What the  
9 | consent form says is that should one of those diseases be  
10 | diagnosed, and if there is therapy for the disease, the  
11 | family will be informed.

12 | DR. SALOMON: Do you screen for things like  
13 | Gaucher's disease, or Niemann-Pick or any of these other  
14 | things that are hematopoietic stem cell significant?

15 | DR. KURTZBERG: We are screening for globoid  
16 | leukodystrophy, metachromatic leukodystrophy, adrenal  
17 | leukodystrophy, but not in all units. Only in a unit that  
18 | would be selected for transplantation of a patient with  
19 | that disease. So, if a unit is selected for a patient with  
20 | leukemia, it's not screened for ALD, but if it's selected  
21 | for a patient with ALD, then it is screened, both because  
22 | we don't want to use a carrier, and because we obviously  
23 | don't want to use an infected unit.

24 | Now, we have really waffled on whether or not  
25 | to have look forward. Look forward makes sense

1 | intellectually but it is very expensive. It is  
2 | administratively a nightmare, and it's just not feasible in  
3 | all cases because it is easy to lose track of these people.

4 | DR. SALOMON: Look forward you define --

5 | DR. KURTZBERG: Look forward means following  
6 | the baby who was the donor of the tissue for some period of  
7 | time to see if they have a disease that's not expressed at  
8 | birth or at the time you harvest the tissue, but that could  
9 | be important to a recipient of the tissue. The easiest  
10 | example to give you in our field would be a baby could be  
11 | born perfectly healthy but at the age of 2 develop ALL, and  
12 | would that be important to the recipient of that baby's  
13 | cells. Honestly, we don't know the answer to that, but  
14 | that's the kind of thing that you wouldn't be able, right  
15 | now at least, to pick up at birth.

16 | A baby could have an immunodeficiency syndrome  
17 | and that could be missed at birth. That would certainly be  
18 | important to the recipient of those cells in the context of  
19 | marrow transplantation.

20 | So, some of the groups banking cord blood have  
21 | elected not to have look forward just because of the  
22 | administrative issues and the confidentiality issues.  
23 | Others have elected to go forward with it, but it is very  
24 | expensive, and it's an administrative nightmare to some  
25 | extent.

1                   It depends what community you are collecting  
2 your tissue in. If you have one donor that is going to  
3 supply a whole bank of tissues, then it makes a lot of  
4 sense. But if you have thousands of donors that supply  
5 individual tissues to individual patients and you are in an  
6 urban center, it can be impossible, really, to follow all  
7 of those babies. And no one knows how long it makes sense  
8 to follow those babies.

9                   Here you're talking about diseases there may be  
10 no screens for, that may not present until somebody is 60,  
11 70 years of age. Intellectually that might be interesting  
12 to do, but I don't see how it is going to be feasible right  
13 now.

14                   Our biggest issues have been, I guess, focused  
15 on diseases that are either important in real time to the  
16 recipient or to the donor of the tissue. We have the added  
17 obligation to protect the baby donor, who is a minor and  
18 doesn't really have any ability to protect themselves.

19                   DR. SALOMON: I think that is an excellent  
20 start from a field that has really definitely done its  
21 homework in the last couple of years, so I appreciate that.

22                   How about now taking that area and commenting  
23 on it with respect to neural stem cells or neural cell  
24 transplants?

25                   DR. WALKER: I'm hearing a principle coming out

1 of what was articulated, fundamentally that if we have a  
2 genetic test, using it as broadly as we want to in  
3 definition, that pertains to the specific disease we are  
4 trying to treat, maybe that is the very appropriate test to  
5 use very specifically in those patients. That is, if we're  
6 going to treat a Parkinson's patient, we've got to test for  
7 Parkinson's. You surely don't want to be transplanting it  
8 in that patient with those cells. That sounds like a good  
9 fundamental, straightforward principle.

10 DR. AUCHINCLOSS: I still haven't heard the  
11 principle for what you tell the donor.

12 DR. SALOMON: Joanne talked about that.

13 DR. AUCHINCLOSS: Do we tell them about genes  
14 that we are not sure whether they -- do we talk about genes  
15 that have a 10 percent association with the development  
16 of --

17 DR. NOBLE: What is wrong with saying that the  
18 donor has the option, when they are agreeing to be a donor,  
19 that they tell you whether they want to know?

20 DR. KURTZBERG: I agree with that. I think you  
21 inform them in advance that if there's a disease you can  
22 identify for which there's therapy, you're going to tell  
23 them if they have it, and you can list the diseases you're  
24 testing for, and then they have the option of deciding  
25 whether or not they want that information.

1 DR. WALKER: I think this is a critical issue  
2 that you raise about the donor. On the other hand, that is  
3 a generic question that applies to all of these kinds of  
4 things which we can't necessarily or shouldn't necessarily  
5 solve solely for the central nervous system.

6 DR. AUCHINCLOSS: I couldn't agree with you  
7 more, but it is a question on the FDA's list, what do you  
8 tell the donor. But it's a question that is very big, way  
9 beyond neural stem cells.

10 DR. KURTZBERG: There's one more question.  
11 There are a lot of diseases we don't have tests for now and  
12 we will have tests for later, and how do we handle that.  
13 How do you get permission for that testing that you know  
14 you're going to want to do but you don't have right now?  
15 What we did was put in this generic line that says we plan  
16 to do future tests, when they become available, for  
17 diseases that are important in this setting. But I don't  
18 know if that really covers us or not.

19 DR. AUCHINCLOSS: Just to comment that when I  
20 jump to A, B, C, D in this group here and said blood bank  
21 rules apply, at least I would start with that assumption.  
22 That includes the assumption that there always is a link  
23 between the stem cell product and the original donor, that  
24 there are records maintained. And that is the standard in  
25 blood banking, is it not? So, is there any reason why that

1 | wouldn't be the case here?

2 |           DR. MACKLIS: Two quick comments again from the  
3 | clinical neurology side. This idea of look forward is very  
4 | interesting. Many of the degenerative neurologic diseases  
5 | are anticipated by older members of the family one or two  
6 | generations ahead, and that comes out in a family history.  
7 | Would one include that in the look forward kind of  
8 | procedures?

9 |           And the second, if I understand, is only some  
10 | states have insurance controls that would defend patients  
11 | from this broad array of genetic tests that we are  
12 | considering linking to the donors and their families.

13 |           DR. KURTZBERG: Well, the linkage can be  
14 | confidential. So, you can handle that. But instead of  
15 | calling what you just said look forward, that's really part  
16 | of the donor screening.

17 |           DR. MACKLIS: I was thinking of following them  
18 | along, the donor and/or members of their family. You  
19 | could broaden it that much to think we'll look two  
20 | generations ahead for spinal cerebellar atrophy.

21 |           DR. KURTZBERG: Well, you could also use the  
22 | family history of -- I mean, we define the family history  
23 | as such and such and such and such in a first degree or  
24 | second degree relative as an exclusion. So, you would have  
25 | to think about, for each of these diseases, what makes sense.



1 DR. SALOMON: I think we have to realize those  
2 are great research questions, but we're not going to be  
3 like Sweden who has got every patient who has ever been  
4 born in Sweden's health care for the last 100 years. Which  
5 would be wonderful, and I am not saying wouldn't be great  
6 for public health research.

7 From the back, and then to Tom.

8 MS. MEYERS: I just wanted to make two comments  
9 specifically having to do with fetal tissue. Many of the  
10 issues with fetal tissue are similar to things like blood  
11 donation or bone marrow transplants. But when you're  
12 talking about women who are donating fetal tissue, they may  
13 be themselves women having abortions and may have risks  
14 that are not pertinent to people who are doing blood  
15 donation. So, the whole ethical issue of abortion I think  
16 is very relevant to this. There may be real risks to women  
17 if it's found out that they did donate their tissues. So,  
18 I would urge you to consider those risks.

19 The other thing is that if you're talking about  
20 genetic mutations or any kind of potential markers in using  
21 fetal tissue, you need to test the cells or the tissue  
22 because you are interested also in the mutations that could  
23 be in the father, and not just in the donor who might be  
24 available. So, I just would remind you about genetics  
25 includes both parents, not just the donor, in this case for

1 fetal tissue.

2 DR. SALOMON: Can you please identify yourself?

3 MS. MEYERS: Carol Meyers, from NeuralSTEM  
4 Biopharmaceuticals.

5 DR. SALOMON: Tom? And then I think we need to  
6 go on.

7 DR. FREEMAN: In terms of blood bank  
8 differences, I'd like to amplify that one point, that when  
9 the FDA audits records, for example, they do generally go  
10 back to the sources. It directly conflicts with  
11 confidentiality issues for women that have had abortions.  
12 So, that is a special consideration, how to protect that  
13 confidentiality, if that is part of the protocol, which  
14 from an ethical point of view it should.

15 Secondly, source sterility is obviously very  
16 important for any type of organ donation. Yet, federal  
17 guidelines specifically prohibit changing any abortion  
18 techniques if fetal tissue as a source is used. So, then  
19 you have mutually conflicting regulations, and that has to  
20 be taken into consideration.

21 On the other hand, there are precedents for  
22 biologic products where source sterility is not enforced.  
23 For example, bovine pericardium, which is used clinically.  
24 Obviously, after-the-fact testing of sterility issues is  
25 suboptimal, but may be necessary to be considered in this

1 situation.

2 DR. SALOMON: So, I think that in general what  
3 we've discussed is that the groundwork that has been laid  
4 carefully by the groups in doing cord blood banking is  
5 fairly applicable to this field, that there are some unique  
6 gene markers unique to neural disease, and those could be  
7 handled by making it optional in the consent form to the  
8 mother. There are some confidentiality issues in  
9 maintaining the identity of the mother, the history of the  
10 mother, and now we've added the mother's family including,  
11 I think quite appropriately from the floor, also the  
12 father's family. I think that, however, is relevant,  
13 particularly in this sort of cell transplantation, maybe  
14 even more than in cord blood stem cell banking. But I  
15 don't know. Joanne, you might comment on that last point.

16 DR. KURTZBERG: I have two comments. One, I  
17 would say roughly 10 percent of the time Dad is not Dad.  
18 You can't just rely on the history for that.

19 And two, I think the longevity in some of these  
20 diseases is going to make this a little bit different than  
21 cord blood.

22 DR. SALOMON: I agree. I was just saying that  
23 in this case there probably should be a provenance, if you  
24 will, that the grandfather of the mother had ALS, or had a  
25 degenerative disease. That is all I meant. And that would

1 | be unique to this, is what I was trying to articulate.

2 |           DR. CHAMPLIN: There is a precedent in bone  
3 | marrow transplants from unrelated donors in terms of donor  
4 | confidentiality and issues that result from that. In the  
5 | early days where that was not foreseen as a problem, there  
6 | were issues of donors or recipients finding out about each  
7 | other and undue influence or coercion occurred in one or  
8 | the other direction.

9 |           So, it's actually a federal law that the donor  
10 | identity needs to be maintained confidentially from the  
11 | recipient until at least a year after the transplant, and  
12 | then if there's mutual consent, that they get to know who  
13 | the donor was. But that has avoided the coercion aspects  
14 | to a large extent.

15 |           DR. KURTZBERG: I just wanted to say one thing.  
16 | In cord blood we decided not to allow that to happen  
17 | because the donor is really a minor and can't consent for  
18 | themselves. Just in the few transplants we've done, we get  
19 | questions all the time from recipients about can't they  
20 | know who their donor is, can't they meet their donor. But  
21 | we've decided no because the donor is a minor, and I think  
22 | the same should be true of fetal tissue.

23 |           DR. KOLIATSOS: I think that the family history  
24 | is not mutually exclusive. In fact, it's complementary to  
25 | testing the embryo itself because, for one, you may have a

1 family pedigree without having the gene and then there's  
2 all kinds of unknown genetic causes. We can envision a  
3 complementarity here in taking a history, at the same time  
4 testing directly the embryo.

5 DR. SALOMON: That is what I'm saying. I think  
6 in this particular case one unique feature of it is that  
7 you'll want to know the paternal and maternal histories.

8 DR. REID: Yes. Lola Reid, UNC.

9 A suggestion that at least alleviates to some  
10 extent what you're describing. It is neonatal tissue.  
11 With the help of the neonatal intensive care unit and the  
12 surgeons, we've been learning about the postmortem  
13 collection of neonatal tissue. That tissue is not used by  
14 any transplantation program because the tissue is too  
15 fragile for most transplantation procedures. But those  
16 tissues are quite replete with progenitor populations, and  
17 they are very easy to handle for most of the processing,  
18 the studies that most of us do.

19 Moreover, the parents of those infants who die  
20 are usually quite willing to participate in whatever  
21 analyses might be necessary for this because in the  
22 tragedy, they actually have some degree of comfort in  
23 thinking that they may be helping out some other group of  
24 people. So, it's just a wholly different situation than  
25 fetal tissue where you're dealing with abortuses.

1 DR. SALOMON: Thank you.

2 I think we've covered that.

3 The next question is, are there intrinsic  
4 safety concerns for stem cells based on their source of  
5 derivation? I think we've kind of talked about that  
6 already. I think that it's quite clear that there are.

7 And if there are differences, describe them. I  
8 think then we would deteriorate into a 20-minute discussion  
9 of step by step, which didn't go very well at the beginning  
10 anyway.

11 DR. AUCHINCLOSS: Whoa, whoa. This is the  
12 fundamental question in the entire two days, it seems to  
13 me.

14 I would have said, listening to you yesterday,  
15 that embryonal stem cells are a dangerous product, given  
16 the information that we have available right now. Indeed,  
17 I was going to ask the committee would it be appropriate to  
18 say that embryonal stem cells -- not embryonal tissue  
19 transplants, such as we just heard about -- should not be  
20 transplanted into humans at this moment, but that there is  
21 no intrinsic danger to adult stem cells that I've been able  
22 to identify.

23 DR. SALOMON: Yes. Hugh, in this case we're  
24 not far off. I was thinking in terms of infectious disease  
25 and genetic screening was what I thought was in this

1 section, and I was going to go on to that issue under  
2 characterizing of a stem cell, in which case your point is  
3 well taken. So, if we agree, then I'd like to go on. I  
4 certainly think that that is critical and I agree with you  
5 on that.

6 I think I'd like to go on, just in terms of  
7 time, to the next major category, which is manufacturing of  
8 stem cells. Well, we've got manufacturing and we have  
9 characterization. I'm now just thinking about the way  
10 things have gone. I'm going to go to characterization  
11 because I think that's the issue that Hugh brought up, and  
12 that is if we have these different sources, which I tried  
13 to get a sense whether were off the table and the sense was  
14 none are off the table, so let's go back and think about  
15 the characterization of stem cell preparations.

16 So, if someone wants to come forward to do a  
17 clinical trial, what is it you think should be given in  
18 terms of characterization of the cell for transplantation?  
19 And then we can talk about manufacturing next.

20 DR. SAUSVILLE: I think this question is  
21 inextricably tied up with model considerations because  
22 we're in the position that in contrast to, for example,  
23 marrow preparations, which you can define in terms of BFUs  
24 and CFUs and things that you can do in a laboratory, we  
25 really can't do that here, at least I think.

1 DR. SALOMON: Well, that's a good question.  
2 So, you guys yesterday, though, showing all these beautiful  
3 confocal pictures, you know, these are oligodendrocytes and  
4 these are dendrocytes and these are astrocytes and these  
5 are glial cells -- can you guys comment on that? Do you  
6 guys go with what Ed is saying, that you can't distinguish  
7 these things, or can you?

8 DR. MULLIGAN: I think that there are two  
9 things. One is the engraftment efficiency. I think there  
10 would be great merit to looking at the potency of these  
11 cells and their functional purity by looking at, in cases  
12 where that's possible, some quantitative measurement of  
13 engraftment. Evan and I have been talking about this and  
14 I'm not convinced from what I've seen that that is so  
15 simple. But when you're talking about purity and the  
16 inherent impossibility of looking at real physical purity,  
17 functional purity would be the closest you'd come to. So,  
18 as you march towards what's functional purity, certainly  
19 transplantation, seeing how many cells you get per number  
20 of cells that you introduce is a measure of transplantation  
21 purity or a specific activity.

22 But I think the slippery slope is that in all  
23 these animal models, the actual issues of what accounts for  
24 the therapeutic effect is so questionable and not pinned  
25 down that you don't have any important measure of kind of



1 | the potency of the drug in the most important type of  
2 | context. So, for instance, is it making neuronal  
3 | connections or is it releasing cytokines? If you knew it  
4 | was releasing cytokines, then you can measure the ability  
5 | of these cells, when implanted, to deliver a certain amount  
6 | of cytokines. But I think that in so many cases we're not  
7 | going to even have that luxury.

8 |           So, I would just come back to if there is a  
9 | quantitation, a way to really see whether a given number of  
10 | these cells consistently gives a certain amount of cells  
11 | being transplanted or persisting, that might be very, very  
12 | helpful.

13 |           DR. SAUSVILLE: Right, although that remains an  
14 | argument from analogy, unless you actually have the human  
15 | cells function in the animal environment, which I think is  
16 | a little dicey.

17 |           DR. CHAMPLIN: Well, the fundamental problem  
18 | with stem cells is that they're defined by what they're not  
19 | and not usually what they are. At least even in the  
20 | discussions we've had here today, these are  
21 | undifferentiated cells that don't have the mature  
22 | differentiation markers of the end product. So, as much as  
23 | in hematology we've been searching for the markers of the  
24 | true stem cell forever and thought we had it in CD34, but  
25 | now know that that's not the case in terms of identifying

1 the most primitive stem cells, it's a very difficult matter  
2 to quantify the number of stem cells that you have. The  
3 readout is in fact engraftment in vivo and reconstituting  
4 hematopoiesis or, in this context, neural function. You  
5 can obviously look at that preclinically in animals, but  
6 how do you know you've got it in a human transplant before  
7 you transplant?

8 DR. SALOMON: Well, we do SCID repopulating  
9 cells.

10 DR. CHAMPLIN: Right, but that's not a  
11 quantitative assay and we can't say now this is an adequate  
12 bone marrow transplant because it produces so many cells in  
13 the SCID mouse.

14 DR. MULLIGAN: I agree with you, but I'm saying  
15 that we do competitive repopulations for bone marrow  
16 transplants in mice, and you can definitely get a  
17 quantitation of the functional host that you introduce.

18 DR. CHAMPLIN: I think there are two parts to  
19 this question. One is showing that the cells in principle  
20 can mediate neuronal function, but the other practical  
21 thing is you've got your cells that you're planning to  
22 transplant. Is that an adequate graft?

23 DR. NOBLE: I think that there are two points  
24 that are being confused here. One is that in respect to  
25 asking what is the repopulation potential of any of the

1 | neural stem cells, we really don't have any limiting  
2 | dilution assays that have any meaning at this point. You  
3 | can't do the kinds of things that are being done in the  
4 | most sophisticated hematological experiments.

5 |           What we can ask, however -- particularly  
6 | Mehandra is being very quiet about this, but I want to give  
7 | him credit for being really important in this. There are  
8 | markers that we know distinguish between the totipotent  
9 | cell and the lineage-restricted cells. And there are not  
10 | many of these markers. There are things like A2B5 antibody  
11 | and antibodies against polysialated NCAM that Mehandra and  
12 | his colleague Dr. Mayer-Proschel have described well as  
13 | delineating these populations.

14 |           It would certainly be nice to know, because we  
15 | are aware in the community that as these stem cells are  
16 | grown in culture, a certain proportion of them do become  
17 | lineage-restricted. It would be nice to know what people  
18 | are transplanting in that respect. So, similar principles  
19 | as in mapping the hematopoietic cells, run a few  
20 | antibodies, so at least we have that information.

21 |           DR. SALOMON: Rusty and then John.

22 |           DR. GAGE: So, blood reconstitution is one  
23 | system. It's one objective. And all the neurological  
24 | diseases we have are uniquely different. So, there's  
25 | Parkinson's, Alzheimer's. Like you were saying, these are

1 | inextricably linked to the model. So, once you establish a  
2 | model, then you establish your assay based on that model,  
3 | and then you can do the reconstitution assay and justify  
4 | it. Whoever is going to come to the FDA has to justify  
5 | their model.

6 |           Now, 15 years ago, when we were grafting fetal  
7 | tissue into rats, we tried to establish basically a  
8 | reconstitution assay, and the question was what is the  
9 | minimal number of dopaminergic neurons surviving in the  
10 | striatum that was adequate to drop the rotation behavior in  
11 | a rat from 7 cycles per minute to below 2. We came up with  
12 | you have to have a minimum of 300 surviving dopaminergic  
13 | neurons. Independently of what all the other cells were,  
14 | there was this threshold, obviously with some variance  
15 | around that. And you had to get tissue, we found out,  
16 | between 14 and 16 embryonic day of a certain type of rat,  
17 | and if you did that, it becomes a high school experiment.  
18 | You can do that over and over and over again.

19 |           DR. SALOMON: So, I think that's excellent.  
20 | The feedback that you're giving us is that, at least in  
21 | some animal models, you can be quantitative. That's what  
22 | we were asking.

23 |           DR. GAGE: Now, the question was how does that  
24 | then translate to the human condition.

25 |           DR. KURTZBERG: I think what's important here

1 is to have a working group of the people who are going to  
2 be doing the transplants to come to consensus about what  
3 they're going to measure so they can compare their  
4 different techniques. I don't think people who don't know  
5 the field should be the ones telling them what to measure.  
6 I think that's a mistake or something that came late in  
7 bone marrow transplantation. Everybody kind of did their  
8 own thing and some things matched and some things didn't.

9 But I think there should be a formal  
10 arrangement where there's a group designated who's doing  
11 these trials and who decides on the kinds of collective  
12 data and even has workshops to make sure you can get the  
13 same results in the same assays in your own laboratory, or  
14 else have standardized reference laboratories.

15 DR. TROJANOWSKI: And I think with that in  
16 mind, we've come very close to having some guidelines.  
17 Again, I would refer to the work of Freed and Freeman and  
18 Kordower. There are some numbers that you can go with that  
19 are scaled for humans and not rats and that we should  
20 benefit from the success I think of their schemes. They're  
21 different in each laboratory we've heard, and they inject  
22 different sites and so forth. But still I think we can  
23 benefit enormously from what's already on the table,  
24 published or in applications, to proceed with some of these  
25 studies.

1 DR. KOLIATSOS: It seems to me that we can come  
2 up with a consensus in principle here. I refer to Rusty's  
3 wonderful non-definition of a stem cell. It's not going to  
4 be a pure preparation. It's going to be something enriched  
5 in something, and we have to accept impurity as a matter of  
6 principle in these procedures.

7 To add to this, you have impurity in terms of  
8 side effects. That's why the hematopoietic precedent is  
9 not extremely useful in the nervous system. When I've  
10 tried to put the cells in brains, you can see one effect in  
11 one side of the cortex and a total different effect in  
12 another side of the cortex.

13 So, I propose that the unit of treatment is not  
14 only particular cell preparation but a cell preparation in  
15 a particular engraftment paradigm. So, this together  
16 should be used as a, so to speak, modular kind of treatment  
17 as dose to guide any human experimentation. It's not only  
18 the impurity of the population of cells. It's also a site-  
19 specific effect you see in the nervous system all over the  
20 place. In one side, tumor; in another side, integration  
21 into the cortex.

22 DR. SALOMON: So, I think trying to come to  
23 sense of what I'm hearing is that, first of all, we all  
24 seem to agree that every disease is going to have to be  
25 taken -- now, there are going to be groups that are going

1 to say I have a stem cell preparation that can treat all  
2 diseases. We've already heard that today. Fine. Prove  
3 it.

4 Basically, what I'm hearing from everybody is  
5 that you're going to have to start with some sort of a  
6 model, with all its limitations. And I think it's  
7 important, the idea that these models should be discussed  
8 and validated in some sort of working group of experts in  
9 your field, not by outside people, including myself.

10 And there should be some way of quantifying it,  
11 albeit the day you step forward for the first clinical  
12 trial, we won't be able to answer Dr. Gage's question, so  
13 what does that mean in humans. But that's a problem in all  
14 translational research. I think all of us have dealt with  
15 it in our own areas. So, I think that that works.

16 What I would like, before we break for lunch in  
17 a minute or two, are there any specific markers from the  
18 neural experts that are like CD34 and CD45 and CD3, that if  
19 you can nail that one, that's really, really useful?

20 DR. SIEGEL: Can I ask a question about your  
21 summary and about the last conversation? In a later set of  
22 questions, we're talking about what models are good for  
23 safety testing or for proof of concept in efficacy. Here  
24 we're talking about cellular characterization to ensure  
25 lot-to-lot consistency and perhaps some investigators are

1 interested in, I think appropriately, also comparing  
2 results across centers, a less direct regulatory issue but  
3 an important one.

4 So, in that context, this discussion of models  
5 is what I'm hearing, in fact, that in terms of  
6 characterizing cells, that the best answers to ensure lot-  
7 to-lot consistency, for example, may not just be in surface  
8 markers or in vitro functional tests, but actually plugging  
9 them into models to look at implantation viability,  
10 developing specs --

11 DR. SALOMON: I think that's exactly what  
12 they're saying. My thinking here is that we'll get back to  
13 these discussions as we talk about the animal models, Jay,  
14 this afternoon. But, again, input if you don't agree with  
15 what I'm saying. I'm just trying to capture the threads  
16 that came out, and we'll get back to it again in the  
17 afternoon. But, yes, that's exactly what I have heard  
18 everybody say.

19 I still wanted some response from you guys.  
20 Dick Champlin and I went out to dinner last night. We were  
21 saying, oh, it's like a parallel universe. He comes to me  
22 and says, we've got these CD34 negatives, Thy 1, and I go,  
23 yeah, yeah, yeah, and blah, blah, blah. You guys are  
24 talking about a completely different set of markers. So,  
25 can you kind of enlighten us? Are there ones like that



1 that you guys are comfortable with that would be important?

2 DR. RAO: Like with a lot of stem cells, you  
3 have negative markers which are present on differentiated  
4 cells but are not present on stem cells. So, you can do a  
5 depletion selection and there's a wide variety of markers  
6 which people will agree as a consensus.

7 In terms of a cell surface marker which is  
8 specific to stem cells, the data is very weak except for  
9 one molecule which was recently described at a meeting, and  
10 that is this antibody which is used, recently characterized  
11 in stem cells in the hematopoietic system. And that, in  
12 our hands and in other people's hands, seems to recognize a  
13 subset of stem cells present in the nervous system.

14 Unfortunately -- and that's the problem I guess  
15 in terms of looking at human versus animal models --  
16 antibodies are only human specific. The mouse homologue of  
17 the gene has just recently been cloned, and it's not clear  
18 that that expression of that antigen in mouse is the same  
19 as that in humans. So, it's not perfect.

20 DR. SALOMON: How about Stro-1? Dr. Verfaillie  
21 brought that up as one marker for a mesenchymal stem cell.  
22 Have you guys looked at Stro-1, what you'd define more  
23 narrowly as a neural stem cell? Darwin, do you have  
24 information on that?

25 DR. PROCKOP: I can speak to that. No, it

1 hasn't held up. Even Paul Simmons doesn't say it holds up.  
2 It was a nice early start.

3 But as we're studying these cells more and  
4 more, the primitive cells -- what Dr. Rao says -- they're  
5 pretty negative for almost anything we can look for. A few  
6 clues but not many. They're mostly negative. We look in  
7 the cultures. We see mature cells which are late  
8 progenitors and really don't differentiate well. They do  
9 light up with a number of markers, but if we look at the  
10 small cells, the real precursors, very few things stain  
11 them. Stro-1 is negative. Everyone agrees on that now.

12 DR. GOLDMAN: I think it's important to  
13 remember that the stem cell population, quote/unquote, of  
14 the ventricular zone, even in the earliest stages of  
15 embryogeny is very heterogeneous itself. So, we're left  
16 with requiring many markers in combination to define as  
17 accurately as possible progenitor populations. I think in  
18 retrospect it hasn't turned out to be very different from  
19 the hematopoietic system.

20 DR. SALOMON: One advantage we have in the  
21 hematopoietic system -- Dr. Champlin mentioned that it's  
22 incomplete, but CD34. If you purify CD34 cells and  
23 transplant them, a population within that heterogenous  
24 group reconstitutes the bone marrow and the hematopoietic  
25 system. So, at least we have that.

1           So, if you came to an hematopoietic stem cell  
2 group and said, I've got this stem cell, the first thing  
3 everyone would want to know is what the CD34 status is, as  
4 a general rule. I was just asking if there was something  
5 similar to that in neural. I think you've answered it.  
6 There isn't.

7           DR. RAO: Not a marker, but there are  
8 mechanical ways of separating cells. I think Rusty alluded  
9 to it where you can use density gradients. And then Evan  
10 alluded to the fact that you have some kind of transporter  
11 which pumps out the bis-benzamide so that you can use  
12 absence of bis-benzamide staining -- oxidized staining to  
13 select a population of cells, and you can, relatively with  
14 reasonable certainty, guarantee that you have a high  
15 population of progenitors in those two populations. You  
16 can use a combination.

17           DR. SAUSVILLE: So, the way this would play, if  
18 you were going to make some minimalist product description,  
19 would be I have a bis-benzamide negative population of  
20 cells that, in an immunodeficient animal that's been  
21 appropriately lesioned, gives at least 300 dopaminergic  
22 neurons per something or other.

23           DR. MULLIGAN: But this belies the whole  
24 concept of the fact that everyone is going to have  
25 different favorite cell populations. Just on the

1 hematopoietic front, what you said is very funny because  
2 there are now a lot of people that think that CD34  
3 deficient cells are just as good if not better.

4 DR. SALOMON: But at least there has been a  
5 general experience up until now purifying CD34, injecting  
6 them into patients clinically, and using them.

7 Again, I'm not trying to fight a battle that  
8 doesn't need to be fought. If someone came tomorrow and we  
9 were all sitting here, a sponsor, and said, I want to do a  
10 clinical trial in disease "fill in the blank," and I have a  
11 population of stem cells, is there anything that these  
12 sponsors should tell you about markers or behavior, Hoechst  
13 dye exclusion, that you'd say, yes, I'm on board with you.

14 DR. TROJANOWSKI: Are you focusing on the right  
15 issue? You can differentiate the stem cells in vitro, and  
16 we transplant postmitotic neurons and they survive and they  
17 engraft. I think a note of optimism here is we may not  
18 need to use stem cells. In fact, for many of the diseases  
19 that we've heard about in the model systems, we want  
20 oligos, we want neurons, we want, in fact, not just generic  
21 neurons, we want dopaminergic neurons. I think we have  
22 lots of markers for those. And you can very effectively  
23 transplant postmitotic neurons into the brains of animals  
24 and people and they will survive.

25 DR. CHAMPLIN: But those aren't stem cells,

1 | which is fine. Maybe that's what you want to do.

2 |           DR. TROJANOWSKI: But they can come from stem  
3 | cells and be differentiated in vitro and then you can  
4 | transplant them. So, I just would offer this note of  
5 | optimism that we're not hopelessly lost. We can go for the  
6 | immature derivatives that go on to become fully mature  
7 | neurons, oligos, myelinate, what have you.

8 |           DR. CHAMPLIN: Getting back to the stem cell  
9 | issue, one thing is even when you get into these highly  
10 | enriched populations, when you look by limiting dilution  
11 | how many of them actually are reconstituting are  
12 | reconstituting or form colonies, what have you, it's 1 in  
13 | 1,000 or 1 in 100 if you're in a highly enriched  
14 | population. So, the word "pure" is not correct here. This  
15 | is enriched populations and to have a truly pure, uniform  
16 | population is both technically impossible and it's probably  
17 | premature to even try to identify such a population.

18 |           DR. SNYDER: So, basically when we all sit  
19 | around in the field and somebody presents cells, we ask, as  
20 | Steve mentioned, for a battery of proof that these are very  
21 | immature cells, a Musashi or Hu or nestin or SP or  
22 | something of that sort, and then as Mehandra mentioned,  
23 | functionally do they behave like a stem cell should behave?

24 |           But ultimately, to reiterate John and Rusty's  
25 | point, if somebody was going to come to you with a

1 population and then say it is Musashi positive and all of  
2 that, and I want to do it for Parkinson's disease, you  
3 would not say, fine, it's Musashi positive, go ahead. You  
4 would say, are you now from your population getting at  
5 least 300 dopaminergic neurons? And if he says, yes, you  
6 would say, okay.

7           If he then came back and said, now I want to  
8 use my exact, same cells to treat Krabbe's disease, look, I  
9 have 300 dopaminergic neurons, you would say, well, that's  
10 not relevant now, even if it's the same population.

11           DR. RAO: Can I just add one? I think it's  
12 most of the case that since there are so many cells, that  
13 somebody is going to come to you and say, I have this cell,  
14 and you have to tell him, prove it to me that this is the  
15 cell you're saying it is and this is the population that  
16 you're going to use. In that sense, we have markers and we  
17 can do it. So, the criteria that you use will depend on  
18 what the guy says he's coming with and what he wants to do  
19 with them.

20           DR. MULLIGAN: To get back to Jay's question, I  
21 think that the surface phenotypic characterization is  
22 definitely a reasonable thing, but for like hematopoietic  
23 stem cells, there will be maybe 8 or 10 markers, and you'd  
24 want to know that every time you use this population,  
25 you'll have the same distribution. That's a totally

1 separate question about whether any of those markers are  
2 important to the functional --

3 DR. SIEGEL: In some sense, so that's the one  
4 more critical to us in terms of product control. The  
5 science is very important and we'll be reviewing the  
6 science. Obviously, people are going to have a rationale  
7 to justify experimentation.

8 But one of the issues that's right on the table  
9 -- even if science isn't ready to answer it, we're going to  
10 have to answer it in the near future -- is what is your  
11 product. One of the critical things in product development  
12 is to know that if you make batch after batch and you  
13 experiment with a few people and you start modifying  
14 things, you know what you had and you know how it changed.  
15 That's kind of where at least this set of the questions is  
16 focused. How do we know what it is that's going in so we  
17 can interpret the results?

18 DR. KOLIATSOS: It is exactly because we don't  
19 have all these wonderful markers that we need more in vitro  
20 and in vivo descriptive validation. They used to make  
21 mandragora and say it's mandragora because they made it  
22 from this and this extract and it puts people to sleep.  
23 So, this is why you need to include your animal model  
24 together with the preparation.

25 So, to extend Evan's point, yes, it makes so

1 many dopaminergic neurons and I stuck it in the nigra of  
2 this type of rat and it worked and it functioned and it did  
3 this and this. You need more descriptive validation in the  
4 absence of specific molecular markers if you are going to  
5 start with this as a clinical potential.

6 DR. SALOMON: I just remind you what Jay is  
7 saying to you is part of the frustration from the  
8 regulatory side. I don't know if you're quite getting it.  
9 What you're saying is really sensible in the sense that I  
10 have a population, I want to use it in this disease, and  
11 the justification of it is this sort of a validation  
12 scheme. I think that really makes a lot of sense to all of  
13 us.

14 What Jay is saying, though, is when we get past  
15 the position of saying, yes, you can do your trial, then  
16 what the FDA has to do is say -- there are three centers,  
17 let's say, in your trial. Is the stuff you're going to  
18 give in centers A, B, and C the same? It's not that you  
19 didn't 6 months ago in the lead-up data to justifying your  
20 trial do all the right stuff, but now is the preparation  
21 that centers A, B, and C have the same as the preparation  
22 you made. That's what Jay is trying to get at, this idea  
23 of product testing.

24 DR. KOLIATSOS: But you need a model with it.  
25 That's what I'm saying. I agree. But it's not enough to



1 say I give the same cell origin. I also give the same cell  
2 origin that has proven to work in a certain model.

3 DR. SIEGEL: I would just add, by the way, to  
4 that explanation. I understand what you're saying and  
5 appreciate that. The most critical issue we face in human  
6 experimentation in phase I in terms of product consistency  
7 is not usually the multi-center issue, but the dose  
8 escalation issue. So, once you've established that 10 to  
9 the 6th cells is safe, then you're going to say, I want to  
10 give 10 to the 6th and a half cells. I'm going to want to  
11 know is that really three times as many of the same cells  
12 or might they be tenfold more potent because if they're  
13 tenfold more potent, that may be unsafe. Or is it a  
14 different type of cell, so there's really 30 times more  
15 stem cells or dedifferentiated cells there. That's where  
16 the critical issue of knowing what you have most commonly  
17 comes into play in early clinical studies of cells.

18 DR. SALOMON: Tom and then Rusty had his hand  
19 up.

20 DR. FREEMAN: Just following up on this dose  
21 escalation thing, it's even more problematic with stem  
22 cells. First of all, we can't biopsy. Secondly, they  
23 migrate. So, if you want to eliminate them, say, by making  
24 some lesion where your graft is, you can't do that as  
25 opposed to a differentiated cell that doesn't migrate. And

1 | that brings up the need for careful autopsy studies being  
2 | put into protocols because very often that's missing from a  
3 | lot of clinical trials at this stage.

4 |           It also brings up the point of having ways to  
5 | eliminate excess cells because with pharmaceutical dose  
6 | escalation trials, if there's toxicity, you can generally  
7 | stop your drug. In this case, that won't be possible  
8 | particularly if cells mature with time, which raises the  
9 | spectrum of not only suicide genes but also methods of  
10 | inducing second-set rejection, which is a possibility if  
11 | you have a purified allogeneic cell line. Can you have a  
12 | parallel isogeneic cell line of fibroblasts that you bank  
13 | with each cell line for inducing second-set rejection?  
14 | There's evidence that an isogeneic skin graft, for example,  
15 | can make a second-set rejection of a purified cell line.  
16 | So, these are other considerations.

17 |           DR. SALOMON: That would get complicated.

18 |           Rusty?

19 |           DR. GAGE: I was trying to separate out the  
20 | manufacturing of the individual cells and the propagation.  
21 | Then we can talk about stem cells or really any cell that  
22 | propagates that you can do good blood banking strategies  
23 | on. Then that doesn't tell you anything about the function  
24 | of the cell. What you really want is the assay. We've  
25 | talked about animal models.

1                   But I was just thinking about there is really  
2 an intermediate phase, and that is, what are the conditions  
3 that you are going to apply to your propagated, well-  
4 characterized, GMP quality cell to get them into a state  
5 that they're now ready to transplant that's going to give  
6 rise to it to assess in your functional assay? Because  
7 unlike in the blood system, we're not going to just graft  
8 the propagated cell. In many cases, I would argue, if not  
9 in most cases, we're going to do something to the cell  
10 that's going to take it out of that rapidly propagating  
11 state and put it into another state. We're going to do  
12 differentiation factors. People use retinoic acid. And we  
13 probably need to separate those things out between how you  
14 assess the manufacture or the propagation or the cell  
15 storage and that next stage where you actually do something  
16 to the cell to put into a state that it's now ready to put  
17 into the patient. And that's a point of standardization  
18 too, it strikes me.

19                   DR. TROJANOWSKI: But I think we're there,  
20 though, Rusty. I haven't heard of anyone citing a model  
21 that they wanted to use pluripotent -- most of what we want  
22 is a specific type of neuron or glial cell or what have  
23 you. And I think we have the markers to know after we've  
24 proliferated and got a handful of neurons or astrocytes or  
25 what have you. We have everything we need, I think, to

1 characterize, to say those cells are 99 percent neurons or  
2 glia at what level of maturity.

3 DR. GAGE: I couldn't disagree with you more.  
4 (Laughter.)

5 DR. TROJANOWSKI: Well, I'd like to hear the  
6 details.

7 DR. SALOMON: And that's a good relationship  
8 actually.

9 Evan, I think you were one of these people who  
10 actually, I think, would use pluripotential cells, wouldn't  
11 you? Or multipotential cells in a --

12 DR. SNYDER: No. I feel very comfortable using  
13 multipotent cells.

14 In answer to your question to go back to what  
15 you were asking, probably what you're asking for is not a  
16 stem cell marker. What you want is a surrogate marker that  
17 shows efficacy and safety in a particular use. Regardless  
18 of how you're going to define the cells that give you that,  
19 you're not going to get agreement on a stem cell marker.  
20 But that's probably not what you're asking for anyway. You  
21 want a marker that they can assay from lot to lot over time  
22 that's a surrogate marker that says lot number 1 and lot  
23 number 300 both have this marker which is associated with  
24 efficacy in this model or this disease and also safety.

25 DR. SAUSVILLE: But the important point, one

1 way that this conversation is going is that this field  
2 should evolve and the FDA should make that aspect part of  
3 what they require people to come to the fore with.

4 DR. SNYDER: Absolutely, and our job is to come  
5 forward with a surrogate marker.

6 DR. MACKLIS: Well, I think it's very unlikely.  
7 I think many of us would agree that there will be a marker.  
8 It will, rather, be a big panel, maybe in one or two or  
9 three dimensions, of presence and absence of various  
10 markers.

11 DR. SNYDER: Right, but it's going to be  
12 markers not defining stem cells. It will be markers  
13 defining safety and efficacy for the particular use.

14 DR. MACKLIS: Agreed.

15 DR. SNYDER: I agree, yes.

16 DR. MOOS: I'd like to follow up on that. I  
17 think, yes, it's a dream to find a marker or two or three  
18 markers. And especially in the interim, it could be a  
19 reasonable goal to come up with some kind of -- Dr.  
20 Koliatsos used the word "descriptive validation" -- a  
21 pattern, perhaps 5,000 randomly arrayed plasmas on a chip  
22 that is characteristic -- I'm just throwing that out as a  
23 straw man -- okay, 10,000 -- of this differentiated cell  
24 type or this type of pluripotential stem cell from this  
25 source that is associated reliably in an animal model with

1 the desired effect and which is not associated with adverse  
2 effects.

3 I would go one step past that. It may be worth  
4 considering looking carefully at lots of cells that fail in  
5 the validated preclinical model or stress lots of products  
6 which can be manipulated in such a way that they do fail  
7 and then make careful comparisons using the tools that  
8 genomics has given us. We may not quite understand in a  
9 reductionist way what that plasmid at position at XY is  
10 really doing versus something else, but at least in much  
11 the way that anatomic pathologists were doing 150 years  
12 ago, we would have some kind of a way to assess consistency  
13 of manufacture.

14 It's perhaps not too fanciful -- maybe a little  
15 bit fanciful -- to even envision that one could address not  
16 just identity, but purity and impurities profile to the  
17 extent that if you have a heterogeneous population, there  
18 might be some things on your microarray that are  
19 characteristic of certain cell subpopulations and some  
20 characteristic of others but could be maintained.

21 DR. SALOMON: I think we have to be very  
22 careful here. Again, this is just discussion. I realize  
23 we're not making any sort of regulatory advice to you.

24 The gene chip thing is great. My lab is  
25 working on custom DNA arrays and I love the technology, but

1 I'm waiting to see what it really gives. I don't think we  
2 should get carried away here with gene chip profiling at  
3 this point.

4 DR. MOOS: Please don't misunderstand. It's a  
5 paradigm, and I'm just throwing that out as an example that  
6 has been touched on. We do not want to foreclose any other  
7 very ingenious, multi-dimensional set of -- in fact, we  
8 changed all of our wording before because we had carelessly  
9 used the term "markers" and replaced it with  
10 characteristics.

11 DR. SALOMON: Right. I just think, speaking  
12 for myself -- and I'm pretty sure I would speak for the  
13 group here -- I don't think the FDA should get the idea  
14 that anyone here is suggesting to you that today there's  
15 any data that suggests that you should use gene chip  
16 profiling here. It's a lovely idea. In California, we get  
17 to use the word "cool" once a day. It's a very cool idea.  
18 That's it for the day for me.

19 DR. MULLIGAN: I was going to say that I would  
20 agree about the gene chip.

21 But the other twist to the surface phenotype is  
22 that there is clearly in hematopoiesis great precedent for  
23 a reasonably well defined surface phenotype that, depending  
24 upon how you generate the cells, will either not have the  
25 activity or have the function activity. This is Sca plus

1 lineage-deficient, cKit positive. That has been work  
2 people have done. If you mobilize cells in a recipient in  
3 a different fashion, you get those exact same cells which  
4 at one time were the pure cell for transplantation, and  
5 they had no long-term potential. So, it's not to say we  
6 shouldn't do this, but you really need the surrogate  
7 marker. You need to push for trying to associate even a  
8 simple marker phenotype with a consistency of function.

9 DR. CHAMPLIN: We actually use CD34 in that way  
10 in that the time to engraftment correlates with CD34 cell  
11 numbers, and we all have a sort of minimum CD34 number that  
12 we would feel comfortable in a blood stem cell transplant  
13 would be adequate then for an individual patient. So,  
14 we're not fooling ourselves saying these are all stem cells  
15 and we're measuring stem cells, but it's a surrogate marker  
16 that correlates with adequacy of the transplant for  
17 engraftment.

18 DR. SALOMON: Yes, and I would add it has been  
19 very useful in things like purification strategies and  
20 otherwise, including device development.

21 We need to wrap this up and go to lunch. Is  
22 there anybody who has something that just has to be said  
23 right now?

24 (Laughter.)

25 DR. KURTZBERG: One sentence. I think the FDA



1 has to realize a lot of this is going to evolve in these  
2 phase I clinical trials. I don't think you can demand all  
3 of these answers to start the phase I trials. I think you  
4 have to come up with some minimum set of requirements but  
5 then know that it's going to change and it will be a work  
6 in progress.

7 DR. CHAMPLIN: In the field of hematopoiesis  
8 again, a minimal sort of understanding in correlate markers  
9 has evolved over 20 years. In the beginning, we were just  
10 using cell numbers. We learned from experience in large  
11 numbers of cases how to refine that.

12 DR. SIEGEL: In regard to those last comments,  
13 I'd like to second the comment of one of our speakers --  
14 I'm not sure who -- about, where feasible, storing  
15 specimens. I think that from the perspective of genomics  
16 but also surface antibody or whatever, we may know a lot  
17 more 3 years from now, and if we have the specimens to go  
18 back, ultimately we're looking for what are the markers, as  
19 I think Dr. Snyder pointed out, that predict safety and  
20 efficacy. But, of course, until we have clinical data, all  
21 we can look for are what are the markers that can ensure  
22 consistency and hopefully predict that. We'll measure  
23 some, but others, if we have the specimens, we may be glad,  
24 as we go back, that they're there.

25 DR. SALOMON: I think trying to summarize sort

1 of where we are, so we can go to lunch --

2 DR. NOGUCHI: Excuse me. I don't think we can  
3 let this close. We are very cognizant of the fact that  
4 every new technology carries its own price, but tomorrow's  
5 technology is yesterday's CD34. Ten years ago, nobody  
6 would do that. So, it's incumbent upon people to recognize  
7 that, no, we won't require these fancy techniques now, but  
8 you've already heard from industry. The claim is they  
9 already know how to do everything, which we would find to  
10 be very interesting. But it is true that there are going  
11 to be large corporate dollars going into this, and if they  
12 can characterize something in a way that gives us a better,  
13 safer product that's more efficacious, we're likely to be  
14 moving toward that in terms of requirements.

15 So, it's up to the people here, not only to  
16 advance the field, but to get down to brass tacks on what  
17 can you agree on right now and to evolve that on a  
18 continual basis. That way you can control the future of  
19 the standards we require rather than have someone who has  
20 more money put the standards in place for you.

21 DR. SALOMON: That's my summary. I like it.

22 (Laughter.)

23 DR. SALOMON: I think that's great.

24 See you guys at, let's say, 1:25.

25 (Whereupon, at 12:55 p.m., the committee was

1 recessed, to reconvene at 1:25 p.m., this same day.)

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

## AFTERNOON SESSION

(1:48 p.m.)

1  
2  
3 DR. SALOMON: So, I'd like to welcome everybody  
4 back to the last session of the meeting.

5 I want to just make one point and that is that  
6 nothing from this morning is necessarily off the table this  
7 afternoon. It's just that we'll start with the afternoon's  
8 questions. My objective is to be done and summarized by  
9 3:00.

10 Yes?

11 DR. AUCHINCLOSS: I didn't hear us come back to  
12 the source of the cells question from the morning  
13 discussion.

14 DR. SALOMON: But you guys abused the hell out  
15 of me over this source of the cells question.

16 (Laughter.)

17 DR. AUCHINCLOSS: I'm not going to let that one  
18 rest. I really think that's a fundamental question.

19 DR. SALOMON: Okay, that's fine. I thought it  
20 was too. I thought you guys told me it wasn't a  
21 fundamental question.

22 DR. AUCHINCLOSS: I didn't hear that.

23 Let me explain what's on my mind. This meeting  
24 of the FDA is called by the Stem Cell Working Group. It  
25 means the FDA has put stem cells in a special box. There's

1 something particularly important about them. Their  
2 regulation might be different. If I were working with stem  
3 cells or some variation on stem cells, that would bother me  
4 because I'd sit there and I'd say, now I'm going to get  
5 special attention. I'm going to get labeled as a stem  
6 cell. I'm going to get public headlines to say I'm a stem  
7 cell.

8           So, I've been listening over the course of  
9 particularly yesterday about what's different about stem  
10 cells compared to other forms of cellular transplantation.  
11 What I hear -- what I think I'm hearing -- but it's a  
12 question that I wanted to put to the rest of the committee  
13 -- is that embryonal stem cells do have a special feature  
14 attached to them, an inherent increased risk, that I would  
15 put them in a box, separate from other kinds of cellular  
16 transplants. But I haven't heard one thing about adult  
17 stem cells or variants of adult stem cells or progenitor  
18 cells that warrants their being put in a special box, and I  
19 think we ought to make clear that that distinction is very,  
20 very important.

21           DR. SALOMON: Actually I like that. Let's try  
22 to figure out a strategy then to go at this afternoon.  
23 That's a really well-taken point.

24           I know Mercedes wanted to introduce the topic.

25           MS. SERABIAN: Yes. I'll be as quick as

1 possible. Just a couple of minutes, but I just feel a  
2 little more comfortable introducing it.

3 DR. SALOMON: Why don't we do this? Mercedes,  
4 why don't you give us your introduction? When she's done,  
5 let's start with that point. It's kind of what I was  
6 trying to do earlier, but let's see if we can do it better.

7 MS. SERABIAN: Now, I wrote out some notes, so  
8 I won't ramble. I'll stick to what I have.

9 I just want to introduce myself again very  
10 briefly. My name is Mercedes Serabian. I am a  
11 toxicologist with the Office of Therapeutics. So, when you  
12 submit an IND, there is a large section that, as you have  
13 been referring to, is preclinical safety as well as  
14 efficacy.

15 Not to be outdone by Malcolm, I found this when  
16 I went home. To me, from listening to everything that went  
17 on yesterday, I feel we're not quite ready for prime time  
18 yet, getting there, but not quite.

19 Just in general, to give you a background,  
20 because I'm not sure from yesterday, it seemed like a lot  
21 from the research aspect. Just exactly when someone is at  
22 the point where they feel they need to submit an IND, they  
23 can submit an IND, they do, what preclinically from our  
24 aspect do we tend to look for?

25 Well, obviously from this, my main point is

1 that the preclinical study program is driven by what you  
2 want to do clinically. I think that's very important.  
3 It's a backward arrow, if you will, from clinically to  
4 preclinically.

5 Obviously, the clinical indication and patient  
6 population determines what you're going to do preclinically  
7 for your safety studies, and we've been discussing that for  
8 a day and a half now. That's quite obvious.

9 For traditional biotherapeutics, if you will,  
10 the duration of therapy has been an issue, whether it's an  
11 acute or a chronic therapy. In this case, it's obviously  
12 intended to be chronic because of the implantation of  
13 cells. So, that brings with it a certain set of issues and  
14 concerns.

15 And then dosing procedures I put down, which is  
16 important for such things as route of administration, how  
17 many times you're going to be giving an agent in terms of  
18 number of injections, et cetera. That may determine at  
19 times the species that you use also.

20 This is kind of a standard slide that I've put  
21 up in other talks. Again, it's for traditional  
22 therapeutics, just to set the scene. You notice I  
23 highlighted the word "safe" because I think that's very  
24 important. We've been talking about animal models, proof  
25 of concept, but the terminology "safe, safety, toxicology"

1 we haven't heard too much of.

2           So, I just made a general list of what we tend  
3 to look at in an IND review in the toxicology aspect to get  
4 into phase I/phase II trials. Obviously, you want the  
5 animal studies to be able to support the recommendation of  
6 an initial safe dose and dose escalation scheme in humans,  
7 to be able to attempt to determine an acceptable  
8 risk/benefit ratio in humans, to identify any potential  
9 target organs or tissues of toxicity or activity, as well  
10 as to identify parameters to monitor clinically. We've  
11 been talking about that as various endpoints, surrogate or  
12 otherwise. Identification of inclusion/exclusion  
13 "criteria" that should have read. I left a word out, which  
14 again is important as to who you're going to enter on the  
15 trial. Last but not least, which we've been talking about  
16 also, is to be able to discern the mechanism of action.  
17 You may have obviously desired pharmacological effects that  
18 you want to see, but you may also have some undesired  
19 toxicities that you either haven't anticipated, obviously,  
20 or crop up either in the clinical trial or earlier.

21           One last slide. The bottom line then.  
22 Toxicology data derived from preclinical models can provide  
23 information for the clinical management of potential  
24 toxicities. To be able to identify preclinically certain  
25 toxicities will, hopefully, be helpful and a requirement



1 for clinical monitoring as to what kind of a parameters are  
2 going to be monitoring and what you're going to be looking  
3 for in the clinic.

4           The predictiveness -- we've been talking about  
5 this -- of the toxicology data now, as well as the  
6 preclinical efficacy data of the human response from the  
7 animal studies to the human response, as well as the  
8 potential impact on clinical development. If you see some  
9 type of toxicity in these models, what effect will it have  
10 on preclinical development? Will it stop it altogether?  
11 Will it simply slow it down? Will it affect cohort dosing  
12 between patients, patient cohorts, it's a dose escalation,  
13 this type of thing?

14           So, again, the point of preclinical toxicology  
15 studies is to be able to address all these issues.

16           There are three main areas of questions that we  
17 have in the package. One is with respect to animal models.  
18 This is not just animal models for efficacy but safety.  
19 Number two, tumorigenicity, which appears to be cropping up  
20 quite a bit. That issue, as well as third which I think in  
21 our group we consider probably the most important is the  
22 fate of the cells post-implantation as to toxicology  
23 studies, what to do, how to do it, how to interpret the  
24 data. So, those are the three main.

25           I guess, depending on how the conversation

1 goes, it's up to Dr. Salomon in what order he wants to  
2 discuss them, but it would be very helpful to get through  
3 them all in the hour.

4 So, I'm just going to leave the first slide,  
5 which has some of the bullet points, and then I think Don  
6 will help me. We'll go through it as we advance.

7 Thank you.

8 DR. SALOMON: What I'd like to do now is go  
9 back to what you asked at the beginning and perhaps  
10 accomplish what I didn't do a good job of at the beginning.  
11 So, let me try and articulate a question out of this, and  
12 then you guys help me make sure I've got the right  
13 question.

14 My thinking, from what I've heard in the last  
15 two days and what I've read in this area, is that under the  
16 rubric of the word "stem cell" for this field, there seem  
17 to be several different things. The first kind is either  
18 what's been called an embryonic stem cell, which is coming  
19 from the inner cell mass of the trophoblast, and a similar,  
20 albeit it not identical, cell coming from the gonadal  
21 ridge, which they called a gonadal stem cell -- germ line  
22 cell. So, there are those, I think for the points of  
23 discussion, I personally sort of put together, albeit I  
24 accept as a scientist that they're not identical.

25 Then there are what I would call stem cells

1 such as those that Catherine Verfaillie explained to us but  
2 others not here at the meeting have, for example, found  
3 them in muscle cells. So, these sort of noncompartmental  
4 stem cells that may have multipotentiality and that could  
5 be harvested from adults as well as fetal and your point  
6 was even different gestation fetus. So, that would be  
7 another one to the mesenchymal stem cell or mature stem  
8 cells.

9           Then there seem to be compartment stem cells.  
10 I didn't use the word compartment-specific stem cells  
11 because just because they're found in a specific  
12 compartment doesn't mean that they didn't derive from bone  
13 marrow or from a circulating source in the right arm, but  
14 they're in the brain. Those could be induced, as we've  
15 heard some people talk about, or they could be harvested,  
16 developed in vitro, and put back in.

17           Then the last group would be products that  
18 started with any of these stem cells and then, through in  
19 vitro purposeful manipulations, could be driven down a  
20 lineage commitment pathway that would be useful for a  
21 specific disease.

22           Does that sort of cover the spectrum now?

23           DR. GAGE: The latter case is a subcase to all  
24 the three prior ones. It's not a fourth category.

25           DR. SALOMON: Okay, it's not a fourth category.

1 I agree. It could be thought of as a fourth category in  
2 that a clinical trial using that kind of a strategy would  
3 be intrinsically different than a clinical trial that would  
4 use the other three. So, yes, I think that's fine.

5 DR. AUCHINCLOSS: That's not what we heard  
6 yesterday. What we heard was that even the in vitro  
7 derivatives of embryonal stem cells had tumorigenicity. I  
8 think it's important to keep the in vitro derivatives of  
9 subcategories of each of the other.

10 DR. SALOMON: That's fine. I wasn't trying to  
11 imply anything now. I was just trying to make sure that we  
12 all agreed that I wasn't leaving some major group of cells  
13 out.

14 A really interesting question Hugh has asked --  
15 and I agree with it. I was trying to get at it too -- is  
16 if clinical trials are going to go forward in this area, is  
17 it -- well, maybe back up. That's the way I went at it and  
18 it didn't work.

19 The way you put it was? Hugh, can you help me  
20 formulate this question so I do a better job than I did  
21 this morning?

22 DR. AUCHINCLOSS: Well, I would ask the  
23 committee two things. Can they imagine circumstances in  
24 which it would be okay to proceed with a clinical trial of  
25 embryonal stem cell therapy for any disease given what we

1 | currently know about the risks associated with  
2 | tumorigenicity?

3 |           On the other side, I would ask them the  
4 | question, is there any evidence that an adult stem cell  
5 | therapy, including in vitro derivatives, is any more risky  
6 | than any other form of cellular therapy?

7 |           DR. SALOMON: Comment on it, either way. More  
8 | risky, less risky.

9 |           DR. SAUSVILLE: I have a question pertaining to  
10 | that actually to members of the panel. Although there is  
11 | the -- I'll use the word -- theoretical risk from the  
12 | embryonal stem cells, is it within anybody's experience who  
13 | has used these cells in any animal system in which it would  
14 | be either likely or even possible that such a tumor has  
15 | manifest itself?

16 |           DR. SALOMON: Well, they gave us data yesterday  
17 | that embryonal stem cells produce teratocarcinomas.

18 |           DR. SAUSVILLE: But the point is you have to  
19 | select for that. My question is putting it in the milieu  
20 | of the animals that you're going to use them in.

21 |           DR. SNYDER: John was talking about cells that  
22 | he had not pre-differentiated, that he had taken as ES  
23 | cells and put in there.

24 |           DR. SALOMON: Let's start with embryonic stem  
25 | cells.

1 DR. AUCHINCLOSS: John showed us an experiment  
2 in which he induced the differentiation, selected on the  
3 basis of markers --

4 DR. SNYDER: Well, he selected for markers.

5 DR. AUCHINCLOSS: Selected on markers of  
6 differentiation, put those cells in, and said, oh, my God,  
7 I've still got three tumors.

8 DR. SNYDER: But most people working with the  
9 ES cells will predifferentiate or precommit them, and the  
10 risk of putting in undifferentiated ES cells is not just  
11 teratocarcinomas, but for instance, Oliver Brustle has  
12 taken ES cells, put them into the brain, and has not gotten  
13 a tumor, but has gotten an autonomous neural tube growing  
14 within the brain. That doesn't seem to happen if they do  
15 these precommitment steps ex vivo.

16 DR. SALOMON: What I want then is first  
17 embryonal stem cells, not embryonal stem cells and this and  
18 this and this. Let's start with embryonal stem cells and  
19 try and work through these different groups to identify the  
20 risk. Like I said, I was trying to do that this morning.

21 Before Mehandra, Evan, can you just address the  
22 embryonic stem cell? If I want to do a clinical trial with  
23 an embryonal stem cell, yes or no? I'm not holding you --

24 DR. SIEGEL: Dan, could I interrupt to broaden  
25 out the question you and Hugh ask? We really need a

1 different answer from that question. The question is  
2 framed as do we know enough now to go there. But what will  
3 happen to us is that three months from now someone will  
4 present a proposal and in that proposal may well be a lot  
5 of data that are not before this committee. So, really the  
6 type of questions that we're getting into here are not yes  
7 or no, do we know enough now, but what do we need to know.  
8 Is tumorigenicity an issue that we can define? What tests  
9 need to be done to rule it out? So, that's the advice that  
10 we could use here.

11 DR. AUCHINCLOSS: I think that's an excellent  
12 way of putting it because I can't imagine that one would  
13 ever want to say we'd never want to use ES cells.  
14 Presumably we do. But there needs to be more information  
15 than I heard today, presumably, before you would go ahead  
16 with a trial. I don't know what that information is, but  
17 somebody would need to address head on the tumorigenicity  
18 problems.

19 DR. SALOMON: My point only is that there is  
20 some information here.

21 All right. Answer the question the way Jay  
22 asked it. What would you want to know about a cell  
23 population of any of these stem cell populations that would  
24 say no way I'm going to do it?

25 DR. SNYDER: It's no different than what we

1 | were talking about this morning. You still need to prove  
2 | that the cell population you're using is efficacious in  
3 | your model and safe by whatever marker. So, ES cells, yes,  
4 | can be safe but you need to prepare them ex vivo, probably  
5 | commit them or predifferentiate them and put them in under  
6 | the right circumstances. And there are ways of doing that.

7 |           Conversely, when you don't do that, for  
8 | instance, Jonas Frisen has recently demonstrated that you  
9 | can take adult neural stem cells -- now, we can debate  
10 | whether we believe the data or not, but what he has  
11 | published is adult cells, neural cells supposedly committed  
12 | to the neural lineage can be processed in such a way that  
13 | he can put them into the embryo where they behave just like  
14 | ES cells. So, there's at least there the theoretical risk  
15 | that if they behave like ES cells, can they also give rise  
16 | to teratocarcinomas and give rise to autonomous organ  
17 | systems?

18 |           DR. SALOMON: So, let's stop there for a  
19 | second. What everybody is saying is that if they had a  
20 | cancer-producing potential, it would be bad, but we didn't  
21 | need to come to Washington to tell the FDA that. So, what  
22 | we need to tell the FDA is what is evidence, what is a way  
23 | of determining the cancer-producing potential of any given  
24 | cell for these kind of transplants.

25 |           Mehandra and then Jeff and then John.



1 DR. RAO: So, I think to answer your question  
2 specifically, I think we should make a distinction between  
3 ES cells themselves in a naive undifferentiated state and  
4 derivatives of ES cells because derivatives of ES cells  
5 have the same risk in some ways of getting tumors as any  
6 dividing cell population which is maintained in culture for  
7 a certain time period. You get spontaneous  
8 immortalization, all of those. That risk is probably about  
9 the same, at least until there's clear-cut data to the  
10 contrary.

11 In terms of undifferentiated ES cells which  
12 have been maintained, I think the data is quite strong  
13 because that's a definition that every time you put them in  
14 any part of the brain, you're going to get tumors. So, in  
15 that sense, if you had to have an absolute answer, I'd say  
16 that unless there's data to the contrary, you would not put  
17 in ES cells in an undifferentiated way into the brain  
18 because there's data clearly saying it.

19 In terms of differentiated cells which are  
20 derived from ES cells or from any other cell type, you use  
21 standard criteria to say are you going to get a tumor.  
22 Since we're growing them in culture, we have standard sort  
23 of ways of looking at things like p53, looking at the  
24 levels of telomerase, looking at cell division rates, and  
25 alteration of cell division rates, which would be criteria

1 | you would use for looking at frequency, soft agar assays,  
2 | those sort of things.

3 |           DR. MACKLIS: It seems like we already heard  
4 | part of the answer to your question yesterday. John  
5 | Gearhart told us that he worked hard, predifferentiated the  
6 | ES cells for many weeks to make them pure -- they were  
7 | supposed to be pure oligos? I can't remember, but to  
8 | purity. Then he did a great experiment. He transplanted  
9 | them into 150 animals and 2 of them got tumors. It would  
10 | seem like that's exactly what one would want to insist  
11 | upon.

12 |           DR. SNYDER: He was selecting for hematopoietic  
13 | lineage.

14 |           DR. MACKLIS: That's correct.

15 |           This might be the only time that I'll disagree  
16 | with something Mehandra said over these two days, but it's  
17 | not clear that we have the data to say that ES cell-derived  
18 | proliferating cells have more or less or the same risk as  
19 | other proliferating cells unless somebody does a head-to-  
20 | head experiment.

21 |           DR. SALOMON: Well, again, I'm following sort  
22 | of the idea of it's not really important to get hung up on  
23 | whether we think embryonic stem cells, this or that. But I  
24 | think we need to still give specific things. Now, Mehandra  
25 | has responded to say, okay, do karyotyping, do p53, do gel

1 | assays. Fine, that's what I want to hear.

2 | DR. MACKLIS: How about transplantation into  
3 | animals in large numbers.

4 | DR. SALOMON: That was good.

5 | John, Michael, and Vassilis.

6 | DR. TROJANOWSKI: Virginia Lee and I in  
7 | Philadelphia have eight years' experience with the N-Tera-2  
8 | cells in animal models on normal nude mice. These, as I  
9 | mentioned, have an abnormal karyotype. They are  
10 | differentiated. We have never seen a tumor with the  
11 | postmitotic neurons beyond 1 year.

12 | In a parallel life, I've worked for 15 years on  
13 | medulloblastomas. These are stem cell-like tumors, and  
14 | I've tried very diligently to create animal models of  
15 | tumors. I've looked side by side at the N-Tera-2 cells. I  
16 | know of no precedent for a cell sitting in the brain for  
17 | over a year transplanted and suddenly becoming a tumor  
18 | cell. It has not happened. It may be formally possible.

19 | DR. SALOMON: John, you're making a key point,  
20 | that in my listing of stem cells, I apologize I left those  
21 | out. That would be some sort of a transformed or  
22 | accidentally naturally immortalized cell line. That should  
23 | be on the list.

24 | DR. TROJANOWSKI: Right. I think it applies to  
25 | stem cells.

1                   So, you do 100 animals, you leave them for a  
2 year, if they don't make tumors -- and in fact, I would say  
3 we've taken the retinoic acid-naive N-Tera-2 cells, which  
4 are considered to be neuronal progenitor cells, not stem  
5 cells, and depending upon where you inject them in the  
6 brain, they will or will not form tumors. If you confine  
7 them to the caudate, we have shown they will differentiate.  
8 There's some retinoic acid-like factor there. And those  
9 animals too survive for over a year and a half without  
10 erupting into malignant tumors.

11                   So, I think we have very good screens for  
12 tumorigenicity, and it ain't mysterious and it ain't  
13 problematic. I'm just telling you that.

14                   DR. SALOMON: What you're talking about are  
15 rodent studies.

16                   DR. TROJANOWSKI: These are human cells --  
17 human cells I would emphasize -- into mouse brains. I  
18 would challenge you to show me a model in monkeys,  
19 squirrels, zebras, whatever. Everything is formally  
20 possible. We have to talk about what is realistically  
21 possible, and there just is no precedent in brain. Maybe  
22 in marrow, maybe somewhere else, but not in brain.

23                   DR. SALOMON: We're going to keep going. I  
24 just want to point out already there's one inconsistency  
25 here. We like the idea that we would take cells and look

1 at karyotyping and p53 expression, and the implication  
2 there, Mehandra, was that if we found these changes, those  
3 would be arguments against going forward with that as a  
4 trial. Rusty yesterday showed us data in which 1 of 2 that  
5 had karyotypic changes, 1 of 7 total that he characterized  
6 did form tumors. However, what John is saying is that  
7 here's a cell line that has a karyotypic change, and he's  
8 saying that it's safe. So simply finding a karyotypic  
9 change is not necessarily saying that it's not safe.

10 DR. TROJANOWSKI: The data is king, and I would  
11 listen to empirical evidence for or against some of these  
12 things. And be mindful of formal possibilities and really  
13 seriously consider practical problems. But if there's data  
14 to the contrary, I think you're going to pass.

15 DR. SNYDER: There are functional assays that  
16 you guys probably even know better than we do for  
17 transformation, which is growth in soft agar and lack of  
18 contact inhibition, producing a tumor in the brain or in  
19 the muscle of a nude mouse. So, we would subject these  
20 cells to exactly what you would do to define  
21 transformation.

22 DR. SALOMON: Michael and then Vassilis.

23 DR. WALKER: We're talking about what, in  
24 essence, is a very low incidence phenomenon. Therefore,  
25 we're always going to be approaching it from the null point

1 of view, namely that we haven't found anything but we might  
2 find something in the future. So, from that point of view,  
3 any cell that to me has the potential for unlimited and  
4 uncontrolled replication is of concern. That doesn't mean  
5 we don't do it. It just is of concern.

6 Second of all, we're used to considering the  
7 brain as fully and irreversibly differentiated, and now  
8 we're finding out that it clearly is not and that there are  
9 cells that you can get out of there that have all sorts of  
10 wonderful potentials. If they were pushed back to acting  
11 like ES cells, I wonder what might happen.

12 That being the case, I think we have to start  
13 thinking about simply the kinds of things that John was  
14 talking about, that when a model is set up, for example,  
15 that's going to be showing whether this particular  
16 treatment has in that particular model efficacy, I'd like  
17 to have an aliquot of those models totally set aside for  
18 long-term follow-up simply to find out if there is an  
19 incidence that we could find or not. It doesn't prove  
20 there isn't any, but I think we need to set some of those  
21 kinds of things up. I think to try and break it up into  
22 each individual cell, each individual cell type, each  
23 individual cell source, and sort of say for each one of  
24 those, we're going to have a different parameter, that's  
25 too difficult.

1 DR. SALOMON: Vassilis and then Rusty.

2 DR. KOLIATSOS: Actually I feel obliged at this  
3 point to release some published information from my lab. I  
4 really want to make a plea that John Gearhart's deposition  
5 is not misinterpreted. These things were not tried in the  
6 nervous system.

7 We tried in my laboratory some of these ES-  
8 derived cells, not human but mouse, and the person who did  
9 it was trained with Ron McKay and did exactly what Ron  
10 McKay does to get neural precursors from ES cells. We had  
11 a 90 percent UN positive population before we put the juice  
12 in the brain. In some parts of the cortex, we saw some  
13 nice integration, and in some other parts of the cortex, we  
14 saw something that I don't call it a cancer, but I will  
15 send to John the slide, if you want, John. It's clearly  
16 unintegrated. It's round. It pushes. And it doesn't look  
17 like nervous tissue to me, so clearly not cortex.

18 This is not to say that this is going to happen  
19 all the time. It is to say that even when, Mehandra, we do  
20 all these steps to differentiate, even one or two cells can  
21 remain that can do a job not necessarily to form tumors,  
22 but unintegrated globs of tissue, which are not going to be  
23 of any functional relevance and may be deleterious. The  
24 potential will be there and the picture is very  
25 complicated.

1 DR. GAGE: In our case, they were cells that  
2 had been passaged for long periods of time and karyotyped.  
3 In one of the two aneuploidy cells showed tumors sometimes.  
4 I should point out that they didn't tumor all the time.  
5 Probably 1 out of 30 animals had a tumor, but that was  
6 marked in the protocol saying that there was a tumor found  
7 in that animal that received that cell type.

8 The other point about using rats or small  
9 animals as an index for safety of tumorigenicity concerns  
10 me, that generally our graft sizes are about 200,000 cells  
11 per microliter. You might be putting in 3 or 4  
12 microliters, and that's not comparable to the number of  
13 cells that you'd actually be putting into the human.

14 So, if you come to a point where you have a  
15 question of aneuploidy, p53, you've done your first-pass  
16 screen and there's something that is highlighted and you  
17 begin to do your tumor assay to see in 100 animals what  
18 percentage of them give tumors where, I think the number of  
19 cells that you put in should at least be taken into  
20 consideration. It may not be that a mouse or a rat with  
21 100,000 of those cells is adequate to determine whether or  
22 not that cell has tumor cells in it. Because it's not that  
23 every cell is going to be tumorigenic, but some small  
24 fraction.

25 Certainly in cancer studies, even with gliomas,



1 | if you take a mouse glioma or a rat glioma and put it into  
2 | a rat at 200,000 cells, you're not going to get a tumor.  
3 | You need to get up to a certain number of cells before you  
4 | get that kind of growth.

5 |               So, at least in the consideration of things and  
6 | then the assays are being developed to determine whether or  
7 | not the cells that reach a certain stage that they need to  
8 | be evaluated -- we've got to make sure we're looking at  
9 | enough cells and enough animals to feel comfortable that it  
10 | has passed some sort of criteria.

11 |               DR. TROJANOWSKI: So, I think we have to  
12 | distinguish between tumors and whatever is going on in Curt  
13 | Freed's poor patient. I don't think that's a tumor. That  
14 | may be exuberant growth or overproduction or what have you.  
15 | I'm talking about tumors that kill people and not  
16 | hamartomas, not benign growths, not aberrant  
17 | differentiation. You can do bromodeoxyuridine labeling and  
18 | if you do that, as we have done, it's negative in animals  
19 | that survive beyond a year, the postmitotic neurons, when  
20 | they put them in there. I would again challenge anyone in  
21 | this room to show me a tumor that you can get after you put  
22 | a million cells of whatever your favorite benign -- and let  
23 | them survive a year without a death. That I have not heard  
24 | of.

25 |               DR. KOLIATSOS: John, this is a very important

1 point. I'm afraid that the less BrdU I see, the more I get  
2 concerned because it tells me that the cell has gone  
3 through several generations in the brain. In fact, I see  
4 much better BrdU in well-integrated, graft-derived cells  
5 than in the ones that I'm afraid of.

6 DR. TROJANOWSKI: I'm not saying one or the  
7 other. I'm saying do both. Bromodeoxyuridine, pulse them  
8 several times.

9 DR. SALOMON: Phil?

10 DR. NOGUCHI: In this conversation, I think we  
11 must be very careful to distinguish between rodent cell  
12 lines and human cell lines and embryonal human cell lines.  
13 These are all new things.

14 But in terms of classical cell biology, there  
15 is, as far as I know, no documented case of a human cell  
16 line, normal cell line in culture being transformed by any  
17 means, shape, or form into a malignant line. Now, you have  
18 malignant lines who start that way and you have normal  
19 lines who start that way. But people have been trying by  
20 many means. Human cell lines are clearly different than  
21 mouse cell lines. We lost about 20 years in using any kind  
22 of continuous cell lines because of the concern that we had  
23 for mouse.

24 The same goes I think for testing for  
25 tumorigenicity. What we can say is if it forms a tumor in

1 nude mice, you probably don't want to use that as it is,  
2 putting it into the brain, but it could be differentiated  
3 like the N-Tera-2.

4 So, there is a fundamental difference of human  
5 cell lines, and I think what we really don't know at this  
6 time is for an embryonal stem cell or any other kind of  
7 human stem cell, other than hematopoietic, does that kind  
8 of transformation ever really occur in vitro or not. I  
9 would posit that so far we haven't seen it, even when  
10 people tried desperately to do that.

11 DR. SALOMON: So, Phil, just as a point of  
12 clarification, because this came up in a conversation, is  
13 it then what you just said, that mouse cell lines may have  
14 a much higher potential of malignant transformation in  
15 culture than human cell lines?

16 DR. NOGUCHI: I would say that the common  
17 factor is a mouse cell line carried long enough will become  
18 tumorigenic. That's how all the original lines were  
19 derived. It's not the same for humans. You cannot really  
20 transform them in vitro.

21 DR. SALOMON: Darwin?

22 DR. PROCKOP: I'd just like to make a couple  
23 comments.

24 I think a lot of interesting points of view  
25 have been raised here, but I keep coming back to Dr.

1 Auchincloss' point. How is this different than what we've  
2 been doing for 50 or 100 years in developing therapy for  
3 patients? There are some differences, but I don't see very  
4 many.

5           So, yes, in the beginning, we'd like to  
6 thoroughly characterize what we start with. It would be  
7 great to have a single crystal organic compound whose  
8 structure we know. We're not going to get that out of these  
9 cells. I think it's more like Premarin, pregnant mare's  
10 urine, which is still used in place of estrogen. It has  
11 compounds in it; we don't know what they are. So, we're  
12 going to get an approximation of what the cells are, but  
13 it's only going to be an approximation.

14           Our experience fits with the hematopoietic stem  
15 cell line. We can see two cells divide. They look the  
16 same but they're already committed down different routes.  
17 Our data fit with that kind of paradigm. We're going to  
18 wind up with mixtures of cells no matter what we do, so we  
19 can give plus or minus what the percentages are, define  
20 them. We have to do that for dosage and all the rest. But  
21 beyond that, we're going to do standard pharmacology in  
22 effect. We're going to do as much as we can in isolated  
23 cells, look for karyotypic changes. And, oh, yes, that all  
24 helps, but you've got to go from there to the animal and  
25 you've got to give doses to the animal. You've got to look

1 | for toxicity. Yes, if you've seen changes of changes in  
2 | the karyotype ahead of time, you've got to do much more  
3 | studies for tumors. But it's all the same thing. As John  
4 | was saying, it's an empirical game which is not so  
5 | different than we've been doing for nearly 100 years. I  
6 | really don't see a difference.

7 |           DR. SALOMON: The only point, Darwin, is that  
8 | if this field came forth to successful clinical trials,  
9 | having done all of that, that would actually not have been  
10 | done for any of the things that you're talking about. It  
11 | hasn't been for islet transplantation. It hasn't been done  
12 | with hematopoietic stem cell transplantation. So, the fact  
13 | is if this is done, it certainly builds on the shoulders of  
14 | all the hard work that's been done in those other areas.  
15 | But it's not been done before, so it is unique.

16 |           DR. PROCKOP: The other step is this. The lung  
17 | transplant field is one lesson. You can do as much as you  
18 | can in animals. You go to the patient, you see something  
19 | different. I think you have to go to the patient who's  
20 | extremely ill, be very attentive to what's happening, and  
21 | get an idea how you might go back again and improve things.

22 |           It's part of the story I was telling about  
23 | osteogenesis imperfecta. We got ahead in the clinical  
24 | trials of what the animal trials are about. It's not the  
25 | way I would have liked to have done it. That's the way it

1 | happened.

2 |           Bone marrow transplants went the same way. As  
3 | I understand the field, before you answered basic  
4 | fundamental questions in mice, you went on to humans. And  
5 | you still can't make that transition very cleanly.

6 |           So, it's going to be a whole game that way, but  
7 | I don't think it's that different than we and scientists in  
8 | the past have faced before developing therapies. I don't  
9 | see the big differences.

10 |           DR. SALOMON: I understand the point. As a  
11 | clinical investigator myself, I'm always concerned that we  
12 | regulate things out of business. If that's Darwin's point,  
13 | I agree with that.

14 |           Ed?

15 |           DR. SAUSVILLE: I just had one follow-up to the  
16 | point may be Phil. Recent work by Bob Weinberg has  
17 | actually shown that humans differ from mouse cells in the  
18 | telomerase regulation, and if you control for that, you can  
19 | actually transform human cells. So, I just would point  
20 | that out.

21 |           I guess I'd turn it back to Hugh. You asked  
22 | two questions. Do you feel like this conversation has  
23 | clarified things?

24 |           DR. AUCHINCLOSS: For question number 1, I  
25 | think I got an answer. The group thinks that an

1 undifferentiated ES cell has an intrinsic capacity to form  
2 tumors and would be an unlikely candidate for a source of  
3 tissue at this point. Maybe somebody can put in a suicide  
4 gene or a regulatory gene, et cetera. I can imagine lots  
5 of things that could change that, but right now an  
6 undifferentiated ES cell has an intrinsic difference.

7 But what I think I heard is a differentiated ES  
8 cell puts you into the world of empiricism where you do  
9 lots of tests that you people have talked about, both in  
10 vitro and in vivo, and it may well be that you can come up  
11 with sufficient numbers of indicators to say I think this  
12 is safe based on what we've seen so far. You may turn out  
13 to be wrong, but there are assays that you can do.

14 Then I thought that you made the correct point  
15 that the real intrinsic property that we're looking for is  
16 the capacity for uncontrolled proliferation and that you  
17 might get there in vitro with any cell line, an islet cell  
18 or hepatocyte, that is in culture long enough to transform,  
19 if in fact that were to happen in humans, and that would  
20 put you into the special box category.

21 So, now back to the second question. So,  
22 that's what I had gotten on question number, but now  
23 there's question number 2. What I thought I heard  
24 yesterday or what I didn't hear yesterday was any evidence  
25 that an adult stem cell is any more risky than any other

1 form of cell transplantation.

2 DR. SALOMON: Mercedes?

3 MS. SERABIAN: I just want to make a comment  
4 that if you have a tumor in the brain, you can't remove the  
5 brain. There is a difference that and the other organs,  
6 other tissues.

7 The gold standard is in vivo testing. We can  
8 talk back and forth here about what cell type, what would  
9 be required, what not. But if an IND gets plopped on my  
10 desk and it's a certain cell type and someone has or has  
11 not done tumorigenicity, then we have to make a call as to  
12 whether it's appropriate or not, what animal species,  
13 what's the study duration? Is it an immunosuppressed  
14 animal and we watch it for a year? I've seen some they  
15 claim after 6 weeks no tumorigenicity. You think that  
16 convinces me?

17 DR. SIEGEL: Let me follow up actually on that  
18 question and on your remark, Hugh, on this area of adult-  
19 derived cells. Somebody commented that at least if you put  
20 adult-derived cells in a certain environment -- but we've  
21 talked a lot about environment, so I'm not sure where in  
22 the brain you want to inject these cells for  
23 tumorigenicity, but that's another issue. Or do you want  
24 to inject them in the brain? But probably you'll get  
25 different results based on all we've heard about



1 | microenvironments.

2 |           But somebody pointed out you could put them in  
3 | the embryo and unlike, I would guess, a pancreas cell, you  
4 | can get dedifferentiation of these adult stem cells to  
5 | totipotential stem cells, which presumably at least we're  
6 | concerned do have some risk of tumorigenicity. So, that  
7 | raises the question is that risk or concern high enough  
8 | that there ought to be similar types of -- your question.  
9 | Should the similar types of safety testing be done  
10 | regarding tumorigenicity and dedifferentiation if the cells  
11 | are from an adult neurological source?

12 |           DR. AUCHINCLOSS: I think your question is just  
13 | right. That's the first I know of that data and it seemed  
14 | to me it began to move the adult stem cell back into a more  
15 | risky category again. How do you interpret that data?

16 |           DR. SNYDER: Even the cells that Rusty was  
17 | talking about were adult neural progenitors passaged many,  
18 | many times. So, simply being adult or not adult is not the  
19 | decision.

20 |           DR. AUCHINCLOSS: Passaged many, many times  
21 | puts me back into the category of in vitro potential for  
22 | transformation. It's taking the adult stem cell out and  
23 | putting it into the patient that I so far haven't heard any  
24 | indication that was a risky procedure.

25 |           DR. SNYDER: I think the distinction maybe is

1 not so much whether it's adult or not. There are many  
2 steps in between. Perhaps organ-committed, organ-specific,  
3 or lineage-committed. And the ES cell clearly has the  
4 intrinsic potential to give rise to many lineages by  
5 definition. Shortly after that, under normal  
6 circumstances, there may be stem cells that are at least  
7 organ-committed or organ-specific that under normal  
8 circumstances do not seem to give rise to inappropriate  
9 cells in the respective organ. Those can be brought out.  
10 They need to be expanded, and you would subject them to  
11 same kind of safety and efficacy studies.

12 Now, we're not certain, however -- and it's  
13 still an open question -- whether a neural stem cell from a  
14 fetus, let's say, which is beyond the embryo but certainly  
15 not an adult, is better or worse than an adult stem cell.  
16 There's a division now and it's going to be an empiric  
17 decision. There's some evidence that maybe an adult stem  
18 cell doesn't have quite all of the potential that a fetal  
19 neural stem cell does. Others believe that they're  
20 completely synonymous and that a stem cell is a stem cell.

21 DR. SALOMON: We're going to need to move on in  
22 a minute because there's too much to do and it's almost  
23 2:30. So, if there are a couple really pithy comments on  
24 this, I'd like to close this and move on.

25 DR. CHAMPLIN: I have a pithy comment.

1 DR. TROJANOWSKI: I would just like to say I  
2 think we have the data that Dr. Auchincloss is looking at.  
3 We've had 40 mesencephalic transplants. I was just looking  
4 at a fetal mesencephalon last week, a section thereof, in  
5 my laboratory where I'm study alpha-synuclein expression in  
6 the fetal mesencephalon. And I saw dopaminergic TH  
7 positive cells in the fetal nigra, but I also saw them  
8 moving -- or at least what could be interpreted -- away  
9 from the central canal. I don't know if you guys, Jeff,  
10 have done marker studies, but I can't imagine that there  
11 aren't fetal neural progenitor cells in those  
12 mesencephalons that we've already done the experiment to  
13 show that they don't form tumors by virtue of doing fetal  
14 mesencephalic transplants in 40, 50 patients, however many.

15 DR. SALOMON: Well, just maybe to jump forward  
16 here, I think that what I'm hearing -- I just can't imagine  
17 you guys would disagree with it -- is that if you have a  
18 stem cell, wherever you get it, as defined by its ability  
19 to differentiate in a very plastic way in many different  
20 directions, i.e., multipotent, whether it's for adults or  
21 whatever, even if you manage to keep it in that primitive  
22 state in culture rather than differentiating down a  
23 specific lineage, that cell will have to be tested by the  
24 same standards of tumorigenicity as any other cell you want  
25 to use that's a stem cell. Right? I think everyone agrees

1 with that.

2 DR. RAO: Yes, but it shouldn't be held to the  
3 same standard that you're trying to hold the embryonal stem  
4 cell to. That's all. Despite the data around the --

5 DR. SALOMON: I think you're going to find it  
6 very difficult to get the FDA to set too many different  
7 standards. I think that Mercedes is going to have a  
8 standard for tumorigenicity. Jay Siegel and Phil Noguchi  
9 are going to have a standard for tumorigenicity. Meet that  
10 standard, you can use the cells. Don't meet that standard,  
11 get back to work. I think that's how it's going to be.  
12 You can't say, well, let's see this, and then you'll argue,  
13 but it really fits into this standard. I don't think that  
14 kind of thing works in a regulatory environment.

15 DR. RAO: I thought in the big thing of this  
16 conversation we agreed that embryonal stem cells formed a  
17 special class. Right? I just don't want every cell to be  
18 held to that standard. It's okay to hold embryonal stem  
19 cells to whatever standard you're holding all the other  
20 cells to, but --

21 DR. SALOMON: I think this is something that  
22 the FDA should respond to. My comment is that they're  
23 going to hold you to the same standard. But, Mercedes,  
24 tell me I'm wrong.

25 MS. SERABIAN: I don't know what the standard