

1 cows or in mice that there's a 10^{-2} - 10^3 there.
2 However, I would like to know, first, in the RIII
3 mice, are they special? Can they be improved by
4 genetic manipulation?

5 Also, what is a Delphia test, and what is
6 the prionics test? I think all those would be helpful
7 to clarify.

8 DR. WELLS: On the question of the mice,
9 RIII mice and C-57 mice, they are the short incubation
10 period, the homozygous sync gene or the short
11 incubation PRP gene mice that are used -- the
12 conventional mice that are used for all the assays and
13 part of the panel of conventional mice strains that
14 are used for assays of both scrapie and BSE.

15 You're quite right that there are studies
16 now that have carried out transgenic studies of
17 infectivity in transgenics. But I'm not aware of any
18 of these that have actually standardized the procedure
19 to a degree that would enable us to use them in a
20 routine manner.

21 CHAIRMAN BROWN: Yes?

22 DR. GRIFFIN: Well, have they been
23 directly compared? Are they more sensitive than RIII
24 or Black 6 mice? I mean, is that direct comparison --

25 CHAIRMAN BROWN: Maybe Gerry knows more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than I do, but to the best of my knowledge, the RIII
2 mouse remains the most sensitive assay animal with the
3 shortest incubation period.

4 DR. GRIFFIN; So transgenesis into that
5 mouse does not improve things.

6 DR. WELLS: That's our primary
7 transmission.

8 DR. GRIFFIN: I think the real question is
9 -- I mean, I have the same question as Alice's.
10 What's the sensitivity of the assays, all these
11 various assays, and can they be improved; because it
12 sounds like that's really a limiting factor in trying
13 to --

14 CHAIRMAN BROWN: That is, the question
15 goes to whether or not the demonstrated tissues or the
16 tissues that have been demonstrated to be infectious
17 could be, shall we say, the tip of the iceberg.

18 DR. GRIFFIN: Exactly.

19 CHAIRMAN BROWN: There might be low levels
20 of infectivity that haven't been picked up yet.
21 Right. Well, I think the answer to the question is
22 maybe not the tip of the iceberg, but certainly low
23 levels of infectivity might be present, if you are
24 assaying in any species that is not the host species.

25 On the other hand, you have seen evidence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presented in which the species barrier has been
2 eliminated by using cattle as the assay animal, and
3 the results of those rather extensive assays are very
4 optimistic. That is, you can't get better than cattle
5 and cattle.

6 DR. HUANG: How about the prionics test
7 and the Delphia test?

8 CHAIRMAN BROWN: Go ahead, John.

9 DR. WILESMITH: I'm not an expert on these
10 tests. These are two of four tests which were put
11 through an EU evaluation. I use the word carefully,
12 not a validation. Briefly, the prionics is in kit
13 form, and it's basically in an ELISA format. Okay?

14 The prionics uses this Delphia technology
15 which I really am light on, but developed by a company
16 called Wallach, and it's this rapid -- sort of
17 detecting this rapid fluorescence that one gets from
18 the test system.

19 So they are basically trying to detect
20 various forms of PrP-SC, and that's the interesting
21 bit, I think, that we're learning from these tests,
22 because they are all actually detecting different
23 forms, aggregated and protease K resistant. I think
24 we might actually get some information from comparing
25 these tests on, if you like, the pathogenesis of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 development of abnormal forms of PrP.

2 So they are in use and so on, and the
3 prionics is the most attractive if you want to process
4 a lot of samples, but it's no better than a good
5 pathologist.

6 CHAIRMAN BROWN: If you want to make the
7 Swiss rich, prionics is the test. As a matter of
8 fact, they are all good tests, and several of them
9 depend on an ELISA format with refinements of
10 detectability. Some would argue that the Western blot
11 remains the gold standard. Immunohistochemistry, in
12 some cases, in different studies you get a little --
13 you get an occasional positive immunohistochemically
14 that doesn't turn up in a Western; vice versa.

15 There is an immunoblot. There is another
16 technique now which takes formalin-fixed tissue and
17 converts it into a kind of immunoblot. There are a
18 half-dozen different technologies out there, and they
19 have varying degrees of sensitivity, but in general
20 the sensitivity is certainly equal to the same tests
21 used on conventional infectious agents.

22 DR. ALMOND: Mr. Chairman, could I just
23 add that I was on the EU committee that was
24 established to validate those four tests. The
25 validation was carried out by the importation of 1,000

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clean brains from one of the clean countries, and that
2 they were randomly mixed blind with 250 brains that
3 were provided from confirmed cases from MAF in London.

4 Three of the four tests identified 100
5 percent of the positives and all of the negatives as
6 such in the way the material was presented. So they
7 were very good tests.

8 The only caveat we should add, which John
9 has already alluded to, is that these animals from
10 which the positive brains were taken were all
11 clinically sick animals and, of course, therefore,
12 terminal. They had been confirmed by histopathology.

13 None of the tests were assessed in terms
14 of their ability to detect preclinical animals.
15 However, each of the tests were tested with dilutions
16 of brain macerate where the dilution was of an
17 infected brain by uninfected brain. Some of the tests
18 did rather better than the others in terms of the
19 dilution to which you would still get a positive with
20 those brain macerates.

21 It was absolutely clear that what was
22 required to follow up on those tests was a diagnosis
23 of the animals that were "in the pathogenesis study
24 that Gerald Wells described, so you could then assess
25 how the tests were performing on preclinical animals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In that sense, the sort of comparison that John talked
2 about will be useful at the moment. But as far as the
3 tests today are concerned, they have only really been
4 tested on clinically sick animals.

5 CHAIRMAN BROWN: Yes. The other part --
6 We're going to have to stop again, but the other part
7 of the question, of course, is we're talking now about
8 optimum tissue, brain tissue. When you're talking
9 about muscle, when you're talking about plasma, you
10 may be in a somewhat different situation, and none of
11 these tests are as sensitive as a bioassay in
12 detecting very low levels of infectivity. I mean,
13 that's a fact.

14 All right. We'll move on now to the final
15 -- not the final, actually -- to a presentation about
16 the European Union approach and perspective. I have
17 two speakers listed. The first is Professor Jean-
18 Hugues Trouvin.

19 DR. TROUVIN: Thank you, Chairman. I
20 would indeed like to present to the committee the way
21 the question of BSE has been answered in Europe since
22 the early 1990s. This has essentially been done by
23 presenting the European guidelines on minimizing the
24 risk of transmitting the BSE and TSE. After my
25 presentation my colleague, Dr. Dobbelaer, will expand

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and apply the EU approach to the vaccine. Next slide,
2 please.

3 In this presentation I would like
4 essentially to present the guidelines, the three
5 scientific criteria which are used, some additional
6 measures, discuss also some aspects of the guideline,
7 and then the concrete. Next slide.

8 The European guideline was issued first in
9 1991 at the very beginning of the epidemic in Great
10 Britain. The revision of the guideline took place at
11 several locations, but the most significant revision
12 was in 1999 when the guideline became an essential
13 part of the EU regulation regarding TSE requirements
14 in medicinal products.

15 Originally dedicated to cover strictly the
16 BSE question, the scope of the guideline has been now
17 adapted to cover all TSEs in animal species,
18 particularly ruminants. However, this guideline does
19 not cover the human form of spongiform encephalopathy,
20 and thus excludes products of human origin. For this
21 presentation I will refer only to the BSE aspect.

22 The guideline covers all types of material
23 derived from ruminants which can be used in medicinal
24 products either as active substances or excipients or
25 even in-process reagents during the manufacturing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 process. In other words, all the necessary measures
2 to minimize the risk of TSE in products entering the
3 pharmaceutical industry should apply, whatever the use
4 of the product. Next slide.

5 As stated in the guideline, the aim of the
6 document is to clearly identify the necessary
7 information for assessing the TSE risk for a given
8 product. Basically, three pieces of information
9 should be considered: The origin of the animals
10 (geographical parameter); the nature of the tissue
11 collected and used; and the products and process or
12 processes.

13 This clearly shows at the very beginning
14 of the guideline that the risk evaluation is and
15 should be a multi-parameter approach which also takes
16 into consideration the nature and use of the final
17 product. Next slide.

18 Let's now go to these three criteria. The
19 first criterion deals with sourcing. It is well
20 acknowledged in the guideline that this is the most
21 important criterion. This criterion, obviously, is
22 directly linked with the status of the country
23 regarding BSE cases, and the guideline envisages,
24 thus, three situations and states that the most
25 satisfactory source is from countries which have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reported cases of BSE. However, the guideline does
2 envisage the situation where materials can be sourced
3 from countries where cases of BSE have occurred.

4 In this case, additional safety criteria
5 have to be in place in these countries. Needless to
6 say that sourcing from countries where there is a high
7 incidence of BSE should not be envisaged. This
8 recommendation has been made in 1991 and, obviously,
9 is valid essentially for the risk for the beginning of
10 the outbreak of BSE in the U.K.

11 The guideline envisages also the
12 possibility to make use of well monitored herd,
13 whatever it is located and wherever it is located. As
14 we got now the so called BSE status for a given
15 country, it is important to mention that the OIE
16 criteria are those recommended and used in the
17 guideline.

18 The BSE status is a very difficult matter,
19 as essentially it does not only rely on the number of
20 cases reported or detected in a given country, but
21 takes also into consideration many other parameters
22 and other risk factors. Next slide.

23 The second criterion to be taken into
24 consideration is the nature of the tissue collected.
25 As the committee knows, it is necessary to consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the tissue distribution of infectivity. Depending of
2 the tissue, the risk of collecting an infectious
3 material is thus largely different.

4 It is important for that to remind the WHO
5 classification -- next slide -- which envisages four
6 categories of tissues, depending on the level of
7 infectivity. This has already been mentioned by Dr.
8 Egan and Dr. Wells.

9 First -- There are two points on this
10 slide to be considered. The first point is that most,
11 if not all, the bovine derived materials we are
12 dealing with today are classified in Category III,
13 i.e., with low infectivity, or in Category IV where no
14 infectivity is detectable, at least with the limits of
15 detection of the test.

16 The second point in this slide is that
17 specifically for BSE this classification is a worst
18 case scenario as, in fact, as already mentioned,
19 infectivity distribution in affected cattle seem to be
20 restricted essentially to the central nervous system
21 and some part of the digestive tract. Next slide.

22 Another point to be considered for the
23 tissue aspect deals with the risk of cross-
24 contamination during collection of the considered
25 tissue. Cross-contamination is a well known source of

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk which, obviously, has to be carefully checked in
2 the collection procedures. Next slide.

3 Another criterion which has also to be
4 taken on board when assessing the risk is the age of
5 animals. Infectivity, as you know, replicates and
6 accumulates in certain tissues, and it is thus logic,
7 as already shown, to collect from as young animal as
8 possible.

9 This criteria is, unfortunately, not
10 always applicable, depending on the type of tissue you
11 wish to collect.

12 In summary for this tissue aspect, it is
13 important to note that, even if the source is in a BSE
14 country, the risk of collecting infected tissues is,
15 obviously, depending on several other parameters and
16 factors such as the tissue itself, the age of animal,
17 and the risk of contamination, not only based on the
18 geographical origin. Next slide.

19 The third criterion to be considered deals
20 with the manufacturing process. In fact, this notion
21 of manufacturing process encompasses two aspects, the
22 manufacturing process from which the concerned bovine
23 derived material is obtained and the manufacturing
24 process in which the concerned material is used.

25 For the process which give rise to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned bovine derived material, it is important to
2 mention that some processes can be very "soft," e.g.,
3 the collection and processing of the fetal calf serum
4 can be very "harsh."

5 This is the case for, for example, tallow
6 derivative. I know this is not the subject of today,
7 or even the gelatin, but clearly this process has to
8 be taken into consideration in the risk assessment.

9 The second process we have also to
10 consider is the manufacturing process in which the
11 material is used. It is also important to mention
12 this process, as it can provide further safety
13 measures, for example, via dilution, via partitioning,
14 and so on.

15 This is essentially true for bovine
16 derived materials which are used in the production of
17 vaccine, and this point will be illustrated by Dr.
18 Dobbelaer. The guideline, however, does recognize the
19 spatial resistance of the agent to the inactivation
20 process, which is clearly a limiting factor in the
21 safety of those products.

22 Having gone through the criteria which
23 have to be considered in the BSE risk assessment, I
24 would now like to discuss some of them before
25 concluding this presentation. Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This slide just to sum up the three
2 criteria which should be considered in the BSE risk
3 assessment. Again, and clearly, this is a combination
4 of factors which contribute to the safety of the final
5 product. Next slide.

6 I think it's necessary to take a few
7 minutes, if possible, to discuss the geographical
8 criteria. As already mentioned, the BSE status for a
9 given country is often based on the incidence of
10 clinical cases declared or detected in this country.
11 However, it is necessary to acknowledge that incidence
12 is largely depending on the quality of the
13 surveillance system and also depending on many other
14 risk factors in the concerned country, as illustrated
15 in the OID criteria.

16 There is essentially -- As already
17 mentioned by Dr. Asher, there is in Europe a new
18 proposal to introduce a concept of geographical BSE
19 GBR. From this concept -- next slide -- it is
20 possible to classify countries according to their risk
21 of having BSE cases diagnosed on their territory.

22 Without entering into detail of this
23 classification, it is worth noting that USA and
24 Canada, as already mentioned, would be considered as
25 being in Class II, and most of the European countries

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are considered in Class III. This is to say that the
2 geographical criterion is essentially fluctuating, and
3 the number of cases declared should not be considered
4 as an absolute proof of safety.

5 What would be the confusion for a given
6 product declared safe because sourced in a country
7 where no BSE cases have been reported if the day after
8 the BSE status changes with one or more cases
9 declared? Should the change in the BSE status be a
10 significant and sufficient reason to consider that the
11 safety of the concerned product is no longer
12 guaranteed? Next slide.

13 In addition to that, and even if we
14 consider the BSE status as of paramount importance,
15 are the technical problems such as traceability and
16 certificate of origin should also be mentioned. So,
17 clearly, it seems to be reasonable to conclude for
18 this geographical criterion, as explained in the EU
19 guideline, that the geographical criterion cannot be
20 considered as the only safety criterion. It is a
21 necessary criteria, but certainly not an absolute yes
22 or no and sufficient criteria. Next slide.

23 The geographical criterion is only one of
24 the parameters to be considered. The EU guideline
25 proposes, in fact, a multi-parameter evaluation where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 each criterion contributes to the overall safety
2 assessment. Once again, no single approach alone
3 will necessarily establish the safety of the product.
4 This is a combined approach which is necessary in the
5 risk assessment. Next slide.

6 In this multi-parameter approach other
7 factors should also be considered in the risk
8 assessment, such as quantity, route of administration,
9 etcetera. Next slide.

10 The guideline is also encouraging the
11 manufacturer to try to get rid of the use of such
12 animal derived material. This is an easily
13 understandable recommendation. However, this
14 recommendation today should not be considered as being
15 in conflict with the current situation where some
16 products are still making use of bovine derived
17 material.

18 This recommendation is essentially
19 applicable at the development stage of a new product,
20 and we have to acknowledge the current situation that,
21 in some cases, bovine derived materials may still be
22 required because of their special characteristics, as
23 will be explained by Dr. Döbbelaer for vaccine. But
24 this also for many other biological products and even
25 recombinant processes.

1 Another point which should be mentioned,
2 the last point in the guideline, deals with the
3 quality assurance system. This concept of
4 traceability is also applicable at any stage of the
5 process and contributes to the confidence in the final
6 safety of the product.

7 In conclusion -- next slide -- Chairman,
8 it's important to remind that, based on the criteria
9 laid down in the EU guideline, all concerned medicinal
10 products, including vaccines, have been reviewed and
11 judged satisfactory in Europe. This review has been
12 also a good experience to show that the risk
13 assessment should indeed take into consideration a
14 number of factors, and should not be restricted to the
15 geographical origin alone.

16 Finally, this multi-parameter approach is
17 necessary, particularly if one considered the possible
18 evolution of the TSE status worldwide, which makes the
19 geographical origin a very fragile and critical
20 criterion. Thank you for your attention.

21 CHAIRMAN BROWN: Thank you, Dr. Trouvin.
22 Dr. Dobbelaer has the second part of this
23 presentation.

24 DR. DOBBELAER: Thank you, Mr. Chairman.
25 Through you, Mr. Chairman, I would like to thank the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 organizers to allow me to be part of this decision
2 making, very important decision making which, in my
3 opinion, will -- and it has not been said explicitly
4 today -- will have not only an impact on the U.S.
5 situation but also on the situation in the European
6 Union, and not only in these two continents, but even
7 worldwide, since the very same vaccines and the very
8 same substances we are discussing today are used in
9 worldwide in vaccines.

10 What I would like together with you is
11 very quickly go over the processes which are used to
12 make bacterial and viral vaccines. I will not dwell
13 very long on that, since it will also be the subject
14 in a more detailed way by William Vann and Ira
15 Berkower.

16 Then I will emphasize from these
17 production processes what are the quantities of
18 substances of ruminant origin which are to be expected
19 in the final product, and I will also give you a very
20 brief and, I admit, incomplete overview of the
21 different substances of ruminant origin which may be
22 used in production of vaccines, to then come to a
23 conclusion and present the European position which is,
24 in fact, the result of the assessment, an ongoing
25 assessment of individual products and which has

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 recently been consolidated in a general CPMP
2 commission survey. If I could have the next slide.

3 This is just to remember the audience that
4 vaccines may consist of either bacterial cells -- the
5 examples here are live oral typhoid vaccine,
6 inactivated whole cell pertussis vaccine -- may
7 contain purified bacterial cell products like
8 diphtheria, tetanus toxoids, acellular pertussis
9 antigens, recombinant hepatitis B vaccine, Hemophilus
10 b vaccine, or may consist of live purified inactivated
11 viral vaccines produced in mammalian cells, such as
12 measles, mumps, rubella, varicella and inactivated
13 poliomyelitis vaccine. If I can have the next slide.

14 This is a very simplified diagram which
15 shows the production process of a bacterial vaccine,
16 and colored red are the stages at which substances of
17 ruminant origin may be used.

18 Just to tell you that one very -- Well,
19 first I have to make a restriction, in that in some
20 cases a material from ruminant origin are also used in
21 later stages of the production, such as Tween 80
22 during purification and gelatin derivatives as
23 excipients or stabilizers in the final product. But
24 I think I can say safely that the tallow derivatives
25 and the gelatin derivatives are products which can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 additionally benefit from their production process to
2 evaluate their safety.

3 Just to stress the importance, the main
4 issue of this slide is that one quantity of seed
5 material yields, in fact, tens of thousands of vaccine
6 doses, and substances of animal origin used in the
7 initial stages of production are, as stated by Jean-
8 Hugues Trouvin, diluted out, in particular those which
9 are used in the seed lot.

10 Just to give an example, if you take a 1
11 ml seed, which is first inoculated into a 20 ml pre-
12 culture which is then transferred to another pre-
13 culture of 20 liters, which is then transferred to a
14 fermenter of 1000 liters, the overall dilution factor
15 of the seed is one over 20 million.

16 Furthermore, I think it can safely be
17 stated as well that the cells which are used for, for
18 instance, virus vaccine production or bacterial cells,
19 are not known to replicate the agents of BSE.

20 The next slide summarizes the production
21 of viral vaccines, and essentially it is the same
22 message I wanted to give. As a difference with the
23 bacterial vaccines production, here eukaryotic
24 mammalian and avian cells are needed to support virus
25 growth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm going to mention it here. I didn't
2 mention it for the bacterial vaccines. One very
3 important aspect in the production of bacterial and
4 virus vaccines is the use of seed lots and cell banks
5 which provide a constant and reliable source for
6 vaccine production over many, many years. In fact,
7 many of the cell banks and seed lots have been
8 produced and have been the source material to help
9 ensure production consistency for 20 or 30 years.

10 Again, also in viral vaccines I made this
11 simplified distinction between the different colors.
12 Also in viral vaccines in later stages some substances
13 of ruminant origin may be used, but the point I wanted
14 to make is that, especially for substances used at the
15 level of seed material, the dilution factor also in
16 the case of viral vaccines may be very large, and the
17 dilution factor for a serum used in a cell bank, for
18 instance, is 10^{-8} , which is 100 million times.

19 Again, the cells used for vaccine
20 production, the mammalian and avian cells used for
21 vaccine production, exclude neural cells and are not
22 known to support prion growth. Next slide.

23 This is just to give you an idea of the
24 order of magnitude of residual quantities of
25 substances of ruminant origin which may be found at

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the level of the finished product of some vaccines.

2 Just to give you an idea, in the bacterial
3 culture systems where 1 ml seed would be inoculated,
4 etcetera, just the same reasoning I just gave you in
5 pre-culture and in fermenter culture, the dilution
6 factor would be, as I said, 20 millions. One can
7 expect quantities up to 100 nanograms per single human
8 dose in a bacterial vaccine which would use the
9 substance of ruminant origin during the fermentation
10 stage. Next slide, please.

11 This is to give you an idea of the
12 substances which are used. Not mentioned are amino
13 acids, for instance, and also I should mention that,
14 as I already did, some of these products may be used
15 at stages which are different from the ones stated in
16 the slide. But the main message is that most of these
17 products are used in the introduction of seed lots and
18 cell banks. These are the very initial stages of
19 vaccine production.

20 As I probably already staged, products
21 such as lactose and gelatin derivatives may also be
22 used in the formulation of some of the vaccines.
23 Formulation is the very well, the last but one
24 stage of vaccine production.

25 The key message I wanted to give here,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that as has been more convincingly demonstrated in
2 previous talks, none of these substances have
3 demonstrated BSE infectivity in studies from naturally
4 or experimentally infected cattle. Next slide,
5 please.

6 As has been the case, I think, at CBER-
7 FDA, the evaluation process as far as TSE risk is
8 concerned of dossiers for marketing authorization has
9 been an ongoing process since the very appearance of
10 BSE in the U.K., and it has also been a growing
11 process.

12 I think I can safely state that all EU
13 authorities and all EU manufacturers, as we believe is
14 the case for all authorities and vaccine manufacturers
15 in the U.S. and on the global level, have always been
16 aware and are concerned with the microbiological
17 quantity of biological medical products in general and
18 vaccines in particular.

19 I think it is particularly true for the
20 TSE issue and its potential ramifications into the
21 field of biological medicinal products such as
22 vaccines and blood and plasma derivatives. From the
23 very beginning of the BSE epidemic in the U.K. in the
24 later parts of the Eighties, all parties concerned
25 have taken measures to minimize the transmission of

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the disease to animals and man.

2 We believe that in the field of medicinal
3 products in general and vaccines in particular, these
4 measures have been very effective, and applying the
5 scientific principles which are laid down in the EU
6 CPMP guideline which has been explained to you by
7 Jean-Hugues Trouvin, and which is now binding EU
8 legislation, and the scientific principles which are
9 used in the -- applying these in the risk assessment
10 and risk management related to vaccines has indeed
11 minimized the risk to theoretical levels.

12 I just wanted to finish by stating that EU
13 authorities currently see no benefit in additional
14 measures. Should such measures be imposed by other
15 authorities, EU authorities would feel them not to be
16 associated with -- much more -- sorry -- to be
17 associated with risk perception and not with a real
18 risk.

19 My final slide is certainly not intending
20 to prove that vaccines cannot transmit BSE or prions
21 in terms of the CJD or variant CJD, but this is just
22 to show that, if you classify the cases of variant CJD
23 by year of birth, then one can safely say that
24 vaccines have not been associated with the VCJD
25 epidemic in the U.K., as all have been vaccinated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 vaccines -- well, some of them at least, long before
2 appearance of BSE.

3 So I think I'll conclude here. Thank you.

4 CHAIRMAN BROWN: Thank you very much, Dr.
5 Dobbelaer. What we are going to do now is break for
6 lunch, and we are going to have the two brief
7 bacterial and viral vaccine overviews immediately
8 following lunch.

9 We are going to pick up probably a good
10 half-hour of time early this afternoon, and I hope to
11 pick up another 15 minutes at lunch, because it should
12 be possible for us to reconvene at 1:30 rather than an
13 hour from now. At 1:30.

14 Before you go out, Bill Freas will tell
15 you about lunch arrangements.

16 DR. FREAS: In order for us to get back
17 here at 1:30, there is a table reserved in the
18 restaurant downstairs for the TSE members. You are
19 more than welcome to use that table if you so choose.
20 Hopefully, the service will be a little faster.

21 Some of the Vaccine Advisory Committee
22 members have ordered box lunches. If you would see
23 Nancy Cherry out in the hallway, she will assist you
24 with your lunch. So we're going different directions
25 at this time. See you at 1:30.

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(Whereupon. the foregoing matter went off
the record at 12:47 p.m.)

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

**

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:36 p.m.)

3 CHAIRMAN BROWN: It would be much better
4 if I had one of those little bells that you bang,
5 bang; but if I keep talking for a few minutes,
6 probably everybody else will stop. Could we please
7 have some quiet, and could people take their seats,
8 because we would like to start the afternoon session
9 as close to our late time as possible.

10 As I mentioned before the lunch break, we
11 will now have two very brief presentations on viral
12 and bacterial vaccines. That will be followed by
13 presentations from the industry for public
14 consumption. We already had a closed meeting at eight
15 o'clock which dealt with any particularly proprietary
16 aspects of the manufacture of vaccine.

17 So without further ado, we will now hear
18 from Dr. Willie Vann. Could we have some quiet over
19 here on the right, please, the FDA group. Dr. Vann,
20 please.

21 DR. VANN: Today I will present an
22 overview of the manufacturing and a risk assessment of
23 bacterial vaccines. In order to estimate the
24 potential risk of contamination of bacterial vaccines
25 with the BSE agent, we first considered how bacterial

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccines were made and where bovine derived material
2 is likely to enter the process.

3 A generalized scheme of how bacterial
4 vaccines are made is outlined in the first slide. The
5 scheme and the calculations that follow are based on
6 our review of currently licensed bacterial vaccines in
7 the United States.

8 The production of a bacterial vaccine
9 begins with the preparation of a master seed or
10 reference culture, followed by the preparation of a
11 working seed or working culture. The master seed is
12 a well characterized reference culture from which all
13 bacterial cultures used in the manufacture of a
14 particular vaccine component are derived. Next slide.

15 The working culture is derived from the
16 master seed, and is stored in aliquots to be used for
17 routine production of batches of vaccine. An aliquot
18 of the working seed is used to generate an inoculum
19 for the fermentation process. Next slide.

20 The fermentation step is the growth phase
21 where bacterial culture is expanded and produces
22 antigens for vaccine production. The antigen for the
23 vaccines are recovered from the culture, purified and
24 converted into a final container product.

25 The preparation of an entry of master seed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and working seed cultures in the process involves
2 significant dilution of the culture which can range
3 from 10^{-2} to 10^{-3} for a given step. Next slide.

4 After review of current practices in the
5 manufacture of bacterial vaccines licensed in the
6 United States, only a few components were identified
7 with the potential for the introduction of BSE agents
8 via bovine derived material. These manufacturing
9 components given in this slide are media components
10 and stabilizers for seed culture storage.

11 The media components are primarily bovine
12 derived broths. The stabilizer of polygeline is used
13 for the long term storage of the master seed. Next
14 slide.

15 This slide outlines the points at which
16 bovine derived material would enter the manufacturing
17 process. Thus, the potential for entry of BSE agent
18 would be in either of three places, the master seed
19 culture, the working seed culture, or the fermentation
20 broth.

21 Because of the small amount of media
22 introduced into the seed culture steps, due to the
23 high dilution, these steps*are considered to be less -
24 - have less of a potential risk than at the
25 fermentation step. Approximately one to 10 milligrams

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of protein derived culture media is introduced at
2 either of these seed steps prior to fermentation.

3 The potential risk is increased for the
4 use of bovine derived material in the fermentation
5 broth, because of the large amount of media required
6 for fermentation in a batch. A fermentation broth
7 requires hundreds of grams to several kilograms of
8 media protein. Next slide.

9 The next important issue to consider is
10 the potential infectivity of the bovine derived
11 material used in the preparation of the media. We
12 have used in our estimation the infectivity categories
13 outlined by the European Union which have been
14 generally adopted for the estimation of the risk of
15 BSE in culture media.

16 For our risk calculations we have relied
17 on data supplied and published by European Union
18 committees, and on the methods published by Bader, et
19 al., in 1998. These latter methods were the results
20 of deliberations of the BSE Committee of the
21 Pharmaceutical Research and Manufacturers of America.

22 The European Union system has four
23 categories of infectivity, the most infectious for BSE
24 agent being Category I which includes nervous tissue,
25 and the least being Category IV. The infectivities

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 given in this table are based on values published by
2 the PhRMA BSE Committee.

3 In the next slide is given a list of media
4 components -- of media containing bovine derived
5 material used in the manufacture of bacterial vaccines
6 and the EU categories of the tissues used in the
7 manufacture of these media. All of the bovine derived
8 material used for bacterial vaccines are derived from
9 either Category III or Category IV tissues.

10 Thus, in our estimation we have used a
11 theoretical mixture of Category III and Category IV
12 materials. The assumptions that we used to make our
13 risk estimate are given in the next slide.

14 First, we do not assume a species barrier.
15 Secondly, the conditions that we are considering is
16 where the bovine tissues are sourced from a country in
17 Europe other than the U.K. Because variations in
18 butchering practice methods for the preparation of
19 bovine muscle tissue could result in contamination
20 with nervous tissue, we have done the calculation for
21 two scenarios.

22 The first scenario assumes that the
23 skeletal muscle is free of contamination from Category
24 I tissues. The second assumes a .01 percent
25 contamination with Category I nervous tissue. This is

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 equivalent to approximately a tenth of the spinal cord
2 per cow. This latter scenario provides a worst case
3 based on our current review of manufacturing where a
4 small amount of Category I material could enter the
5 manufacturing process.

6 We used these assumptions and the
7 information outlined above to calculate a risk
8 assessment based on the method outlined in the Bader
9 article. This method is outlined in the next slide.

10 The potential risk of an infected animal
11 used in manufacturing is given by the regional risk of
12 an animal -- of an infected adult animal. This value
13 and the number of animals used per batch of media are
14 used to calculate the risk of a batch of vaccine being
15 contaminated by an infected animal.

16 The infectivity of the bovine tissue is
17 estimated next. This estimate relies on the estimated
18 infectivity of appropriate tissues from an infected
19 cow. The estimations given in the next slide are
20 based on the German quantitative system, since
21 infection with Category III and Category IV bovine
22 materials has never been observed.

23 The German system uses scrapie by analogy
24 as a model to estimate relative infectivities. Thus,
25 these values could be a likely overestimation of the

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 infectivity of the bovine tissues. Next slide.

2 The species barrier in this equation is
3 one, since we do not assume a species barrier. The
4 estimated number of infections per contaminated batch
5 is then calculated by multiplying this value by the
6 correction for the route of administration and the
7 process reduction factor.

8 The route of administration is
9 intramuscular, which is 100 times less infective than
10 intracerebral, for which the infectivities in the
11 previous table was generated.

12 The major process reduction step is
13 autoclaving of the bacterial growth media, which is
14 assumed to be tenfold. All media containing bovine
15 derived material are autoclaved prior to use. This
16 autoclaving step and the low level of purification
17 accounts for the reduction of risk due to process. In
18 the last equation we are assuming a batch size of one
19 million doses of vaccine. Next slide.

20 For our potential risk calculation, we
21 have used two theoretical tissue compositions for
22 calculating human infectivity. Both theoretical
23 mixtures contain Category III and Category IV derived
24 materials.

25 In scenario one, the skeletal muscle added

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the broth is free of contamination with Category I
2 nervous tissue. In scenario two, it is assumed that
3 the equivalent of one-tenth of a spinal cord
4 contaminates the skeletal muscle from one cow.

5 Since the largest amount of bovine derived
6 material that could potentially be introduced into the
7 process is in the fermentation broth, we have outlined
8 these calculations using the above method in the next
9 slide for fermentation broth.

10 In this calculation we used the risk of an
11 infected animal for Europe of 10^{-4} or one in every
12 10,000 cows. This value is arrived at using the EU
13 scheme by multiplying the incidence in the worst case
14 country by a factor of ten to obtain a potential risk.
15 Since we are assuming that only one cow is used per
16 batch, the risk of a contaminating batch is one times
17 10^{-4} .

18 The estimated infectivity is based on our
19 theoretical media composition. The estimated number
20 of infections per contaminated batch is reduced by a
21 process factor of 20, mainly due to autoclaving.

22 Finally, the risk of a contaminated dose
23 based on use of bovine fermentation broth is 5×10^{-10} .
24 This is one in every 2 billion doses. For scenario
25 two, the potential risk is increased to 10^{-8} .

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In the next slide is given an estimate of
2 the risk for the use of bovine broth in master seed or
3 working seed using the same assumptions. In the
4 latter case, the risk is very small, one in every 200
5 billion doses, even when one assumes a 0.1 percent
6 mixture of Category I nervous tissue with the Category
7 IV material.

8 The values that we have presented in this
9 assessment are, in our judgment, a realistic worst
10 case scenario. Thank you. Thanks to the other guys
11 who helped me.

12 CHAIRMAN BROWN: That was, in fact, a
13 conclusion, Dr. Vann?

14 DR. VANN: That was, in fact, my
15 conclusion.

16 CHAIRMAN BROWN: Okay. Well, we thank you
17 very much, and we will now move on to a similar
18 parallel discussion or presentation of viral vaccines
19 by Dr. Berkower. Both Dr. Vann and Dr. Berkower are
20 in CBER, which is part of the FDA.

21 DR. BERKOWER: Today I discuss the
22 potential risk of BSE contamination in viral vaccines.
23 The main risk of BSE contamination comes from bovine
24 material added to the culture medium used for growing
25 cells and virus.

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA review has determined that fetal calf
2 serum from the United Kingdom has been used to make
3 certain viral vaccines. This fetal calf serum was
4 obtained at a time when the BSE epidemic was just
5 getting underway in the U.K. USDA has estimated the
6 incidence of BSE in adult cattle at that time as about
7 one in 200.

8 Other data presented today have suggested
9 that maternal-fetal transmission was on the order of
10 ten percent, resulting in one calf in 2,000 becoming
11 infected. Since the fetal calf serum is often pooled
12 in lots typically of the size 1500, it is quite
13 possible that some fetal calf serum from an infected
14 calf could be included in the pool used for vaccine
15 production. Next slide.

16 This slide shows typical steps in vaccine
17 production where bovine material is used. Vaccine
18 production proceeds along two paths, here and here,
19 which converge at the bottom, resulting in production
20 of the vaccine. On the right, virus from the original
21 isolate is expanded first to the master seed and then
22 to the working seed where it is ready to be used in
23 the production.

24 At each step in this expansion fetal calf
25 serum and cells are used to grow the virus, here and

◆

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here. Thus, if the calf serum or the cells are
2 contaminated, they could contaminate the growing
3 virus.

4 On the left side cells are grown from the
5 cell source up to a large scale, and then frozen to
6 make the working cell bank. Typically, the working
7 cell bank may include as many as 1,000 vials of cells.
8 At the start of a production run, a manufacturer would
9 thaw one vial and expand that to a bioreactor size
10 infected with the virus and produce viral antigen,
11 which is then subject to pretty limited steps of
12 purification and/or inactivation to produce the final
13 vaccine.

14 As shown on this slide, fetal calf serum
15 from the U.K. has entered the production process at
16 steps marked one and two in red. So U.K. fetal calf
17 serum was used to produce the working cell bank.
18 About 5 mls of U.K. fetal calf serum were used to make
19 each vial of the working cell bank. These cells are
20 then -- The one vial is then used to make a production
21 lot, which is typically on the order of 500,000 doses
22 of vaccine. So 5 mls makes 500,000 doses.

23 The question is: If these 5 mls of fetal
24 calf serum became contaminated with the BSE agent,
25 what would be the risk of BSE coming through to the

1 final product? Note then that each ml of fetal calf
2 serum at the working cell bank is used to make 100,000
3 doses of vaccine.

4 In order to calculate this risk -- next
5 slide -- we made certain assumptions about BSE.
6 First, we assumed that one in 2,000 fetal calves was
7 infected. Second, we assumed that each ml of fetal
8 calf serum from this calf contained approximately less
9 than one infectious dose of BSE.

10 This estimate is based on partially
11 completed experiments in which cell concentrates made
12 from cow blood -- that is, buffy coats -- were shown
13 to be noninfectious cow to cow. Noninfectivity of 1
14 ml could certainly represent less than one infectious
15 dose per ml or it could actually be less than one
16 infectious dose per ml, but we have conservatively
17 assumed less than one.

18 Third, we assumed that the number of BSE
19 agents introduced at the top of the process I just
20 showed you on the previous slide would equal the
21 number that came through into the vaccine. Thus, the
22 risk would be the input number of BSE agents divided
23 over the number of doses given.

24 We have allowed no risk reduction for
25 purification, because in many cases the purification

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is minimal, or for species barrier which is basically
2 unknown in the case of BSE from cow to man. We have,
3 however, allowed a factor of 200 for reduced
4 transmission by the intramuscular route.

5 Given these assumptions -- next slide --
6 we can calculate the risk of BSE getting into vaccines
7 as the product of four separate risk factors shown on
8 this slide.

9 The first is we assumed less than one
10 infectious unit per ml of fetal calf serum. The
11 second was we know that the infectious unit is diluted
12 into a pool of 2,000 normals. Third, we know that the
13 cells from one ml of fetal calf serum make
14 approximately 100,000 doses of vaccine in a typical
15 production scheme, giving us a factor of 10^{-5} .
16 Fourth, we have allowed a 200-fold reduction for the
17 route of administration. Those are our four factors.

18 Multiplying those four together gives us
19 a cumulative risk of infection per dose as $.25 \times 10^{-10}$.
20 This means one BSE infectious dose per 40 billion
21 vaccine doses.

22 Now each of our assumptions comes with its
23 own uncertainty, and some of these would be rather
24 large. Next slide.

25 First, we assumed the incidence of BSE in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cows to be one in 200 in the early years of the
2 epidemic in the U.K. That is, in the mid-1980s. This
3 is based on estimates of the USDA.

4 Second, we've assumed that transmission
5 from the mother to fetal calf was about ten percent,
6 based on the study of Wilesmith presented earlier,
7 although others have challenged this estimate.

8 Third, the infectivity of fetal calf serum
9 from an infected calf may be significantly less than
10 one per ml, as I said, because the experiments have
11 basically shown that transmission was not detected
12 when approximately 1 ml was used in a sensitive
13 bioassay.

14 Fourth, there may be additional risk
15 reduction factors which we have not allowed for, such
16 as a species barrier between cow and man.

17 Fifth, partial purification of vaccine may
18 contribute a little more. We have allowed no
19 reduction for purification, because the purification
20 scheme was not designed to remove BSE agent and has
21 never been shown to remove it.

22 Finally, our overall estimate obtained by
23 multiplying values with large errors could itself vary
24 over a very large range.

25 In summary -- the next slide -- we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 estimate the risk of BSE transmission as less than one
2 BSE infectious dose in 40 billion vaccine doses for
3 viral vaccines made with U.K. fetal calf serum in the
4 mid-1980s.

5 CHAIRMAN BROWN: Thank you, Dr. Berkower.
6 Question? Yes?

7 DR, GRIFFIN: It seems to me, especially
8 for the virus vaccines, the biggest assumption that
9 we're making wasn't on your list, and that's that
10 there is no evidence that the agent can replicate at
11 all in the cells that are being used to produce the
12 vaccine.

13 I'd just like to know what kind of data we
14 have for that assumption.

15 DR. BERKOWER: Well, there has been
16 experience in getting BSE agent to replicate -- or I
17 should say, TSE agents to replicate in cell culture.
18 These have worked entirely on cells of neural origin,
19 such as neural blastomas. They have not worked on
20 cells that would typically be used for vaccine
21 production.

22 DR. GRIFFIN: How hard have people tried?
23 In general, you get a system that works. That's what
24 you work with, but going to the opposite or, you know,
25 can you really not do it in another kind of cell is a

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different problem.

2 DR. BERKOWER: There are a number of
3 things that could be done that have not been done to
4 assess the ability of TSE agents to grow on the cells
5 that are used for viral vaccine production. For
6 example, they could simply try and infect the actual
7 cells that were used or they could measure the PrP
8 status of the cells that were used at the end of a
9 fermenter run or -- There are many other things that
10 could be done. Those are two that I would like to
11 see.

12 As Dr. Egan said at the start of this,
13 there are many factors that we would like to know
14 which we don't know at this time.

15 CHAIRMAN BROWN: The answer is correct.
16 We did in our own laboratory many, many years ago all
17 kinds of cell culture efforts with a number of human -
18 - not BSE but a number of human TSEs, and they just
19 diluted out over a few passages. They simply don't
20 replicate in cell cultures typically.

21 DR. GRIFFIN: Right. Now I appreciate
22 that, but I think that's still a little bit different
23 question than could they* possibly, and does BSE,
24 because it does cross species barriers a little more
25 easily than some of the other TSEs, in my

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understanding.

2 CHAIRMAN BROWN: Well, I don't know if
3 that's true. It certainly crossed the human species
4 barrier.

5 DR. GRIFFIN: Well, that's the one we are
6 most worried about.

7 CHAIRMAN BROWN: The most important, yes.
8 Exactly. So if we're using, say, human cells, it may
9 be that they are more facilitated by that than, for
10 example, a strain of scrapie. I think maybe that's
11 what you're talking about.

12 DR. GRIFFIN: Exactly. And we know that
13 they go into non-human primates as well, and those are
14 frequently the source of the kinds of cells that are
15 used in viral vaccines.

16 CHAIRMAN BROWN: Is Dr. Sue Priola in the
17 room? I understand that she might have some
18 information about cell cultures. Please.

19 DR. PRIOLA: Well, I don't think I can add
20 much to what Dr. Brown said, but historically the only
21 cells that have been susceptible are either neuronal
22 in nature or fiberglass. There's been reports of
23 fiberglass.

24 Those experiments have all been done with
25 rodent models of scrapie. There's only one instance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I can recall of sheep infectivity being passed
2 into culture. Other than that, no work has been done
3 with BSE that I'm aware of.

4 So in addition to the difficulty of
5 getting the agent into the cells, it's very difficult
6 to maintain it. As Dr. Brown said, you tend to lose
7 it very quickly and, when it does get in, it's at very
8 low levels.

9 CO-CHAIRMAN GREENBERG: I would just add
10 that this experiment is different yet again, because
11 it's a co-cultivation with a virus. Nobody in the
12 right mind would do that experiment in their
13 laboratory, but the virus could facilitate as well as
14 interfere with transmission in cell culture.

15 In each case, it would be a different
16 virus. So it would be complicated to figure it out.

17 DR. GRIFFIN: Right. But you could do
18 some kinds of experiments, like even co-cult with a
19 neuroblastoma cell line or something that you knew was
20 very susceptible or the most susceptible to your agent
21 of interest.

22 CHAIRMAN BROWN: Ray Bradley or any
23 representatives from the United Kingdom, are you aware
24 of any effort, any attempt, anything that is going on
25 right now with respect to attempting to grow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specifically the BSE agent in any cell culture?

2 DR. BRADLEY: Nothing that I'm aware of in
3 the master program at all.

4 CHAIRMAN BROWN: Okay.

5 DR. BRADLEY: If I could just comment
6 briefly on the bioassay in cattle from tissues from
7 cattle of buffy coat, you suggested that those studies
8 were completed.

9 DR. BERKOWER: I think I said incomplete.

10 DR. BRADLEY: Sorry.

11 DR. BERKOWER: I said partially complete.
12 That's what I said. I have it right here.

13 DR. WELLS: If I could just give some
14 detail on that partial completion, basically, we have
15 assays from the pathogenesis study at six months post-
16 exposure, 18 months, 26 months, and 32 months. Only
17 the material from the 32 months has reached 43 months
18 p.i. which, you know, might be assumed to be a
19 reasonable incubation period, if it was going to -- if
20 disease was going to occur.

21 The others are all below 20 months, which
22 would be somewhere -- unless we're going to say that
23 there is above 10^3 cattle* units in there, then we
24 can't draw conclusions from that.

25 DR. BERKOWER: Okay. I'd like to just

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 repeat what you said. If you do assays in mice where
2 there's a species barrier of 10^3 and you can't even
3 inject a ml, but let's say you did because you did a
4 lot of mice, you could say it was less than 1,000 per
5 ml. That's the best you could say. That's what you
6 just said.

7 Also I was aware of the buffy coat from
8 the animals when they first lit up the brain in your
9 pathology study, pathogenesis study, being used; and
10 I know they are a little under four years and that the
11 animals are okay at this point. I believe it's four
12 cows that it's been assayed in.

13 DR. WELLS: That's right.

14 DR. BERKOWER: Four cows. Yes, and the
15 way I calculated the less than one per ml is that a
16 buffy coat is roughly a tenfold concentrate, that to
17 use it in the brain it was diluted roughly tenfold an
18 the volume injected in the brain, obviously, was about
19 one ml. So it's about one ml worth of cells, which
20 would be roughly equivalent, if this were scrapie and
21 if it was Dr. Brown's experiments, to one ml of serum.

22 DR. PRIOLA: Dr. Brown, may I make one
23 more brief comment? **

24 CHAIRMAN BROWN: Yes. Sure.

25 DR. PRIOLA: When these infections are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 successful in tissue culture, it usually takes an
2 extremely high level of infectivity to get it to go,
3 that usually they are very inefficient. At the lower
4 multiplicity of infection you go, the less efficient
5 the process. So in most cases, you have to start out
6 with quite high levels.

7 CHAIRMAN BROWN: Dr. Almond, you had your
8 hand up a minute ago.

9 DR. MODLIN: Actually, I wanted to pursue
10 exactly the same line of questioning that Diane just
11 did, and I think I had just about all my questions
12 answered except just to point out that in this system
13 the fermentation in cell culture for a virus,
14 presumably polio virus or whatever, is going to be
15 very short. It's going to be a matter of just a few
16 days, and here we're talking about an agent that
17 requires presumably an incubation period of much, much
18 longer than that, even in cell culture, to detect it.

19 I guess that was a question. How long
20 does it take -- How long do you have to maintain it in
21 cell culture before you can detect it in your most
22 sensitive system?

23 CHAIRMAN BROWN: Well, what typically
24 happens is, if you inoculate it progressively over a
25 matter of one or two or three passages, it disappears

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rather than replicates, and the question -- the
2 reverse question, how long does a successful take
3 require, I will defer to you.

4 DR. PRIOLA: You can detect the protease
5 resistant form of the prion protein in our hands after
6 a day, if you overlay the homogenate. But to get a
7 successful infection, you usually have to wait 30 days
8 to ensure that it's replicating, but if it's present
9 at the first pass, it may be present at -- you know,
10 on pass three and pass five. It might be present
11 continuously or it might be lost by passage two. It's
12 unpredictable.

13 CHAIRMAN BROWN: So like everything else,
14 the answer helps a great deal.

15 DR. GRIFFIN: Well, but the point is that
16 that's how long it takes for you to detect it. But if
17 the infection is successful, it's successful on the
18 first few hours. I mean, you know -- I mean, it's
19 there or it's not there, and then how long it takes to
20 build up to the point of detectability in whatever
21 assay you are using is another issue.

22 DR. BERKOWER: Can I just say one thing on
23 this? So in our calculations we had a little thing we
24 called prion equals prion-out or BSE-out. We didn't
25 assume that it grew, and we didn't assume that it just

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disappeared. We assumed that it hung onto the cells,
2 was not washed off during the typical incubation
3 period, and that's sort of -- I think that's about
4 what everyone has said.

5 CHAIRMAN BROWN: Dr. Almond, last comment
6 on this?

7 DR. ALMOND: Just to echo your comments,
8 Mr. Chairman. In my laboratory we also tried very
9 hard to establish prions in cell culture, and we
10 worked, in fact, with Sue Priola on some aspects of
11 that. We didn't manage it. But the point I wanted to
12 make was that a lot of cell cultures that have been
13 kept and maintained in U.K. laboratories over the last
14 20 years will inevitably have used U.K. sera.

15 I don't know of any evidence that when you
16 look at those cells, you detect any PrPSE in any of
17 them. In fact, it's very hard to detect PrP at all in
18 those cells. I think that probably points to the fact
19 that it really is not easy to infect these cells with
20 prions.

21 Chris Berkett is probably the person at
22 the U.K. program that's most experienced working with
23 these so called SMB cells, which were derived from a
24 scrapie mouse brain back in the 1970s. He has managed
25 to do some work with those cells, but I spoke to him

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recently, and it's clear that he also has great
2 difficulty in infecting cells.

3 CHAIRMAN BROWN: And you have the other
4 problem, that PrP detection is typically infinitely
5 less sensitive than a bioassay. So what you would
6 really be obliged to do would be to bioassay all your
7 cell systems. Dr. Roos?

8 DR. ROOS: I just wondered how common it
9 might be that one contaminated the fetal calf serum
10 during its collection, for example, with instruments
11 that might have been used for slaughter and contact
12 with central nervous system tissue, especially when we
13 go back to the early years in the BSE epidemic.

14 CHAIRMAN BROWN: Ray Bradley, could you
15 make a comment with respect to the potential risk of
16 cross-contamination in a slaughterhouse during the
17 period in question, 1980 to '95?

18 DR. BRADLEY: Well, I can only, Mr.
19 Chairman, answer the basic information. When one
20 collects fetal calf serum, if one was collecting it,
21 of course, the cow is already dead. The uterus is
22 removed, and then the fetus from that uterus is taken
23 away, and there would be no possibility of cross-
24 contamination, I think, with central nervous tissue in
25 the normal method that people would utilize to collect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this.

2 Of course, the qualities of collection,
3 going back very historically, could not be necessarily
4 claimed to be the same as they are today, but I
5 haven't got any possibility of commenting on that,
6 never having done it. But I think actual cross-
7 contamination with central nervous tissue would be
8 most improbable, even central nervous system from the
9 calf, because this would not be opened or touched in
10 any way.

11 CHAIRMAN BROWN: Is that okay, Ray?

12 DR. ROOS: I assume that the instruments
13 that might have been used on the central nervous
14 system of the mother is also perhaps used in the
15 collection of the fetal calf serum. Am I correct?

16 DR. BRADLEY: I'm sorry. I just missed
17 the first part of your question.

18 DR. ROOS: I'm assuming that the
19 instruments used to collect that fetal calf serum
20 might be the same that could have been contaminated
21 with the central nervous system of the mother.

22 DR. BRADLEY: No, certainly not. I would
23 think that would be most unlikely. Well, it would be,
24 I think, not possible, certainly in the modern ear,
25 because the --

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROOS: No, I meant --

2 DR. BRADLEY: Historically?

3 DR. ROOS: Yes.

4 DR. BRADLEY: I do not think that there
5 would be any practical connection between those
6 instruments. I mean, we are talking here about trying
7 to collect a sterile product, forgetting all about
8 BSE. Those who are involved in this procedure do take
9 very, very careful precautions to prevent any form of
10 cross-contamination, whether it be bacterial,
11 environmental, viral or anything.

12 The methodologies used now involve sterile
13 equipment, disposable equipment, cardiac puncture, in
14 an environment which is essentially divorced from the
15 central nervous tissue in the slaughter hall. This
16 would not be permitted to be done in the slaughter
17 hall. So there would be no connection. They would be
18 different personnel trained for different purposes.

19 DR. ROOS: I don't want to belabor this,
20 but I want to go back 15 years ago. It just wasn't
21 clear to me. So the instrument that is used to
22 collect the fetal calf serum would not have been used
23 with respect to the mother* and the mother's tissue.
24 Is that what you're saying? Totally different --

25 DR. BRADLEY: Exactly.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROOS: -- sterile, newly packaged
2 scalpel?

3 DR. BRADLEY: Exactly. In order to --

4 CHAIRMAN BROWN: Yes, that's what he said.

5 DR. BRADLEY: In order to collect the
6 blood, you would use a needle. A needle would not be
7 involved at any point in the slaughter. So just from
8 practical common sense, it wouldn't be done. But I
9 cannot speak from personal knowledge of that procedure
10 historically.

11 CHAIRMAN BROWN: Bearing in mind, of
12 course, that a slaughterhouse is not a P3 facility.

13 Thank you. We now have presentations from
14 two manufacturers of vaccines, and the first will be
15 from SmithKline Beecham Pharmaceuticals, and Dr. Clare
16 Kahn will introduce the subject, which will be
17 followed by a presentation from a gentleman who you
18 now are familiar with, Dr. Ray Bradley.

19 DR. KAHN: Good afternoon, members of the
20 committees, ladies and gentlemen. I'm Clare Kahn, and
21 Vice President, North American Regulatory Affairs,
22 responsible for vaccines and representing SmithKline
23 Beecham.

24 We have a generic presentation for you
25 today, and to deliver this it's my pleasure to

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 introduce to you Dr. Ray Bradley, CBE. He will
2 broadly review the topic of TSE risk from bovine
3 derived materials, making special reference to all of
4 the considerations for their use in vaccine
5 manufacture as raised by the agency for today's
6 discussion.

7 Dr. Bradley served as head of the
8 pathology at the Central Veterinary Laboratory, now
9 called the Veterinary and Laboratory Agency, in the
10 U.K.'s Ministry of Agriculture, Fisheries and Food, or
11 MAFF, from 1983 to 1991. These were seminal years in
12 the history of BSE.

13 During this time BSE was discovered. Dr.
14 Bradley initiated and collaborated the initial BSE
15 research program, and he was heavily involved in
16 national and international issues for BSE and other
17 animal TSEs.

18 Dr. Bradley served as the BSE coordinator
19 for MAFF from '91 to '95, and since that time he has
20 served as an independent BSE consultant to WHO, OIE,
21 EC, U.K., and the Argentine and the U.S. governments'
22 committees and expert committees and expert
23 consultation.

24 In his consultancy with SBE, Dr. Bradley
25 has conducted a comprehensive review of BSE risk from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bovine derived materials, and also review of the
2 provenance and TSE risk in starting materials of
3 bovine origin used by SBE in vaccine production for
4 worldwide markets.

5 So now it's my pleasure to call upon Dr.
6 Bradley to present to you on the TSE risk from source
7 materials derived from cattle and used in the
8 manufacture of vaccines for human use.

9 DR. BRADLEY: Mr. Chairman, members of the
10 committees, ladies and gentlemen, good afternoon or,
11 if you're from Europe, good evening. If I could have
12 the next slide, please.

13 The objectives of my talk are to discuss
14 the TSE risk from source materials derived from cattle
15 used in the preparation of master working seeds and
16 cell banks, fermentation processes, and in the
17 formulation of final products.

18 First of all, just a recapitulation and
19 reminder of where BSE occurs. The red countries are
20 those with cases in native-born cattle, and those in
21 blue are countries with BSE in only imported cattle.
22 Next slide, please.

23 Here is a reminder of the epidemic curve
24 for the U.K. based on annual report cases, and I refer
25 to the large number of BSE cases in the U.K., 176,000.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 By comparison, throughout the rest of the world the
2 total number is some 1300. Next slide, please.

3 Once you have BSE in a country, there are
4 possibilities to export it in incubating healthy live
5 cattle. That has been done by accident, of course,
6 from the U.K. to various countries which are quite
7 widely dispersed, as you see, but very small numbers
8 of animals which in themselves present very low risk,
9 provided they are detected and destroyed. Next slide,
10 please.

11 However, it is not just cattle which
12 actually present the risk. The other risk comes from
13 the export of meat and bonemeal contaminated with BSE
14 material. As you can see, from the U.K. quite large
15 quantities and meat and bonemeal were exported for the
16 European Union. Even a small amount was exported to
17 the North America, just 12.3 tons, in 1984-85, which
18 was, of course, a risk period.

19 From other countries with BSE, there's a
20 lot less certainty as to how many cattle and how much
21 meat and bonemeal might have been exported elsewhere.
22 Next slide, please.

23 The next point I want to make is the
24 importance of the factors governing transmission of
25 transmissible spongiform encephalopathies. There are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three factors, the dose, the route of exposure and the
2 species barrier, which clearly comes into play once
3 you cross a barrier between two different species.

4 The dose is the mass or volume multiplied
5 by the titer in unit mass. In regard to the route of
6 exposure, there are widely differing efficiencies of
7 different routes. Most of the talks that you've had
8 today and much of mine will be referring to
9 transmissions done by the intracerebral route, which
10 is the most efficient of all.

11 The oral route is the least efficient, and
12 the intramuscular route is rather closer to this end
13 of the scale than it is to this one. Next slide,
14 please. Sorry, could I just go back? The species
15 barrier is determined by two features or two factors,
16 firstly the strain of the agent and, secondly, the
17 variation in the PrP gene sequence between the donor
18 and recipient species. Next slide, please.

19 So the summary from the geographical risk
20 from cattle with TSE: We can say that the risk could
21 be derived from two sources, exogenous sources and
22 endogenous sources. Exogenous sources include
23 importation of infected cattle or meat and bonemeal,
24 and endogenous sources means genesis of TSE in cattle
25 from any species and recycling it via the feed in meat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and bonemeal.

2 To conclude on this slide, the precise
3 geographical destination of cattle and meat and
4 bonemeal exported from all countries with BSE is
5 uncertain. Thus, the analysis of risk of TSE
6 infectivity by type of tissue is of fundamental
7 importance. Next slide, please.

8 So that's what I want to pass to now. As
9 you have heard from Mr. Wells, in natural cases of BSE
10 there is a very restricted distribution of agent
11 infectivity to the central nervous tissue and included
12 in the retina and spinal cord and brain. Next slide,
13 please.

14 However, when one challenges mice by the
15 efficient intracerebral route, including the
16 intraperitoneal route at the same time, we find a
17 whole range of tissues in which no detectable
18 infectivity can be found. They are listed here. Mr.
19 Wells mentioned 51 tissues on this slide.

20 I draw attention to those in blue, which
21 I'm going to talk about in a little more detail,
22 because these are tissues which are used as starting
23 materials for manufacture*of vaccines. I will also
24 draw attention to the negative transmission studies
25 from a series of male and female reproductive tissues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and including fetal calf blood. Next slide, please,
2 and the next.

3 Mr. Wells has elegantly explained his
4 pathogenesis study to you, and this is a summary slide
5 giving the essential data from which I wish to draw
6 one or two clear points.

7 First, that only the distal ileum shows
8 infectivity at early stages of incubation. Second, in
9 the other tissues marked in red, which do show
10 infectivity, none of them show infectivity more than
11 three months before the clinical onset of disease. So
12 this window period that's been mentioned is very
13 small. Finally, a whole range of tissues, which I'll
14 deal with in a little more detail later, show no
15 detectable infectivity after bioassay in mice at any
16 stage of either preclinical or clinical disease. Next
17 slide, please.

18 The specific items I wish to consider are
19 listed here, and I'll deal with them in turn.
20 Independent judgment that milk is safe after
21 consideration of the results of transmission and
22 epidemiological studies have been determined by the
23 WHO, the OIE, the EC, and**the U.K. SEAC. They have
24 evaluated the data and concluded, in a nutshell, that
25 milk is safe. The USDA has no restrictions on the

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 importation of milk, presumably coming to the same
2 conclusion. Next, please.

3 Now to summarize the results from all the
4 collective studies that have been done on blood.
5 First of all, in natural BSE in cattle in this column
6 and then in experimental BSE in cattle in the second
7 column, I've listed here not just blood itself but
8 other tissues which are closely associated with blood
9 such as spleen, lymph nodes and bone marrow.

10 So in natural BSE, not one of these
11 tissues has shown any detectable infectivity when
12 tested in mice, and of the two that have already been
13 tested in cattle, Mr. Wells reported this morning by
14 intracerebral inoculation, the most efficient route,
15 no detectable infectivity there either.

16 When we come to the experimental BSE, the
17 pathogenesis study, again buffy coat was bioassayed.
18 It shows no detectable infectivity. Likewise for
19 these other tissues during incubation, including the
20 bone marrow during incubation, and the buffy coat has
21 been bioassayed in cattle, although that has not yet
22 been completed. It has so far gone for three and a
23 half years, as you see here^{**}, and the animals are still
24 alive and healthy, giving us confidence but not
25 absolute, complete reassurance at this point in time.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide, please.

2 I now pass to muscle and pancreas. To
3 summarize these two issues which have been part of the
4 concern, skeletal muscle and pancreas from cattle
5 affected with natural BSE have shown no detectable
6 infectivity after bioassay in susceptible mice.
7 Furthermore, BSE infectivity has not been detected in
8 skeletal muscle or pancreas at any stage of incubation
9 of experimental BSE, also following bioassay in
10 susceptible mice. Next, please.

11 Now passing to derivatives of gelatin
12 which, as source material, start from bovine bone, and
13 I'm speaking here specifically about polygeline.
14 There is no detectable inherent infectivity in the raw
15 material from clinically healthy animals that is, in
16 bones. However, the TSE risks in bones historically
17 used for gelatin manufacture may not have been
18 negligible due to the contamination or possible
19 contamination with central nervous system tissue. But
20 -- and this is an important "but" -- the process in
21 producing polygeline involves an important clearance
22 factor of many logs of loss of infectivity. Next
23 slide, please.

24 So the conclusions from this are that no
25 BSE infectivity has been detected in skeletal muscle,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pancreas, spleen, blood or any component of blood of
2 cattle or bovine fetuses in natural or experimental
3 BSE or in the milk in natural BSE. There is no
4 epidemiological evidence that bovine milk, blood or
5 any blood component carries BSE infectivity.

6 Here is an important point to stress. BSE
7 is different from scrapie. In the early days of this
8 epidemic, we were less certain about that. We are now
9 sure that it is not the same as scrapie, and we cannot
10 use the data for scrapie to make the risk assessments
11 if we have new data generated from the species and
12 tissues in question.

13 The WHO and CPMP classifications based on
14 observations of scrapie in sheep and goats showing low
15 infectivity Category III for pancreas and medium
16 infectivity Category II for spleen are, therefore, not
17 applicable to cattle potentially or actually infected
18 with the BSE agent. Next slide, please.

19 I now want to come to the more concluding
20 part of my talk in regard to possible in utero
21 maternal transmission of BSE. Next.

22 I think it's very important indeed for
23 you, particularly if you are not veterinarians, to
24 understand what we mean by maternal transmission. It
25 means transmission from dam to offspring at one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three stages, in utero -- and this is the point at
2 which fetal calf serum would be sourced.

3 So the subsequent other two forms of
4 maternal transmission such as infectivity getting to
5 the fetus during parturition or in the immediate post-
6 parturient period do not count. This is the only one
7 that has to be proved to show if there was an
8 infectivity here, and I shall try to demonstrate there
9 is not. Next, please.

10 In regard to maternal transmission, the
11 cohort study and the case controlled study that Mr.
12 Wilesmith mentioned this morning did not address the
13 question of occurrence of infectivity in fetal calf
14 blood. That was not a defect of the design. It was
15 never intended, and so it could not report on that
16 feature.

17 Neither study demonstrated the existence
18 of maternal transmission in the absence of a feed-
19 borne source, a very important feature. Neither study
20 demonstrated the occurrence of in utero maternal
21 transmission, the only one which could potentially
22 incriminate any risk factor in fetal calf serum. Next
23 slide, please.

24 In regard to the general points about
25 maternal transmission of BSE, in the U.K. in no case

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has the observed annual incidence of BSE in offspring
2 of confirmed cases exceeded the expected incidence
3 from the feed-borne source alone. In the EU outside
4 of the U.K., I've mentioned that we have had outside
5 there 1283 cases of confirmed BSE. No case of BSE has
6 been reported in the offspring of a case. So that
7 figure is zero.

8 In Switzerland, an even more thorough
9 study was done. Brains from 182 offspring of BSE
10 cases have been examined microscopically and for the
11 presence of prion protein. No evidence of BSE was
12 found in any case. Next slide.

13 So the summary on this: No infectivity has
14 been found in any reproductive tissue, whether male or
15 female. Cohort and case controlled study are not
16 designed to determine infectivity in fetal calf serum.
17 No studies have demonstrated maternal transmission in
18 the absence of a feed-borne source, and the results of
19 these studies do not contradict any of the evidence
20 supporting the absence of detectable BSE infectivity
21 in fetal calf serum. Next, please.

22 My last slide and conclusion is,
23 therefore, that the assessment of TSE risk in the
24 starting materials of ruminant origin that are used
25 for the manufacture of vaccines has revealed no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence for a degree of risk that is higher than
2 negligible. Thank you.

3 CHAIRMAN BROWN: Thanks very much, Dr.
4 Bradley.

5 DR. KOHL: I think it's a very important
6 presentation, and I'd like to verify or possibly
7 challenge a couple of points.

8 Clarify for me the bone marrow experience.
9 We were told this morning, I believe, that several
10 bone marrows were positive during, I think, the latest
11 stage of BSE.

12 DR. BRADLEY: I'm just going to put the
13 slide up which will answer your question. This is the
14 pathogenesis study. Let me wait for the slide. And
15 bone marrow is listed here, and you see that the bone
16 marrow is a singleton positive result which occurred
17 in the clinical phase of disease from which we do not
18 collect, and there is a paper written by Mr. Wells and
19 his colleagues giving the possible explanations for
20 this.

21 I wouldn't wish to go into the detail on
22 that at the moment, but if that's helpful to you.

23 DR. KOHL: That is. On your other slide,
24 the bone marrow was referring to during incubation.
25 Is that correct?

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BRADLEY: I'm sorry?

2 DR. KOHL: Your other slide which said
3 bone marrows were negative is referring to the
4 incubation period?

5 DR. BRADLEY: Yes. This one, there's no
6 infectivity in incubation, no case --

7 CHAIRMAN BROWN: Do you have a follow-up?
8 That's okay, Ray. Go ahead.

9 DR. KOHL: Now on the cohort study which
10 was presented this morning there was a ten percent
11 risk of -- I'm not going to say transmission, but of
12 BSE in offspring of infected cows. In that study, as
13 reported in our briefing document, the relative risk
14 was 3.4 percent -- 3.4 relative risk between offspring
15 of infected cows compared to offspring of non-infected
16 cows.

17 DR. BRADLEY; Yes.

18 DR. KOHL: Now you've told us and
19 everybody else has told us that milk is not
20 infectious. Is that correct?

21 DR. BRADLEY: Yes, no detectable
22 infectivity in bovine milk.

23 DR. KOHL: Okay. So we can disregard the
24 infectivity from mother to child or to infant by milk.

25 DR. BRADLEY: No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOHL: Well, either it is or it isn't.

2 DR. BRADLEY: That's not -- You are
3 assuming that could be the only post-natal origin.

4 DR. KOHL: I'm just talking about milk.
5 Milk is not infectious.

6 DR. BRADLEY: Okay.

7 DR. KOHL: Is that right?

8 DR. BRADLEY: Yes.

9 DR. KOHL: Okay. And these cows, the
10 infected babies or whatever you call calves, and the
11 non-infected calves -- I'm a pediatrician -- are kept
12 -- My understanding is they are kept on the same
13 farms, fed the same food, and in the same environment.
14 So presumably, the risk of transmission to these
15 calves in the post-partum period is similar.

16 So I am left with the assumption that
17 either this relative risk of 3.4 is due to intrapartum
18 transmission, in which case the fetal calf serum would
19 not be affected since there is no partum period when
20 you collect fetal calf, or due to in utero
21 transmission.

22 Now I agree with you that the studies
23 don't prove that it's in utero transmission, but one
24 can definitely not assume in that study -- in fact, in
25 any study so far -- that there is no intrauterine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 transmission.

2 DR. BRADLEY: Well, I think there's
3 several points I should make. The first point, and I
4 think Mr. Wilesmith made it very well, was that he
5 said his study did not demonstrate maternal
6 transmission. It demonstrated there was a maternal
7 factor involved in the different observations that
8 were made in the two groups of animals.

9 DR. KOHL: Well, I'd like you to describe
10 any maternal factor other than breast milk,
11 intrapartum infection or intrauterine infection. As
12 a pediatrician, my area of expertise is congenital
13 infections, and I've published a bit on that, and I'm
14 not aware of any other maternal factor.

15 DR. BRADLEY: Okay. In the course of the
16 study, just to indicate -- and Mr. Wilesmith can
17 perhaps chip in if I've got the major fact wrong --
18 that the calves that we used, the 600 calves, were
19 pairs, of course. But they were not collected at
20 birth from farms and then moved to the environment on
21 the experimental farms in which we looked after them.

22 So they stayed on their natal farms for
23 some period, sometimes for a year, perhaps longer. So
24 that's the first point, before they were all collected
25 together on the three separate farms, but kept as a

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pair.

2 The calves were collected from three birth
3 cohorts, and during the course of these birth cohorts
4 the risk from BSE from feed diminished. If you
5 analyze the figures in the cohort study, there was an
6 equivalent decline or a similar decline in the
7 incidence of BSE as you got further away from the feed
8 ban which was in place.

9 Thus, there is an association of a
10 reducing risk with time associated potentially with
11 feed. So let's just see how that maternal factor
12 could operate. It could operate on the basis that
13 some particular animals eat more. So they had a
14 greater opportunity to consume infected feed, if there
15 was any infected feed, and that I'm speaking of on
16 their farm of origin before they came to the Ministry
17 farms. I don't know if that will go any distance to
18 answer your question.

19 If I may, Larry, could I just ask John to
20 add something to that, because it was his experiment.

21 DR. WILESMITH: Ill try and clarify this.
22 One of the things that is of interest is that what I
23 did in terms of the design^{**} of the study was to take
24 account of this continuing feed-borne risk.

25 There is one thing that I can do to try

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and put this study in perspective which would help
2 rule out the feed-borne source for those dams, would
3 be to look at the remainder of the cohorts which we
4 didn't purchase -- They were left on the farm -- to
5 see what happened to them.

6 In other words, if one had a case of so
7 called maternally associated case, were those more
8 likely to occur on farms in which those birth cohorts
9 had also had other cases but in animals unrelated to
10 cases. So there is that one thing.

11 So in terms of the maternal risk factors,
12 as I say, the presence -- You know, that may only be
13 there in the presence of the feed-borne source, and it
14 may be untangleable. But it's just that all that we
15 can say at the moment is we do not know of any kind of
16 biological mechanisms that this thing is happening
17 through. Theoretically, yes; but practically, no.

18 CHAIRMAN BROWN: Dr. Lurie had a question.
19 Then, you know, we are getting so close to the
20 discussion period and our speakers will all be here
21 that I would very much like to get to it as quickly as
22 possible, and then when we have questions that require
23 the expertise of the people who have addressed us, we
24 can ask them questions at that time. Peter?

25 DR. LURIE: This is a question for Dr.

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kahn. As you know, on December 17, 1993, the FDA
2 wrote to a number of drug companies insisting that
3 they no longer source their bovine derived materials
4 from cattle which have resided in BSE countries.

5 I'm going to make the hypothetical
6 assumptions that the fact that your company has made
7 a presentation and flown in an expert from Britain
8 that you're here for a reason that may be related to
9 your having produced one of those vaccines. And
10 assuming that my hypothetical assumption is correct,
11 my question is: Why did you ignore the December 17,
12 1993, FDA letter?

13 DR. KAHN: I'm sorry, I missed the very
14 end of your question?

15 DR. LURIE: Assuming the hypothetical
16 stated, my question was why did your company ignore
17 the December 17, 1993, letter?

18 DR. FREAS: Dr. Kahn, before you respond,
19 to prevent me from reading the conflict of interest
20 statement over again, we are not allowed as a
21 committee to discuss individual manufacturers or
22 individual products. We have to talk in generic
23 terms. So her answer has to be in a generic term for
24 all manufacturers, not for her specific company.

25 CHAIRMAN BROWN: Is that possible to do,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Kahn? If it's not, we'll finesse the whole thing.

2 DR. KAHN: I just want to say, and I'm
3 sure this is common with other manufacturers, that we
4 take all such letters and guidance and
5 recommendations, as these were, seriously, and we have
6 recommendations from multiple countries' regulatory
7 bodies.

8 Our policy -- I'm sure this is common with
9 others -- is to move away from any risk or perception
10 of risk, and even perception of risk can be a problem
11 today. I can say that, even as early as 1990, we made
12 the concerted decision to make all bulk manufacturing,
13 all routine manufacturing steps -- the serum would be
14 sourced from countries which include New Zealand,
15 Australia, and go away from any country that would be
16 listed or a risk country.

17 Other materials would have come from
18 Europe and other countries which were non-BSE.
19 There's an evolution in the list of countries that are
20 causing a problem. So we are always looking for ways
21 to come into line.

22 Now having said that, for some of the
23 source materials, assumptions were made by our
24 company. Maybe they are considered unwarranted today,
25 but they were made in full, good faith of disclosure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and the fact that the starting materials are non-
2 infective. I think the non-infectivity of starting
3 materials is the cornerstone for what you do in
4 vaccine manufacture, and very important to us.

5 We have written, shared all of this
6 information with the agency, and I can honestly -- and
7 we also, by the way, improved traceability, decreased
8 the chances of cross-contamination in collection. All
9 those things were put into place as soon as any hint
10 of a risk was mentioned from the Eighties.

11 SB is working very closely right now with
12 FDA to evaluate and to implement any changes that are
13 considered necessary to address even the perception of
14 risk.

15 DR. LURIE: So you did ignore it then.

16 DR. KAHN: No, we don't ignore their
17 letters.

18 CHAIRMAN BROWN: I think that this is a
19 very pointed question, and what we want is blunt
20 questions, and I think if we want to talk generically
21 and globally, that's fine. But we can't have this
22 dialogue with respect to SmithKline and you.

23 DR. LURIE; I mean, I guess the overall
24 point, though, is that it's very difficult for this
25 committee to make any kind of real assessment of risk.

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I understand we're not voting on anything, but it's
2 difficult even to discuss in the absence of our
3 knowledge of what vaccines are an issue, what numbers
4 of vaccines have been injected into people, what
5 numbers of vaccines remain on the shelf, how long it
6 would take for particular vaccines to replenish, you
7 know, what the lag time would be. And all of this is
8 beyond a theoretical discussion, and it only becomes
9 real and our deliberations only become of much use, it
10 seems to me, at the point that that sort of
11 information is available.

12 CHAIRMAN BROWN: Well, Peter, you've been
13 on the committee long enough to know that we never
14 make decisions based on scientific evidence, and we're
15 not going to start today. Thank you, Dr. Kahn.

16 An even shorter presentation now by Dr.
17 Jeffrey Almond, who represents Aventis Pasteur.

18 DR. ALMOND: Thank you, Mr. Chairman, and
19 it's an interesting turn that the questions have
20 taken. I would like to say that I agree with Ray
21 Bradley in his analysis, and one thing that I did with
22 Ray was to work very closely with him -- could I have
23 the first slide, please? We have a technological
24 failure. I think the guy from SmithKline turned my
25 slides off.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BROWN: Can you make your points
2 without visual aids, Jeffrey, or is that not --

3 DR. ALMOND: Yes. I can start making
4 several points without the slide.

5 I was about to say that I worked very
6 closely with Ray Bradley, because he, as he indicated
7 on his slide or during his introduction, was the
8 coordinator for the MAFF research program in the U.K.
9 I was coordinator for the Research Councils, the
10 Biotechnology and Biological Sciences Research Council
11 of Great Britain, during their research campaign, and
12 I was coordinator of that for a period of eight years
13 and worked very closely with Ray.

14 I was also a member of the Spongiform
15 Encephalopathies Advisory Committee of the U.K. and,
16 of course, was heavily involved with all of our
17 friends here today from the U.K. during the very
18 heady days of 1996 and through there where we first
19 observed new variant CJD.

20 I am now, however, Senior Vice President
21 of Research and Development to Aventis Pasteur, and it
22 seems that this subject, of course, is a broad one,
23 and comes with me wherever** I go.

24 I wanted to say that in Aventis Pasteur
25 our approach to this over several years has been, as

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we indicate on this slide, to check all of our
2 processes for the production of all of our vaccines on
3 the U.S. market at the stages of the primary or master
4 seed lots -- and we've heard about that this morning
5 and I've picked a few slides out that relate to where
6 they come in, but you all know that -- the working
7 seed lots, the primary cell banks, the working cell
8 banks, and the industrial scale production, the
9 purification and its effects, and of course, the final
10 formulation. Next slide, please.

11 We consider every ingredient of every
12 solution, growth medium, purification process,
13 excipient, etcetera, at every stage of its preparation
14 to identify materials of ruminant origin.

15 We then ask questions about the species of
16 the animal concerned, the geographical origin of those
17 animals, the date of the preparation of the material
18 and, of course, the crucial date is the first of
19 January 1980. Before that, we assume no risk
20 whatsoever. After that, that is about an incubation
21 time away from the first appearance of -- or the first
22 diagnosis of BSE, as Gerald Wells informed us, in
23 December 1986. **

24 We also look, of course, at the processes
25 used in the derivation of materials, bearing full mind

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the fact that in some cases, such as the treatment
2 of tallow, there are very harsh processes that would
3 destroy any infectivity.

4 This is not a trivial task to check all of
5 these things, and it does involve not only checking
6 our own records but tracing our sources and
7 contracting suppliers of sometimes 25 years ago, and
8 obtaining from them a original specification details
9 of all the materials used. That has been part of our
10 program to assess any risks that might have been
11 present from the BSE epidemic. Next slide, please.

12 For any calculations that we then have
13 carried out, we work on theoretical risks from those
14 components, and where we have made those calculations,
15 our assessment has been very similar to what you've
16 heard about from Doctors Vann and Berkower.

17 In fact, I had a substantial experience of
18 carrying out these risk assessments from SEAC where we
19 looked at risk assessments of a range of things from
20 blood and blood products through even the ash coming
21 out of power stations where infected carcasses were
22 being burned. So the methods that we have used are
23 very similar to those described.

24 What one does in those cases is assume a
25 U.K. origin as a worst case scenario, estimate the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relative risks to the date of the process, bearing in
2 mind what I said earlier about the date, estimate the
3 dilution factors where appropriate in the process,
4 estimate inactivation of the agent by process steps in
5 the manufacture, estimate the extent of removal or
6 clearance of the BSE agent by purification of the
7 vaccine active ingredients, and then assign a
8 numerical theoretical risk to the final vaccine dose.

9 When one does that, we have no concerns
10 about any of our products. It gives levels which are
11 substantially ahead of those that we heard of before
12 in terms of the numerical value. In other words, zero
13 risk to all intents and purposes with very large
14 numbers of safety errors.

15 So while we at Aventis Pasteur agree with
16 most scientific experts that the risk for bovine
17 materials in vaccines remains theoretical, we are
18 taking steps to address concerns, and we have made
19 progress in this direction with the goal of
20 eliminating bovine source material where possible or
21 by using safe sources, if removal is not technically
22 feasible.

23 It has to be remembered that, for some
24 cell culture types, there is no good alternative to
25 calf serum. It is quite difficult to grow those cells

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the absence of calf serum, and if you try, your
2 yields of the vaccine virus will plummet
3 substantially, and at the present time it is not
4 technically feasible to totally remove those bovine
5 products.

6 We are committed to supplying vaccines
7 that are safe, efficacious and in full compliance with
8 the regulatory requirements, and we are confident, as
9 I reiterate, that our existing products meet these
10 standards, and there is no clinical or scientific
11 evidence suggesting that the use of bovine source
12 materials in vaccines presents any safety risk.

13 My final slide just makes the point that
14 we believe that it is important to maintain public
15 confidence in vaccines and in immunization, and that
16 even a theoretical risk must be taken seriously, if it
17 undermines public confidence.

18 The greatest danger that we see is the
19 possible return of vaccine-preventable diseases caused
20 by doubts about the safety of vaccines. That's why we
21 are anxious to do the risk assessment and make sure
22 that our vaccines are safe.

23 We are, of course, committed to working
24 with all public health community organizations to
25 maintain confidence in the safety of vaccination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Thank you.

2 CHAIRMAN BROWN: Thank you, too, Dr.
3 Almond. Are there any representatives from other
4 vaccine manufacturing firms in the audience who wish
5 at this time to make a statement? If not, we now have
6 an open public hearing, and we are aware of two
7 individuals who have notified us that they wish to
8 speak.

9 the first is Mr. John M. Clymer who is
10 Director of External Affairs at the Albert B. Sabin
11 Vaccine Institute. Mr. Clymer.

12 Well, barring Mr. Clymer's presence, we
13 will go on and see if the second representative is
14 here. That's Ms. Lynn Tylczak, I think it might be
15 pronounced, who was going to speak on the importance
16 of vaccination. Yes, she is here. How do you
17 pronounce your name?

18 MS. TYLCZAK: It's Tylczak, rhymes with
19 "smile back." T as in Tiger, y is in yak, l as in
20 llama, c as in camel, z as in zebra, a as in aardvark,
21 k as in kangaroo.

22 CHAIRMAN BROWN: Obviously, you've had a
23 great many questions to that effect. Please go ahead.

24 MS. TYLCZAK: Thank you. Good afternoon,
25 members of the committee. I apologize. My knees are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shaking. The last time I spoke in front of this many
2 people, I got married. So cut me a little slack.

3 My name is Lynn Tylczak, and I'm the
4 Communications Director for PKIDs, Parents of Kids
5 with Infectious Diseases. We are a national nonprofit
6 with two missions. First, we assist the families of
7 children affected by infectious diseases. Second, we
8 educate the public about infectious diseases and
9 various methods of prevention, including
10 immunizations.

11 I am here to speak on behalf of those
12 families whose children suffer from vaccine-
13 preventable diseases. In the past few years, we have
14 been contacted by folks from all over the country who
15 want straight talk about childhood immunizations.
16 These moms and dads have heard contradictory
17 statements in the media and on the Internet, and they
18 don't know what to believe. They want to know the
19 truth.

20 As your committee ponders the issues
21 before it today and what, if any, action should be
22 taken to address them, we only ask that you continue
23 to do what you have done in the past, follow the
24 science to find the answers.

25 As parents, we support childhood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 immunizations. It is critical for the protection of
2 our children to maintain high rates of coverage. Too
3 many of our families have children living with
4 horrible diseases, diseases that could have been
5 prevented with a simple shot.

6 Some of our parents even know the pain of
7 losing a child to one of these preventable diseases.
8 They agonize over the "if only." If only they had
9 gotten their child vaccinated.

10 We all want what's best for the kids.
11 Vaccines should not be brought onto the market until
12 research shows that they are safe and effective.
13 After vaccines are in use, the scientific community
14 should continue to look for ways to improve their
15 safety and increase their efficacy.

16 That said, we ask that care be taken to
17 avoid creating fear and misunderstanding among
18 concerned parents. Vaccines save lives. There is a
19 big difference between inference and information,
20 certainty and circumstances, coincidences and causal
21 links. Let science do its job. Let it save our
22 children. Thank you.

23 CHAIRMAN BROWN: Thank you very much, Ms.
24 Tylczak. Is there anyone else in the audience who
25 would like at this time to make a public statement on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the topic before the committee? That being so, the
2 open public hearing aspect has been concluded, and in
3 spite of the fact that we started an hour late, we are
4 now 20 minutes ahead of time.

5 Now the fun begins. As I said at the
6 beginning -- Let's see. -- Before I do this, I've been
7 asked if Dr. Schoenberger from the CDC would get up
8 and at least -- and not "at least," but put on the
9 record a statement about the presence or absence of
10 cases of new variant CJD in this country, the United
11 States. Larry?

12 DR. SCHOENBERGER: Yes. We've been
13 conducting surveillance of CJD in the country, at
14 least since 1979 and, of course, have paid even
15 greater than usual attention since the report of the
16 emergence of new variant CJD in 1996 from the U.K.

17 I can tell you that we have not had any
18 documented cases of new variant CJD in the United
19 States. We are fortunate in looking for new variant
20 CJD versus regular CJD in that there are some major
21 differences in the age group that is affected, and
22 that has made our job a bit easier in that respect.

23 As many of you* may know from some of the
24 previous slides, new variant CJD affects a much
25 younger age group than normal CJD. The mean age for

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regular CJD is in the order of 68, whereas the mean
2 age for the new variant CJD is more like 27-28 years.

3 In fact, we've been dropping with time
4 with teenagers and so on. We haven't had a single
5 case in the United States of CJD in teenagers. We've
6 got very good evidence on all the very young cases,
7 which constitute perhaps 60 percent or so of the cases
8 in the United Kingdom, and all of them have been ruled
9 out with either tissues or very specific types of
10 investigation.

11 We also have an ongoing -- There is no
12 case in the U.K. of a case over 55 years of age at
13 death, and we have an active surveillance now of
14 looking at all cases under 55 for clinical and
15 pathologic evidence.

16 So we are pretty confident that we do not
17 have new variant CJD in the United States, and I
18 gather that's what they wanted to get on the record.

19 There was a question earlier about what
20 other factors in that cohort study of calves that
21 might be different between those that have a dam
22 that's infected with BSE versus those who have a dam
23 that's not. In these diseases, I believe genetics do
24 play a role.

25 One possibility is that there is some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 increased susceptibility that is evident in the calf
2 whose mother had BSE.

3 CHAIRMAN BROWN: Thank you, Dr.
4 Schoenberger.

5 We have three questions that were phrased.
6 They look a little complicated in the way that they
7 were put together. In fact, they are not, but that
8 will require a slight reorganization of the questions.

9 Before I try to reorganize those for you,
10 I'd like to give you just very briefly my read on this
11 issue as a way of trying to orient and focus the
12 discussion which, in this particular case, is very
13 vulnerable to being dilatory.

14 I think the first fact is that we are
15 looking at levels of infectivity which, if present,
16 are very, very low, and the consequence of that is we
17 are looking at risk that is very, very low.

18 I think the committee would share with me
19 the idea that the only thing worse than the death of
20 a child is the death of a child that could be
21 prevented. Having said that, and having listened to
22 the lady who spoke, that is a two-edged knife. One
23 can die from BSE or one, can die from a vaccine-
24 preventable disease.

25 So the discussion will invariably involve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a trade-off, as it always does, between what is at
2 present a theoretical risk versus what would certainly
3 be a real risk. In risk assessment in this particular
4 instance, I don't think there probably are four.
5 There are probably three elements, and you've heard
6 about them all.

7 One is the source. One is the tissue, and
8 one is the processing. We probably haven't heard too
9 much about processing, because that tends to be
10 proprietary. But let me give you an example of the
11 kind of thinking that might go into the source and the
12 tissue.

13 The worst thing would be, for example,
14 that material were taken from a sick animal in the
15 United Kingdom. An alternative would be -- and that
16 would be a Category I. Another would be a Category II
17 or III country where you had a misdiagnosis of an
18 animal that actually had the disease or you took
19 material from an animal that was perfectly healthy but
20 was incubating the disease.

21 None of the estimates of risk that you've
22 heard today can be precisely quantified, and I don't
23 think the committee should get too exercised and to
24 put too fine a point on a number here or a number
25 there. The fact is that every risk analysis that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you've heard or you will ever hear has serious
2 lacunae.

3 So we will not be able to put a number on
4 any risk estimate under any circumstances this
5 afternoon.

6 In terms of the tissue, we all know that
7 brain is the worst, and nothing, to the best of my
8 knowledge, originates in brain that goes into a
9 vaccine. With respect to serum, fetal calf serum or
10 any other kind of serum, or other tissues, you have
11 heard that the evidence presented to date indicates
12 that there is no detectable infectivity.

13 That also is a two-edged sword, and the
14 functional word is detectable. Certainly, any level
15 of infectivity in cattle is very, very, very low. But
16 arguing from a rodent model, for example, in which
17 serum had very low levels of infectivity, it required
18 30, 40, 60 and 100 animals assayed to detect the
19 infectivity.

20 So the fact that you've got four or five
21 cows used as an assay for a tissue such as fetal calf
22 serum cannot -- you cannot conclude from that that the
23 serum from any cow with this disease is not
24 infectious. All you can conclude is that, if there is
25 infectivity, it is at an extremely low level, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 no surprise.

2 The third point is processing, about which
3 we haven't heard too much. We know from studies of
4 TSE over the years that these agents are phenomenally
5 resistant to most conventional means of inactivation.
6 For example, usual heat and formaldehyde treatment is
7 totally ineffective in inactivating these agents.

8 There is, however, a process step which is
9 used in many biologicals which removes, rather than
10 inactivates, infectivity. That is chromatography or
11 filtration. Depth filtration and chromatography of
12 any stripe, we know, removes up to three logs of
13 infectivity.

14 So if those steps are present in the
15 processing procedure, they would be a further
16 safeguard.

17 With those comments, I would also mention
18 one other thing, that dilution is totally irrelevant.
19 One infectious unit is one infectious unit, and will
20 infect one person by definition, and it doesn't matter
21 whether it's in vial A or vial 1,000-A. It's still
22 there. You cannot, so far as we know, dilute out
23 infectivity, not in this disease.

24 The questions that we've been asked to
25 address have been divided into considerations of

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensed vaccines and investigational vaccines. I
2 think, for the first part of the discussion, we should
3 just ignore that, because the only reason for making
4 that distinction is because the FDA would have
5 different options in terms of what they might do,
6 depending on what kinds of advice they get, and they
7 have given an example of that.

8 For example, in a licensed vaccine they
9 could take regulatory action. They could do product
10 recall, package inserts, "Dear Doctor" letters.
11 Whereas, with investigational vaccines the options
12 would include things like stopping a clinical trial.
13 It would also include regulatory action or
14 modification of the informed consent.

15 So, really, it doesn't matter if you get
16 sick and you are under investigation or if you get
17 sick and you've been vaccinated, from the point of
18 estimating risk. It really is divided only because of
19 the options that the FDA would have that would be
20 appropriate.

21 CO-CHAIRMAN GREENBERG: Can I just
22 intervene for a second? The risks are the same. The
23 benefits vary. So presumably, in a licensed vaccine
24 there's an established benefit, and in an
25 investigational vaccine there's something that is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 established. That's why it's being investigated.

2 I think that's why the FDA separated them,
3 at least for the VRBPAC committee. We are very used
4 to thinking of licensed versus investigational. So
5 that might be a difference.

6 We heard this wonderful story of polio.
7 It would be a great concern to stop polio vaccination
8 at the moment. An investigational vaccine for there
9 is no efficacy shown, I would be much more free to
10 stop giving.

11 CHAIRMAN BROWN: Right. Well, you've
12 blown my train of thought out of the water. I don't
13 think, actually, we are disagreeing. That's another
14 reason why they are different, but in terms of risk
15 considerations, it's the same topic.

16 So we are now going to talk about risk
17 considerations, and the FDA has organized risk
18 considerations particularly along the following lines,
19 and you've heard them repeated several times in the
20 course of the day.

21 They are interested in our consideration
22 of master and working seeds, of master and working
23 cell banks, and of the use of calf serum and,
24 particularly, fetal calf serum. They have actually
25 organized it according to the chronology of making

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines. These would be the earliest steps; second,
2 the process of fermentation; third, the process of
3 formulation.

4 Those same considerations apply both to
5 licensed and investigational vaccines. So I am now
6 going to open the discussion, and if it wanders too
7 far from the point, I'll try and direct it a little
8 bit, but I'm hoping I won't have to do that.

9 Who would like to initiate the discussion?
10 Yes?

11 DR. KIM: Before I answer these questions,
12 I'd like to ask one question. That is: We heard
13 about the infection models using cows and mice, and is
14 there any data available whether the age of the animal
15 make difference? For example, younger animals would
16 be more susceptible to this disease following
17 inoculation?

18 CHAIRMAN BROWN: I should add that we
19 don't -- We can ask questions. We can attract people.
20 We can make comments. We can do almost anything we
21 want, and we have all of the presenters who are still
22 here. If a question is asked by a member of the
23 committee, whether or not it's directed to a specific
24 presenter, if the presenter has information that would
25 bear on it, I would hope they would raise their hand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and let me know.

2 To the best of my knowledge, the age of an
3 animal is not a factor in susceptibility, but I could
4 be corrected.

5 DR. WILESMITH: I think we do have some
6 evidence of an age dependent susceptibility, but not
7 absolute in that it does appear that calves as a group
8 are more susceptible than adults.

9 We do have difficulty of looking at that
10 in the field, because there is a break in the majority
11 of cattle's feeding patterns. So during their sort of
12 12-month to almost two and a half years, they are
13 hardly fed any concentrate. So we don't get a good
14 look at it. But the drill in the studies that we have
15 performed at CBO, now VLA, have involved the exposure
16 of calves at four months of age, which is the time at
17 which we think naturally infection takes place.

18 CHAIRMAN BROWN: Is that too much
19 different, talking to the pediatricians in the group
20 now, from virtually every infectious -- I mean,
21 infectious diseases typically seem to -- Well, younger
22 people seem -- No, not at all? Sorry, forget it.
23 It's true in animal experiments, but --

24 DR. WELLS: Just to add a point to John's,
25 simply that the inoculation of cattle in the cattle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 bioassays at around to four to six months is largely
2 an operational problem, in that we have to source
3 them, get them in, and overcome any respiratory
4 disease when they are mixed and so on before we can
5 put them onto experiment with a reasonable assurance
6 that they are going to survive through to the long
7 term.

8 CHAIRMAN BROWN: So, finally, the answer,
9 Dr. Kim, I think, to your question is there is
10 marginal evidence that younger calves are more
11 susceptible to BSE. Please?

12 DR. SNIDER: On that point, I think, as
13 many of us know, clearly, there are infectious
14 diseases that are more common in infants and young
15 children than they are in older children. In some
16 cases, that has to do with the fact that -- not
17 necessarily -- the children are more susceptible than
18 older children. But the agent is so common in
19 population that, when young children encounter it for
20 the first time, they just have a higher incidence.

21 In other cases, since humans are one of
22 the species that are not born with the most mature
23 immune systems, they are susceptible, more susceptible
24 to certain infectious diseases. But again, how that
25 would translate for TSEs, I don't think -- I certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't have a clue.

2 With regard to answer the first question,
3 I wonder, Paul, if you or others could make some
4 statement about PrP and how that protein behaves or
5 how you might expect it to behave during some of the
6 processes that are going on early in the manufacturing
7 process. Do we know -- You indicated something about
8 chromatography. Could you sort of elaborate more on
9 some of the physical/chemical characteristics of --

10 CHAIRMAN BROWN: I'll say a couple of
11 things, and then I'll let Dave Bolton complement what
12 I say, just in terms of removal.

13 This is -- The word sticky is usually
14 used. It's an aggregated protein which tends to
15 adhere to matrices, and that is why it is taken out
16 when material is run through a matrix, whether it be
17 a depth column filter or a chromatography column.

18 It can be removed as well by very high
19 speed ultra-centrifugation, if that happens to be one
20 of the steps -- partly removed, not totally removed.
21 There is a strong but not universal consensus that PrP
22 is, in fact, the infectious agent, not just a part of
23 it. It's one or the other.

24 So PrP and infectivity from the point of
25 view of tracking can be considered one and the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thing. So what PrP does, there goes also the
2 infectivity under almost all circumstances.
3 Therefore, whether you detect PrP or detect
4 infectivity, by and large, one indicates the other,
5 and you can either try and measure PrP or bioassay for
6 infectivity.

7 As I've indicated, and I'm sure Dave will
8 agree, current tests which are an improvement, vast
9 improvement, over tests that were in use several years
10 ago, including the Western blot, have now instead of
11 reaching a point where you need 10,000 molecules of
12 PrP to make one infectious unit, you can now detect,
13 oh, somewhere between -- You can detect infectivity at
14 a level of about 100 to 1,000 the dilution of PrP.

15 So PrP is still a much less sensitive
16 detection method than a bioassay, but it is getting
17 better and better. Dave?

18 DR. SNIDER: What about adherence to cell
19 membrane --

20 CHAIRMAN BROWN: I'm sorry?

21 DR. SNIDER: -- solubility in water versus
22 lipid solubility?

23 CHAIRMAN BROWN: I could get into it, but
24 Dave, you're here. Why don't you do that?

25 DR. BOLTON: Paul covered a lot of that.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 one thing about PrP is it really depends on what
2 strain of the agent you're looking at.

3 A lot of the biophysical characterization
4 of PrP prions have been done with the 263K strain from
5 hamsters, and that behaves very differently, say, than
6 some of the other mouse strains or even other hamster
7 strains like the TME agents adapted in the hamster.

8 So -- I haven't done work directly with
9 the BSE agent. So it's difficult to say how that
10 would behave. I'm not sure if anybody here has
11 expertise on handling that. But in general, PrP is
12 very hydrophobic. It does tend to cling to things,
13 particularly it will be taken up by cells and may
14 remain in cells for some period of time, although the
15 replication rate is quite slow.

16 So although you may have the original
17 agent sticking to cells and remaining with the cells
18 and not being degraded, the likelihood that it will
19 double in amount even over a short period of time is
20 very small.

21 CHAIRMAN BROWN: Just for those on the
22 committee, the Vaccines Committee, who were not aware
23 of it, Dr. Bolton is one of the people who discovered
24 PrP and has been a pioneer in its characterization
25 ever since. That's why I defer to him.

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CLIVER: Another -- We were talking
2 about doable experiments. We have fairly
3 circumscribed host systems for our virus vaccines. I
4 heard the varicella mentioned for the inactivated
5 polio vaccine. I don't know whether FRHK-4 is used
6 for the hepatitis A vaccine or not.

7 When we talk about introducing prions
8 hypothetically with calf serum, even though I
9 personally am satisfied that isn't going to be in calf
10 serum, we are not really going to replicate those
11 prions. We are only going to have a replicating
12 system, if indeed either of those monkey kidney cell
13 lines is producing prions as a matter of course;
14 because the viruses, particularly the polio virus,
15 will shut down DNA-dependent RNA synthesis.

16 So cell-specific proteins probably aren't
17 going to be expressed for long after polio virus cuts in
18 as an infectious agent. So having said all that, if
19 the prions aren't being expressed by the host cells in
20 a condition that would allow them to be refolded under
21 the influence of the introduced hypothetically prions
22 from the calf serum, why there is no way for
23 multiplication to take place.

24 This strikes me as something that could be
25 easily enough determined, not at this sitting, mind

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you, but not a great problem experimentally, compared
2 to some of the other undoables that we are confronting
3 here.

4 CHAIRMAN BROWN: Dave, is that -- I mean,
5 I could envision the consequence of this. Well, just
6 looking, you couldn't do it in cell culture, because
7 you don't have an assay that's sensitive enough.

8 DR. BOLTON: Not at this time

9 CHAIRMAN BROWN: No. You might be able to
10 do it in vivo. That is to say, you could inoculate a
11 strain of BSE, say, into a variety of different
12 species which are the source of a variety of cell
13 lines. You could then possibly -- possibly --
14 determine whether or not those organs by bioassay were
15 infected.

16 If you didn't have any -- If, for example,
17 you inoculated BSE into a green monkey and then, when
18 the green monkey got sick, you would take his kidney,
19 because green monkey kidney cells might be used in
20 tissue culture, and then you would assay the kidney to
21 see whether or not there was any infectivity; and if
22 there were or if there weren't, it would give you
23 maybe a clue as to whether or not it would work. But
24 to try and do molecular biology to see whether or not
25 the protein folded in the normal cell -- how are you

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to do it, if it's not infected?

2 DR. CLIVER: The recipe for vaccines does
3 not start with catch a monkey.

4 CHAIRMAN BROWN: All right. I used green
5 monkey as an example. Use any species and cell that
6 you want.

7 DR. CLIVER: There are cell lines that
8 have been established for over 20 years. You can
9 produce any given quantity of that cell line.

10 CHAIRMAN BROWN: Okay.

11 DR. CLIVER: My point is that they --
12 First of all, they've got to have a gene for prion
13 production, which should be determined.

14 CHAIRMAN BROWN: They have to have -- I'm
15 sorry, what?

16 DR. CLIVER: They have to have a gene --
17 In order to produce prions --

18 CHAIRMAN BROWN: Well, that's 100 percent.
19 So that's a done deed.

20 DR. CLIVER: Well, but not necessarily.

21 DR. BOLTON: But they don't necessarily
22 express it. I think that's one of the problems.

23 DR. CLIVER: ^{**}One of the questions is, is
24 that gene expressed.

25 CHAIRMAN BROWN: Well, I turn it over to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dave. Can you design an experiment, Dave, that will
2 satisfy our member?

3 DR. BOLTON: We shouldn't make this too
4 complicated. If you take, basically, the fastest
5 model of prion replication, in vivo in the best
6 possible conditions, you have an incubation time of
7 somewhere around 60 to 65 days.

8 The doubling rate, if you calculate that
9 out, is somewhere between five to seven days. So when
10 you look at that versus a cell doubling rate in
11 culture, you can see why the past history of cell
12 culture in prion diseases has destroyed many careers,
13 I think. It just doesn't work out very well in cells
14 that are expressing PrP at a normal level.

15 I think that, you know, perhaps for those
16 of us who work on prion disease, it would be much
17 better if we could get a cell line that would
18 efficiently replicate prions, but it isn't happening
19 now, and I think that -- So it's unlikely that that
20 would be a great concern of contaminating the cell
21 line with prions and having the prions be a major
22 problem down the line in production of the working
23 stocks and the final vaccine.

24 CHAIRMAN BROWN: If I understood Dr.
25 Cliver's proposal, the first element of it was to see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether or not a given cell had the machinery to
2 convert a prion. Is that doable?

3 DR. BOLTON: Sure. Those are absolutely
4 doable. If you look at -- Well, we looked at a few
5 cell lines. There are a lot of cell lines that are
6 normally used in propagating viruses just don't make
7 very much PrP. So even if you try to pull it out of
8 the cells by PCR, they are in very, very small
9 amounts.

10 Each cell line that's used could be
11 checked by PCR, for example, to see how much PrP it's
12 making, and of course, the next step would be to check
13 to see -- Let's see, you've got the vero cell, which
14 is monkey cell -- can you, in fact, convert that PrP
15 to a PrP scrapie.

16 Again in this case, you would have to look
17 at PrP rez, the protease-resistant form, unless you
18 want to do bioassays back into green monkeys to find
19 out if it could convert -- say, inoculating with BSE
20 agent into the cell line, would you get conversion?

21 Those are certainly doable experiments.
22 They would be quite expensive. And it would for what?

23 DR. CLIVER: I'm just saying we need to
24 get away from the conception that somehow or other
25 prions are going to propagate in the cell culture in

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701