

1 consequence of having perhaps been present in the calf
2 serum that went into the medium.

3 If there aren't prions there that can be
4 converted to the Rez configuration, they are not going
5 to propagate. There's not propagation as such in the
6 introduced prions in those cell cultures.

7 DR. BOLTON: There's somewhat of maybe a
8 dichotomy here. If you look in the normal animal,
9 PrPC is made in many, many different tissues,
10 including heart and skeletal muscle and lymphocytes
11 and a lot of different tissues besides the brain. But
12 it's a curious fact that when you try to propagate
13 prions in these other tissues, it doesn't seem to
14 work.

15 It seems to work really well in central
16 nervous system tissue and not very well in anywhere
17 else. So right now in the absence of actual data, my
18 guess would be that propagating prions accidentally in
19 cell cultures would not be a problem, but there is a
20 clear path to obtaining that real information,
21 although it would be time consuming and somewhat
22 expensive, but that information could be had.

23 DR. CLIVER: But you can't propagate polio
24 virus in a monkey's kidney either. Yet we're doing a
25 very good job of propagating polio virus in cells

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 derived long ago from monkey kidneys.

2 So I think appeal to the original monkey
3 is probably not very useful in this case. We don't
4 know how the cells that we now call the vero line were
5 selected from one fortunate or unfortunate but not
6 immortal African green monkey.

7 So I think it's better to focus on the
8 line as we now use it and not think so much about
9 whatever was swinging from a tree 25 years ago.

10 CO-CHAIRMAN GREENBERG: I'm going to
11 switch away from the topic that I don't know anything
12 about, propagating prions, except my own, and try to
13 get back to the question.

14 It seems to me, Paul said it very clearly.
15 The risk in fetal calf serum -- I think I'm just going
16 to assume that all of you around the table heard
17 exactly what I heard, that the risk is going to be
18 very low, but potential -- there is potentially some
19 risk.

20 The question that I come up with is it
21 sounds like, if you use fetal calf serum from a
22 country or geography where there is no disease, you've
23 lowered that risk, that potential risk, a fair amount
24 more than if you used it from a place like the U.K.

25 I don't see a convincing reason, given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 world surplus of cattle, that we need to say we need
2 to maintain our ability to use -- I don't have a good
3 rationale for saying why I want to use fetal bovine
4 serum from the U.K. or from France or reserve the
5 right to do that, when I have this universe of cattle
6 out there that would lower the risk.

7 So now I haven't addressed things that are
8 already in the freezer that were made in the past, but
9 to start things I would say I can see no reason in a
10 go-forward way that one would ever use serum from
11 those countries. But I could be convinced otherwise.

12 DR. SNIDER: I would agree with that,
13 Harry, and I think that I would go further in terms of
14 thinking then about the stuff that is in the freezer
15 and say let's get rid of that, too, but not at the
16 expense of having -- in a radical manner that would
17 expose kids to the risk of vaccine-preventable
18 diseases. But then the questions I was posing earlier
19 were because I was trying to think more broadly and
20 strategically about this issue for the future, because
21 I don't know what's going to happen.

22 I don't understand these set of infectious
23 diseases very well, and I don't know what's going to
24 happen in Australia or New Zealand or the United
25 States with regard to TSEs. I hope that what's been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 put in place will prevent those from occurring, but in
2 case we're wrong about that, one of the things I was
3 trying to get at is, is there a way to develop a
4 process that would remove prions and then the folks
5 also getting into a discussion, is there a way to
6 sensitively determine that you have removed them or
7 that they are not there, and shouldn't we be moving in
8 the direction of trying to find those methods for
9 manufacture and for analyzing materials?

10 CO-CHAIRMAN GREENBERG: I'm in agreement,
11 Dixie. Now I'm going to stop right now. But given
12 that, I guess it's Dr. Trouvin who spoke for the EU.
13 So I must be missing something, because one of the
14 things that he says, materials may also be sourced
15 from countries where low numbers of indigenous cases
16 have occurred.

17 So that's an EU point of view, and I don't
18 understand the rationale for that point of view. Why
19 give yourself that out? Is he here?

20 CHAIRMAN BROWN: Dr. Trouvin? Is he still
21 in the audience? Yes.

22 CO-CHAIRMAN GREENBERG: So did you
23 understand my question? **

24 DR. TROUVIN: Yes. The rationale: It's
25 clear that, first of all, we have to consider whether

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is even the risk in using such tissue or such
2 fluid. If there is a -- that there is no risk that
3 the level of risk is theoretical, then why to add an
4 additional criteria such as the geographical region
5 for which, even for this criterion, you cannot have an
6 absolute assurance even of the origin for a country.

7 CO-CHAIRMAN GREENBERG: Okay. So that's
8 what I thought you were thinking, actually. So I
9 disagree with that rationale. Unless you could tell
10 me compelling reasons that fetal calf serum in Austria
11 was 100th the cost of getting it from New Zealand, I
12 don't see a reason to support the Austrian fetal calf
13 serum industry, you know, saying that, well, I don't
14 think there's any risk at this point of serum being
15 contaminated or you show your 10^{-20} slide.

16 From my standpoint -- and this is the last
17 I'll say -- listening to all this, it looked to me
18 like we're never going to get past it until we have
19 better assays the feeling that there's some incredibly
20 small risk of transmission from fetal calf serum where
21 there is this disease in the cattle in that country.

22 Since we have a way of avoiding that risk,
23 why not do it? I'm done.**

24 CHAIRMAN BROWN: Yes. I think I'll have
25 just a second. We are really always talking about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same question in different dress. That is, even if I
2 know that, let's say, a chicken had the flu and I know
3 that chicken flu is absolutely not a human pathogen,
4 given the choice between that chicken --

5 DR. FERRIERI: Be careful.

6 CHAIRMAN BROWN: -- and a chicken without-

7 -

8 DR. FERRIERI: You're in very dangerous
9 grounds, really. Don't talk chicken flu with our
10 group.

11 CHAIRMAN BROWN: -- I would still prefer
12 a healthy chicken. I'm not trying to get into
13 questions about whether chicken flu is a pathogen for
14 humans or not. It just came to the top of my head,
15 but the principle is there. If I have something which
16 has a disease for which there is absolutely not a
17 shred of evidence that it's a human pathogen, and I
18 still know it has the disease, and there's a choice
19 between that and something that doesn't have the
20 disease or something, it's just human nature to want
21 the one that's healthy. That's a fact. go ahead.

22 DR. KOHL: It's sometimes nice not to know
23 too much about some things.* So as you said earlier in
24 a different way, the science doesn't become a barrier.

25 We're balancing two major problems. One

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is an incredibly small risk of a terrible disease, and
2 we've heard different analyses today going from 10^{18}
3 or 10^{10} . They are really teeny, but one is much, much
4 higher than the other, and next week it may be 10^5 .
5 I don't know, but there is a risk.

6 Obviously, as Harry has said, as Dr. Brown
7 said, if we can move to a less risky system, we ought
8 to do it, and I don't see why we are not doing it.
9 Now we are not doing it, because the other component
10 is something we haven't heard much about or something
11 that is not forthcoming at this meeting,
12 unfortunately. What is the risk right now of moving
13 to a safer situation where we don't use any material
14 that was sourced from BSE countries?

15 That's where we need to know how quickly
16 the manufacturers can shift over, and whether there is
17 any kind of a production lag, and whether there will
18 be uncovered children, because that's a real risk. I
19 don't want to see measles or polio in this country, or
20 more pertussis.

21 So that piece of the equation we
22 desperately need, either from the CDC or from the
23 manufacturers, and several of us have asked that
24 question and it's not been forthcoming.

25 CHAIRMAN BROWN: I have something to say,

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but I'll hold off, because we have two other comments,
2 at least. Yes, please?

3 DR. DAUM: I would actually like to hear
4 responses to Kohl's comment. He mentioned that he's
5 sure that there is a risk. I'm not convinced after
6 what I've heard today that there is a risk that we can
7 actually measure, but I am concerned about the safety
8 of the vaccine supply that we put into children.

9 So if there might be a risk, I'm almost
10 willing to stipulate that there is for the sake of
11 this discussion. But I would like to temper that with
12 Dr. Orenstein's presentation this morning and a piece
13 of information that I've calculated while we've been
14 talking.

15 It seems to me that the highest risk
16 that's been presented today is about one child or one
17 dose in 40 billion being infectious. If that's true,
18 and the U.S. birth cohort is about 4 million, and each
19 child gets ten injections of this stuff, it would take
20 about 1,000 years at that point to have one child get
21 an infected dose.

22 So that I want risks to be zero, but I do
23 want to call attention to the fact that we're dealing
24 with exponential numbers here, 10^{-10} , 10^{-18} . These are
25 incredibly low numbers, and I think this calculation

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gives some sense of where the actual risk to an
2 individual child is. However, I, like everybody else
3 here, want it to be zero.

4 I think that Dr. Greenberg's comments
5 would go a long way, if it's feasible from a
6 manufacturing perspective and FDA perspective, would
7 go along way toward acknowledging this committee
8 discussed this; this committee had appropriate concern
9 about it; and took a reasonable step without all the
10 information that we need to define things to drop that
11 risk a whole lot further.

12 So I would like to hear whether out-
13 sourcing the serum to -- the serum source to a country
14 where there is no disease at the present time, while
15 a lot of the missing science gets filled in, can be
16 accomplished.

17 CHAIRMAN BROWN: I think probably no one -
18 - go ahead.

19 DR. EGAN: Could I just address a couple
20 of the issues that have come up. With regard to the
21 manufacturing, the actual production of the vaccine,
22 fetal calf sera is sourced from countries such as the
23 U.S., Australia and New Zealand. No materials from
24 Europe are used in the actual -- you know, the
25 production.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What we are referring to here are the
2 master seeds and the working seeds. Those can't be
3 changed. What we're talking about is, first of all,
4 whether or not we need to change the master seed,
5 which will take some time -- it's doable -- with
6 regard to the fermentation and the use of bovine
7 broths.

8 All of those sources, you know, are being
9 -- have been changed and are being changed, and the
10 issue is what to do in this interim, this period of
11 about a year, while new lots of vaccines would be
12 produced, formulated and tested and released.

13 So there is not a continued use of fetal
14 calf serum from U.K. and Europe in the production.
15 The fetal calf serum refers back to these master and
16 working cell banks.

17 CHAIRMAN BROWN: I may not understand.
18 What I interpret you to say -- to mean is that, say a
19 year from now, all of these things that are being
20 addressed in the questions will have been taken care
21 of. That is to say, working seeds and master seeds
22 will be changed. Fetal calf serum will be resourced,
23 ta-da-da-da. What do we do in the year -- in this
24 coming year? I mean, what are the options? Are we
25 going to --

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: Yes. I meant not necessarily
2 certain for the fermentation and the use of the beef
3 broths that we've heard discussed by Dr. Vann and by
4 others. Those will be changed, and it will probably
5 be on the order of a year to have new vaccines from
6 them on the market.

7 If manufacturers have to change the master
8 seeds, I think that will take longer to re-derive
9 those.

10 CHAIRMAN BROWN: Well, let me ask you and
11 the committee a question then. Let's suppose that one
12 of the seeds, whether it be a working seed or a master
13 seed, was prepared, let's just for fun say, in 1985,
14 and amongst the materials to which it was exposed in
15 the course of its being produced was a meat broth
16 sourced from the U.K. which, I think, we've heard
17 today, if I'm not mistaken, might have been the squish
18 from mechanically recovered meat which would include
19 spinal ganglia and spinal cord.

20 What you're asking is what do we do with
21 that master seed now, or what do we do -- You're
22 saying it's going to be replaced but in a year, and so
23 I guess what you're asking^{**} is what do we do with the
24 vaccines that have been produced from this master
25 seed, that are still in circulation? I mean, is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the question?

2 DR. EGAN: Yes, as well as whether the
3 committee feels there's a significant risk from the
4 master seeds to warrant replacing them. I think some
5 of the estimates that you heard from us on, you know,
6 the risk assessments that we did for the master seeds
7 were on the order of 10^{-18} .

8 CHAIRMAN BROWN: So you're not saying that
9 all of the manufacturers are going to replace their
10 master seeds. You're asking us to recommend or talk
11 about whether or not we think it should be done.

12 DR. EGAN: Yes. The fermentation process,
13 use of bovine derived material -- that is being done
14 now. That is taken care of.

15 CHAIRMAN BROWN: All right. The
16 fermentation process and bovine derived media --
17 that's being done. The master and working seeds is
18 still at issue. Is that right?

19 DR. EGAN: Yes.

20 CHAIRMAN BROWN: And the formulation of
21 the final product.

22 DR. EGAN: And the issue there was the use
23 of fetal calf serum.

24 CHAIRMAN BROWN: Right. Comments?
25 Discussion? Yes?

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BURKE: I have a question that would
2 be a generic question for the manufacturers. It seems
3 almost an oxymoron to say that you can replace a
4 master seed and still have the same vaccine. Most of
5 the time when you change a master seed, you're
6 changing a fundamental property of a vaccine.

7 To say that that could then continue to be
8 licensed under the previous Phase I, II, III and
9 efficacy seems almost incompatible, to me. I would
10 ask my colleagues at both the FDA and the
11 manufacturers, do I understand this correctly, that a
12 change in a master seed would almost by definition
13 mean a need to relicense, in which case it would be
14 impossible.

15 CHAIRMAN BROWN: Yes, Catch-22.

16 DR. BURKE: This is a question. I'm
17 asking both the FDA and the manufacturers.

18 CHAIRMAN BROWN: Okay. Do you have a
19 choice of first response?

20 DR. BURKE: I'd like to hear from both.

21 CHAIRMAN BROWN: FDA first or it doesn't
22 matter?

23 DR. BURKE: I defer to the Chair.

24 CHAIRMAN BROWN: FDA, as long as you're
25 standing up.

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: Yeah. I mean, the change in
2 the master seed is extraordinarily difficult, and I
3 guess we're talking about, in the case of viral
4 vaccines, re-deriving the seed from clones, if they
5 exist, or plaque purifications. Again for bacterial
6 vaccines, again trying to do purifications through
7 growing up, dilution, pulling out single colonies, you
8 know, isolating it, regrowing it again and again.

9 CHAIRMAN BROWN: And the same thing
10 doesn't apply to working seeds. Working seeds are not
11 a problem.

12 DR. EGAN: The working seeds are not a
13 problem, because you just re-derive a whole bunch of
14 those with the masters, and that can be done.

15 DR. FERRIERI: But what would you be
16 requiring to do? Would you be requiring the
17 manufacturers to submit for all the new trials? I
18 don't think that's what you would be requiring them to
19 do, is it?

20 DR. EGAN: Submit for -- You mean new
21 clinical trials? Would it require a new IND?

22 DR. FERRIERI: Are you going to need to go
23 through all the Phase I, II and III?

24 DR. EGAN: No, I don't think so.

25 CHAIRMAN BROWN: So what you're saying is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, supposing -- just supposing the committee felt
2 very strongly that all of the master seeds ought to be
3 replaced. You are saying that the FDA approval of
4 such master seeds would not be a standard approval
5 process?

6 DR. EGAN: Well, it would have to be a
7 supplement that would be submitted to the license. It
8 would have to be dated to show the identity to the
9 original material.

10 DR. BURKE: How would you guaranty
11 identity?

12 DR. EGAN: Well, I think this is a bridge
13 that we're going to have to cross. I'm not -- You
14 know, in some case it could be through sequencing.

15 CHAIRMAN BROWN: Dr. Ewenstein?

16 DR. EWENSTEIN: Well, I wanted to contrast
17 what we are trying to do today to --

18 CHAIRMAN BROWN: Yes, any of the
19 manufacturers, please.

20 MR. STEPHENNE: Yes. Jean Stephenne,
21 SmithKline Beecham. I would like to make a few
22 clarifications.

23 The first one; I don't think that any
24 manufacturer is using any serum coming from BSE
25 country. So that's the first point, and I think there

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been confusion during the discussion about that.

2 So as you know, when we manufacture
3 vaccine, we start from the working seed. We grow the
4 cells, and we use bovine serum. This bovine serum
5 since 1990 has been changed to the source which are
6 non-BSE countries. So I want to avoid the confusion
7 there.

8 Secondly, when you manufacture vaccine,
9 you need master seed and you need working cell bank.
10 Then if you look back to the history, what has
11 happened in the Seventies or Eighties when these
12 vaccine were developed, that's really the fruit of
13 research. Right? So it has been years of research to
14 develop these vaccine.

15 So if today you take, for example, MRC5
16 cell line, which I use for production of hepatitis A
17 vaccine, this cell line were discovered in the U.K.
18 and developed in the U.K., and they have a certain
19 history, and you cannot change that history, which
20 means you have to go back to the master cell line.
21 That's the only thing you can do, but you cannot
22 terminate all the history.

23 Two, when you look to history, let's say
24 ten years ago the way we were controlling productible
25 bioengineering is totally different than what we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing today, which means that the manufacturer of
2 amino acid has changed the process also during the
3 last 20 years and the last ten years.

4 Why? Because attention has been given to
5 BSE, which means when you speak about fetal calf
6 serum, you need also to speak about other ingredients,
7 for example, gelatin-derived product, whether gelatin
8 produced 20 years ago is safe as bovine serum?

9 So it means we need to address working
10 cell bank and working seed virus. Can you change it?
11 Yes, we can do it, and the process is ongoing and in
12 discussion with health authorities.

13 Then can you change your master seed? For
14 us, it's a new development. It is the development of
15 a new vaccine, because I don't think that someone can
16 guaranty that we'll do all the in vitro tests to prove
17 that the vaccine you have done and the new strain you
18 have done is similar to what you have used in the
19 past. So you have to re-do everything.

20 So I think we need to in the discussion
21 phrase, what do we use in routine production? Can we
22 change a working cell bank? Then what do we do for
23 the master cell virus or cell bank? And when
24 addressing that, I think that's a question which must
25 be addressed for all manufacturers, not the European

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacturer.

2 Why? Because who can guaranty that 20
3 years ago a U.S. manufacturer who was buying U.S.
4 serum or was buying U.S. amino acid was -- there was
5 no certificate of origin. There was, I would say,
6 less control of manufacture of these products than
7 today. So I think we have to address it globally as
8 the whole industry. Thank you.

9 CHAIRMAN BROWN: Thank you very much. Dr.
10 Ferrieri?

11 DR. FERRIERI: I think nothing stirs our
12 blood more than the possibility of adventitious agents
13 in our vaccines and the enormous problems that this
14 imposes on manufacturers as well as the potential for
15 undermining our vaccination effort and the confidence
16 of parents and consumers on behalf of recipients of
17 vaccines.

18 I feel a bit compromised today, as Dr.
19 Lurie had mentioned earlier, in not being able to ask
20 certain very specific questions. So I think that I'll
21 try to stay away from anything controversial.

22 From a theoretical standpoint, I don't
23 understand why we can't test these master seeds and at
24 least have some confidence that using the best assays
25 that might be available, that we might feel secure

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that they do not display any infectivity potential.

2 I applaud the manufacturers who have
3 presented their plans to replace all bovine sources,
4 but realistically, we know that there may be some
5 aspects of the whole process that will not be able to
6 be replaced.

7 So based on everything I've heard today,
8 I do not see -- and I'm fairly conservative and very
9 interested in the safety of all that we do here, but
10 I don't see that there is anything we've heard that
11 impugns the integrity of vaccines that are on the
12 shelf to any significant extent at all, and that we
13 need to solve the problem and move forward.

14 We have solved other problems of a tougher
15 nature within the past five years at the VRBP Advisory
16 Committee, and this one will be solved as well. I
17 guess I'm an optimist and know that CBER and all of
18 you will be able to meet the kinds of requirements
19 that we need. But I don't think we should be
20 overhysterical about the current problem and that the
21 current master seeds are likely to be pretty intact.

22 CHAIRMAN BROWN: You raise an interesting
23 issue. The next one is Dr.* Ewenstein, but the issue
24 is today, is there anything that the FDA might do,
25 from the committee's perspective, about risk on the

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 shelf, so to speak, versus the future, and what might
2 be done in terms of a word that we haven't heard so
3 far today but this committee is very familiar with,
4 validation tests. For example, taking a master seed,
5 spiking it with a bovine strain, processing it, and
6 then inoculating the final product into the most
7 susceptible host, which would be a cow or a bovinized
8 transgenic mouse.

9 That's a kind of two-year experiment, but
10 two years or three years from now, as is usual in
11 these discussions, we may have the information that we
12 need now, but at least it would be something.

13 Other comments and questions? Dr.
14 Ewenstein?

15 DR. EWENSTEIN: Well, I was beginning to
16 say I wanted to contrast what we were trying to do
17 today to what we did in the donor deferral question.
18 I think there at least we had the ability to look at
19 the risks and the benefits, and we tried to find a
20 point at which we would not seriously interrupt the
21 blood supply while trying to reduce a theoretical
22 risk.

23 I think we're accepting the fact now that
24 we don't have that other side of the equation today,
25 and we can only suspect that the impact of losing

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these vaccines for one or more years would be large,
2 but we have no specific number on that.

3 I don't think we've heard anything today
4 that would justify a large risk on the loss of the
5 vaccines. I think it should also be put into context
6 that you have to compare the risk of catching TSE
7 through a vaccine, if you will, to the real risks that
8 we already know exist that we're willing to balance,
9 and that includes idiosyncratic reactions and allergic
10 reactions and -- well, we heard about shingles and the
11 like.

12 So these vaccines are never going to be
13 risk-free, as is true of anything in medicine, and I
14 don't think we heard anything today that would raise
15 what we're talking about here with TSE above the risks
16 that we know we are already taking.

17 All of that said, I think that, if there
18 are things that can be done in the interim -- the
19 manufacturers have talked about some of the things
20 that they've been done -- that they are doing, rather,
21 that have been done. I would encourage that, and I
22 would hope that the FDA would enforce the guidance to
23 that degree. **

24 I suspect that the sensitive test that
25 we're looking for for TSE is going to come around

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 before we could perhaps develop the new vaccines that
2 have been at least theoretically discussed, and that
3 we are likely to get to the answer more quickly that
4 way than we are to start from scratch in developing
5 all new vaccines.

6 DR. MODLIN: I'd like to agree with the
7 last two comments almost completely. I think there's
8 a fair amount of room here. I was going through the
9 same calculations that Dr. Daum was with respect to
10 the likelihood of observing a case anytime in the near
11 future, and it appears to be so remote that I think
12 that there's a considerable amount of room and
13 confidence that there is -- we can take, I wouldn't
14 say a casual approach to it. That's the wrong word to
15 use, but can have some confidence that considering the
16 alternatives, considering the alternative of seeing
17 the return of vaccine-preventable diseases even in a
18 small number of children, which would be likely or
19 certainly be a much higher probability event than, I
20 think -- I would take a measured, thoughtful but
21 direct approach that Dr. Ferrieri suggested.

22 That is to test what we can test and
23 derive what information we can from that, and then to
24 proceed further. I would just point out that one of
25 the vaccines that was under discussion today is IPV.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If the WHO eradication effort continues to go as
2 successfully as it has in the past, there's a
3 likelihood that -- or use of IPV that we'll be using
4 in the future will be finite and that we, you know,
5 hopefully, will never have an opportunity to see
6 whether IPV, no matter how it's manufactured, is
7 associated with an adverse event from adventitial
8 reagent.

9 So I think a measured approach to
10 assessing what risk we can and taking the steps to
11 remove what we can to reduce the risk makes a lot of
12 sense. But I certainly would not encourage anything
13 else.

14 DR. ROOS: I think we have safe vaccines
15 now with respect to perceived dangers of spongiform
16 encephalopathies, and what we really have is perhaps
17 a perceived risk. It would be great to get rid of
18 that perceived risk, but the question is what's the
19 downside of making new master lots of cells and virus
20 seed.

21 It sounds to me, first, as if there are
22 going to be regulatory issues that are going to be
23 rather onerous, that there will be difficulties
24 establishing secure, safe cells. That is, the
25 histories of these cells may be controversial, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fact that there is perhaps mysterious histories about
2 some of the lots that were used in any of these cells
3 sometime in the past.

4 I think the third issue, which is an
5 important one, is that these new cells and virus seeds
6 won't really have the history of safety with respect
7 to many other viruses and adventitial agents that we
8 presently know with vaccines today.

9 So there's a tradeoff here in potentially
10 getting virus that has no -- that is less likely to
11 have fetal bovine serum from a U.K. source, but the
12 tradeoff is that we really don't have the safety
13 record for these new established vaccines. So I think
14 that, in order to decrease perceived risk here, we may
15 be taking new risks that are unknown.

16 CHAIRMAN BROWN: Just a second. Ms.
17 Fisher, did you have a question that I haven't been
18 paying attention to?

19 MS. FISHER: I do have a comment. I think
20 that Dr. Berkower summed it up best, at least for me
21 as a consumer rep, when he said there are many factors
22 we would like to know but don't know at this time.
23 What I've learned here today is that when bovine
24 derived materials are used in vaccine production,
25 especially materials from countries where BSE is known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to exist, there is at least a theoretical risk of TSE
2 transmission and that this risk is made more possible
3 by the technology limitations to detect adventitious
4 agents.

5 I think that, if there is a risk, even a
6 theoretical risk, from a consumer's standpoint that
7 you have to tell the people who are using the product.
8 There has to be full public disclosure, and I would
9 encourage the FDA to look at requiring the
10 manufacturers to have something in the product
11 manufacturer insert to reflect this theoretical risk.

12 CHAIRMAN BROWN: Thank you. That is an
13 interesting issue. Of course, it's one of the FDA
14 options to be able to do it, and I'm sure as a
15 consumer rep you recognize that the likely reaction --
16 and I'm all for public disclosure -- of a letter which
17 says "Dear Doctor, it has come to our attention
18 recently that this vaccine contains fetal bovine serum
19 that was processed from Great Britain which has, as
20 you know, killed a number of people from mad cow
21 disease. We thought you ought to know -- You know,
22 you wonder whether or not the risk is sufficient even
23 to cause this much anxiety, because it does.

24 MS. FISHER: It may be a difficult thing
25 to address, but I don't think that -- I think the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public trust is important and, if you're truthful with
2 the public and forthcoming with the public all along
3 the way, then there are no surprises for anybody in
4 the future.

5 I think that people cannot be elitist and
6 decide what is best for other people. You have to
7 have full public disclosure about even a theoretical
8 risk, and I think in the long run it's going to serve
9 the vaccine program better than to basically have
10 people be finding out that we knew but didn't tell.

11 CHAIRMAN BROWN: Yes. I, a hundred
12 percent, absolutely agree with that, and it's a long
13 process of education and people have to -- You have to
14 take the flak for a while until people understand that
15 when you're telling them that there is a theoretical
16 risk, that that's exactly what you mean, there's a
17 theoretical risk. And they gradually begin to
18 appreciate the remoteness of that risk and, therefore,
19 accept it. But I agree that it's entirely better to
20 do it that way, accept the reaction you're going to
21 get from that subset of the population that always at
22 zero risk as the only alternative, and gradually they
23 will come to understand that there really wasn't any
24 risk, but still --

25 MS. FISHER: At least you were honest. At

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 least you were above-board.

2 CHAIRMAN BROWN: Yes, right, exactly. At
3 least you were above-board. Go ahead, please.

4 DR. LURIE: I think those are very
5 important points. Let me just make one sort of
6 introductory point and then follow up on Ms. Fisher's
7 point.

8 As I see the very worst case scenario
9 here, which is not, of course, the only one, and Dr.
10 Vann's presentation, the fermenter under the worst
11 scenario -- and again, it is the worst scenario -- is
12 a five times 10^{-8} probability of a single dose being
13 infected. That's one in 20 million. It's not one in
14 40 billion, and one in 20 million -- Again, we don't
15 know how many vaccine doses, because we don't know
16 which vaccines, etcetera, etcetera -- points I've made
17 before.

18 Certainly, that doesn't take very long for
19 20 million shots to be administered in this country.
20 But having said that, I think that Ms. Fisher's point
21 is absolutely right on. I think that the fact of --
22 There are two reasons to disclose. One is a moral
23 one, that the patient would like to know and, I
24 believe, has the right to know about a risk like this.

25 Certainly, I would like to know if I had

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been exposed to a minimal risk or not. So I think
2 that's a moral issue, and I do think we should steer
3 away from paternalistic ideas about what is best for
4 patients. I think that's the sort of thing this
5 country has been leading the world in moving away
6 from.

7 The second point is, frankly, a political
8 one. The fact of the matter is that in this room
9 today there are a number of members of the press, and
10 they are going to write stories about this, and those
11 stories are going to be on the Internet by tomorrow,
12 and they are going to be out there for people to talk
13 about.

14 It is going to do no good for this
15 committee or the public health or for public
16 confidence in vaccines for that kind of stuff to be
17 out there and we taking a position that somehow
18 patients should not be adequately informed in some
19 fashion.

20 So I think that, for political reasons as
21 well as moral reasons, we do inform. The question to
22 me is not so much whether to inform, because it's
23 informing via Reuters and the AMA News versus
24 informing by the FDA, the manufacturers or the doctor.
25 That's really the question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 So I think the question we should be
2 asking is how to inform, and my own feeling is that a
3 letter to doctors explaining this can be written. It
4 would be difficult to do, but I'm sure the FDA can
5 write a letter that will explain how small the risk
6 is, but still provide the information to doctors who
7 will need to be answering the questions posed by the
8 patients who read about this on the Internet tomorrow.

9 CHAIRMAN BROWN: Well, as this is one of
10 the options that the FDA has and the question has come
11 up, I think we should clear the decks and ask for any
12 other comments about this particular issue. That is
13 to say, shall we say, quote/unquote, "informed
14 consent." Dr. Ewenstein?

15 DR. EWENSTEIN: Well, I agree that the
16 facts are already out there essentially, as you are
17 saying, and I think that adding it to the package
18 insert, as long as it's put in the right context,
19 makes a lot of sense.

20 I'm wondering really whether a "Dear
21 Doctor" letter which has a certain amount of urgency
22 attached to it isn't overkill, and I think it's also
23 important that we not just be a neutral filter. At
24 the risk of sounding somewhat elitist, it is our job
25 to make recommendations and to put things in context,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and to put information out there without a
2 recommendation is really betraying the trust that, I
3 think, we have here to make a recommendation.

4 I think that it should be stated that,
5 although there is this theoretical risk, that the best
6 calculations that we can put forth -- and I am
7 somewhat encouraged that, although there is a wide
8 range, that none of the calculations, whether they be
9 from industry or from various government or impartial
10 sources, one might say, exceed, I think, by any of our
11 calculations the benefits, and by a very wide margin.

12 I think it's important that it be stated
13 that way, just as when giving a vaccine it wouldn't be
14 fair -- forget the TSE risk -- to say this is a risk-
15 free thing. Okay? If somebody asks you, you would
16 have to be honest and say that rarely people have
17 severe reactions, but extremely rarely, and not to the
18 point where we stop giving vaccinations.

19 I just think it needs to be put alongside
20 a recommendation that no change in practice is
21 indicated.

22 CHAIRMAN BROWN: I have a sense that the
23 entire committee feels essentially as Dr. Ewenstein
24 just expressed. Is there any dissenting view? If
25 there's not, I think the FDA has one answer from

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 today's discussion, and now we will have another
2 comment. Yes?

3 DR. HUANG: No, I completely agree with
4 the last speaker, but I think this is the right time
5 to say that it's terribly important as we look at the
6 data that's been presented today that we recognize
7 that there is still a lot to be found out, and
8 although some of the experiments that have been
9 suggested, I would say, are really trying to prove the
10 negative and that we go out and ask people to spend
11 their whole careers trying to prove the negative,
12 that's not going to work very well.

13 However, there are a few areas that have
14 come out today which, I think, it's important to
15 stress, and some of it has been discussed at great
16 length and others have not.

17 There are three areas which I think are
18 extremely important. That is: Understanding
19 inactivation of the prion material; providing --
20 continuing the surveillance that we have had in the
21 animal population; and improving the diagnosis.

22 I think that there are areas which we can
23 pursue very effectively that will help in
24 surveillance, help in inactivation, and improved
25 diagnosis.

1 CHAIRMAN BROWN: Inactivation may be a
2 dead issue, in a sense. I mean, there's been a
3 tremendous amount of work over the past 30 years on
4 inactivation, and the bottom line is that virtually
5 anything that inactivates this agent will inactivate
6 the biological activity of anything that it's in.

7 So that's probably not the most fruitful
8 line of future research to follow. Surveillance,
9 certainly, will be continued, and as you've heard,
10 some of the European countries are now doing pilot
11 studies or beyond pilot studies of fairly sensitive
12 tests for the detection of infectivity in cattle.

13 I guess that's what you're really talking
14 about, diagnosis. Right? The diagnosis of BSE?

15 DR. HUANG: Well, Mr. Chairman, I might
16 suggest that, for instance, in terms of inactivation
17 that now that we know the sequence, we might have
18 specific proteases or specific sequence binding small
19 molecules. There are ways of looking at that.

20 I think also, in terms of diagnosis, the
21 whole area of chaperon and interaction of floating
22 proteins with chaperons is worth pursuing. There are
23 areas now in molecular biology that offer whole new
24 avenues, and even quite specifically, when we think
25 about the genetic restriction that we know about now

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with PrP gene, that that area can be pursued in detail
2 as well.

3 So these are just a few that come to mind.
4 I'm sure there will be others that I haven't thought
5 about.

6 CHAIRMAN BROWN: I really think the
7 diagnosis of BSE is up to speed. I mean, cattle that
8 die and are examined histopathologically and who die
9 or that die from the disease test positive by current
10 tests.

11 DR. HUANG: Well, yes, I think that that
12 is a good test, but it takes, what, 36 months?

13 CHAIRMAN BROWN: No. That takes -- That's
14 a PrP detection test. It takes 36 hours. It's a good
15 test.

16 DR. HUANG: You mean cattle die in 36
17 hours?

18 CHAIRMAN BROWN: I'm sorry?

19 DR. GRIFFIN: You're talking about cattle
20 that are ill.

21 CHAIRMAN BROWN: Not necessarily, not
22 necessarily.

23 DR. FERRIERI; .. In surveillance of herds,
24 you would be doing that.

25 CHAIRMAN BROWN: That's right. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what they are doing.

2 DR. FERRIERI: And so this is early. I
3 mean, this is how they are monitored, and I want to
4 add that we not be complacent about the purity of what
5 may come out of Australia and New Zealand, etcetera,
6 and that we continue to have molecular monitoring so
7 that we do not make any false assumptions, if products
8 are used from non-European countries.

9 DR. PICCARDO: I agree with that. I think
10 it's --

11 CHAIRMAN BROWN: That's Dr. Piccardo.

12 DR. PICCARDO: Sorry. I agree with that,
13 because as the discussion moves, we have to rely more
14 and more on countries that we suppose are BSE-free.
15 I mean, if they say they are BSE-free, okay, we'll
16 believe them, but there should be somehow a monitor
17 system that keeps the standard very high, because we
18 rely more and more on those. So yes, I agree with
19 that.

20 CHAIRMAN BROWN: We should remind the
21 committee that Dr. Piccardo's home of record is
22 Argentina. Another question?

23 DR. PICCARDO: *Actually, that has nothing
24 to do with what I just said. Actually, if the people
25 from my country hear what I just said, probably they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will kill me.

2 CHAIRMAN BROWN: Hold on just a second.
3 Yes, Dr. Almond?

4 DR. ALMOND: I would just like to echo
5 what Alice Huang said concerning inactivation. One
6 area that I think is very poorly investigated is the
7 effect of acid hydrolysis on prions. I know that --
8 I spoke to David Taylor recently who, of course, has
9 done a lot of the inactivation studies, and the effect
10 of acid hydrolysis is very poorly studied on prions.

11 Now that -- We know it's a protein
12 molecule. We know the peptide bond is susceptible to
13 acid hydrolysis. We know that acid hydrolysis is
14 actually used in the preparation of a lot of
15 bacteriological growth media, including, for example,
16 casein hydrolysate, but also things like
17 thioglycollate, hemin and so on involve acid
18 hydrolysis steps.

19 There is one poster which describes this,
20 and it looks like hot acid really knocks the hell out
21 of prions, actually. Ray may know something about
22 that poster, but I think more studies along those
23 lines would be interesting and might serve a great
24 reassurance, at least on the bacteriological growth
25 media side, that are those media so treated, the risk

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from prions goes away.

2 CHAIRMAN BROWN: We do have information
3 about one strain, which is a relatively resistant
4 strain, which is the hamster strain, and that's
5 unaffected by pH-1. I don't know if --

6 DR. ALMOND: I think the observations are
7 that cold acid does nothing, but hot acid certainly
8 seems to. And it's hot acid -- The heat -- When you
9 do an amino acid analysis of a protein typically, of
10 course, is by a chemist with heat with acid overnight,
11 and you smash the protein down to amino acids and do
12 your amino acid hydrolysis.

13 CHAIRMAN BROWN: Is boiling acid
14 compatible with vaccine biological activity?

15 DR. ALMOND: I just remind you that casein
16 hydrolysis --

17 CHAIRMAN BROWN: I know. I'm not being
18 smart. I'm asking a question. Is a vaccine --

19 DR. ALMOND: Well, what you are providing
20 in a bacteriological growth media is a source of
21 amino acid is a source of peptide is a source of
22 protein. It doesn't need to be intact, and certainly
23 the acid hydrolyses that you do use on that just smash
24 the proteins, and that's quite clear in things like
25 casein hydrolysis being a case in point, and

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thioglycollate broth as well.

2 So if you are providing nutrient to a
3 bacterium, then that's fine. Of course, you couldn't
4 do it on calf serum.

5 CHAIRMAN BROWN: That's what I say. This
6 has to do with the nutrient media, not the bacteria.

7 DR. ALMOND: Absolutely, on the nutrient
8 media that you feed them with, where it enters into
9 the process. You, obviously, couldn't do it on the
10 calf sera. Wouldn't expect it to survive, but
11 certainly on the nutrient source for the bacteria,
12 that would be a good place to start looking.

13 CHAIRMAN BROWN: Right. This is a subset
14 of what you were asking, Dr. Huang. Instead of trying
15 to knock out the agent, we knock out the possibility
16 that the agent might exist in media to which it's been
17 exposed. In other words, that you know that the media
18 at least that contacts whatever it is you're making a
19 vaccine from cannot be infectious.

20 DR. HUANG: Right. In that case, you are
21 knocking out the agent from a nutrient source. I
22 think that there are other methods that one can
23 approach of knocking out the agent from the final
24 product.

25 CHAIRMAN BROWN: Yes. Two of the most

1 important things or useful things in general are one
2 normal sodium hydroxide -- pretty tough -- and 6 to 8
3 molar urea, which oddly enough, does not destroy the
4 biological activity of human growth hormone.

5 So there are surprises. Yes, in the back?
6 I think your compatriot there had priority.

7 DR. SLAOUI: I just want to share with the
8 experts around the table --

9 CHAIRMAN BROWN: Could you identify
10 yourself, please, again?

11 DR. SLAOUI: Sorry. Moncef Slaoui from
12 SmithKline Beecham.

13 I'd like to share with the committee again
14 a real life experiment, because rightly so, many
15 experts have stressed how fully sensitive or
16 eventually fully sensitive the detection methods that
17 are available today out there to assess the
18 infectivity of the components involved in vaccine
19 manufacturing.

20 Well, if we consider fetal calf serum, I'd
21 like to share with you a real life experiment in which
22 29 million doses of bovine vaccine have been
23 manufactured using amounts of fetal calf serum sourced
24 from the U.K. between 1985 and 1988, i.e., at the time
25 where the epidemic was growing, and it had been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inoculated in -- actually 26.5 million doses
2 inoculated outside of the U.K. in bovine. There has
3 not been a single case reported of vaccine-associated
4 BSE.

5 I can't think of a larger real life
6 experiment assessing whether fetal calf serum or
7 actually cow serum or adult bovine serum involved in
8 vaccine manufacturing in amounts that are orders of
9 magnitude higher than what we are discussing here can
10 be reinoculated in cattle. So I think that's probably
11 very relevant to the discussion.

12 CHAIRMAN BROWN: That's very interesting.
13 It ought to go into a letter to Lancet.

14 DR. SNIDER: How good is the vaccine
15 adverse effects reporting system in cattle? I hope
16 it's better than it is in people.

17 CHAIRMAN BROWN: Can you identify
18 yourself, and repeat the question, please?

19 DR. SNIDER: This is Dixie Snider of CDC.
20 The question is how good is the vaccine adverse
21 effects reporting system in cattle? I hope it's
22 better than it is in humans, because although that
23 experience is reassuring, unless there's some real
24 active surveillance, I'm not sure that the results are
25 really all that helpful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLAOUI: I think your point is very
2 relevant, and of course, the 26.5 million doses have
3 been distributed in countries of Europe where BSE
4 surveillance was happening at that time, and have
5 continued to happen beyond that time, because of
6 course, the cattle that are recipients of vaccine
7 between 1988 and 1990 have continued to live over the
8 years beyond that; and 2.5 million doses were
9 distributed in the U.K. during which time at that
10 period surveillance was certainly very maximum.

11 Not a single case has been associated with
12 vaccination. There is a publication on association
13 between vaccination and BSE in bovine in the U.K.

14 CHAIRMAN BROWN: That would be an
15 interesting observation to make in the U.K. Can you
16 tell me, for example, in a country with as much BSE as
17 the U.K., that you can actually tell us that not a
18 single cow died of BSE that had been vaccinated?

19 DR. SLAOUI: No. I mean, again this is
20 statistics, but I think Dr. Ray Bradley said that
21 there was about 1300 cases of BSE reported over the
22 years outside of the U.K. and, if I believe, over
23 100,000 cases reported in the U.K. over that period.

24 So that gives an order of magnitude. Now
25 can we go back and trace every single cow that have

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been receiving of those 26.5 million doses of vaccine?
2 The answer is no, because nothing was designed in that
3 regard. But the size of that experiment is, of
4 course, outstanding in comparison to any experiments
5 you could do in real life.

6 CHAIRMAN BROWN: I think you would have to
7 do a little data analysis. I mean, you're assuming
8 that, for us to buy that wholesale, it would mean --
9 it would exclude the possibility that only, say, a
10 small portion of the doses might have, in fact,
11 contained an infectious dose. So that this spread,
12 this difference -- Unless you could show that there
13 was a clear difference between unvaccinated and
14 vaccinated BSE cattle that died, I don't see that
15 that's useful.

16 DR. SLAOUI: Well, epidemics of BSE
17 outside of the U.K. --

18 CHAIRMAN BROWN: Outside of the U.K. is a
19 different matter.

20 DR. SLAOUI: Right.

21 CHAIRMAN BROWN: But you were saying in
22 the U.K. as well.

23 DR. SLAOUI: No, I'm sorry. 26.5 million
24 doses were distributed outside of the U.K.

25 DR. ASHER How many lived past five years?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLAOUI: Unknown, but I think the
2 comment -- The comment is as many as in natural bovine
3 industry keeping animals out there, immunizing them,
4 and some of them reaching the age at which they
5 manifest BSE clinical disease. The sheer numbers
6 should allow for, you know, a normal distribution.

7 CHAIRMAN BROWN: Go ahead.

8 DR. BURKE: I think this line of reasoning
9 is actually excellent. It's one of the few places
10 that we may be able to get some data without the issue
11 of the host species barrier that may be clouding a lot
12 of our interpretations.

13 You don't need to focus on the U.K. You
14 should focus on the United States --

15 CHAIRMAN BROWN: Oh, exactly. I mean,
16 U.K. is off limits, but other European countries.

17 DR. BURKE: Where it has not been seen.
18 If we find that there have been millions and millions
19 of doses of vaccines that have been prepared with
20 fetal calf serum derived from the U.K. and used in
21 these countries which have yet to recognize a single
22 case of BSE in the animal population, that would, I
23 think -- I would find that very useful as a reassuring
24 step.

25 CHAIRMAN BROWN: Yes, I agree.

1 DR. BOLTON: But I want to get on record
2 David Asher's point, and that is how many of those
3 animals lived beyond five years. If we had that
4 information, then we would really have something that
5 was meaningful. I would recommend to the FDA that
6 there be a specific effort to pull that data together,
7 if possible. I think that would be helpful.

8 CHAIRMAN BROWN: Do you know if any of the
9 vaccine went to really BSE-free countries like
10 Australia and, I think it's fair to say, the USA?

11 DR. SLAOUI: I would like to say that we
12 have shared with the agency this information that we
13 are compiling and continue to compile, but you
14 understand, for practical reasons, our company is no
15 more having its animal health as part of SmithKline
16 Beecham. It's been merged with another company, and
17 finding back all the trace information for where the
18 vaccines actually were delivered, etcetera. But these
19 sale of tens of millions of doses were delivered
20 across Europe and outside for many vaccines, some of
21 which containing up to .15 milliliters of serum that
22 were delivered either intranasally or subcutaneously
23 or intramuscularly in countries, I am sure, in Europe
24 where there has been no case of endogenous BSE
25 reported.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BROWN: There was a question
2 again or a comment.

3 DR. EGAN: Yes. I would just like to have
4 a little bit of clarification from the committee. I
5 think I heard a lot of expression for public
6 disclosure of the issues around all of the different
7 vaccines, but some -- I would like to know if that is
8 the committee's consensus.

9 Second, I think I heard some people
10 favoring or suggesting "Dear Doctor" letters and
11 others thinking that that was maybe too much overkill
12 and to change the package insert. That's going to
13 come up in the second question, but I think we would
14 appreciate some clarification on that or more
15 discussion or additional opinions.

16 CHAIRMAN BROWN: You want more discussion
17 on that? Well, it seems to me, the committee is
18 uniform in its approval of some form of notification
19 about this issue and the concept of finite risk. I
20 think there was no dissenting opinion.

21 So the question now is in what form the
22 committee might feel that would be most appropriate,
23 and at least two options were a package insert
24 addition to the fine print, a "Dear Doctor" letter.
25 What are the other options the FDA has?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. EGAN: Well, those, I think, are the
2 two major ones --

3 CHAIRMAN BROWN: Those are the two?

4 DR. EGAN: -- for disclosure. The "Dear
5 Doctor" letter is very, very public. The package
6 enclosure is limited to those who read the package
7 enclosure.

8 CHAIRMAN BROWN: To those with very good
9 eyesight, yes. What does the committee feel in terms
10 of a choice between these two. Yes?

11 DE. BELAY: I'd like to know what the
12 vaccine manufacturers feel about the disclosure issue,
13 if somebody would comment on that.

14 CHAIRMAN BROWN: I'm sorry. I didn't
15 understand.

16 DR. BELAY: I would like to know what the
17 vaccine manufacturers would say about the disclosure
18 issue.

19 CHAIRMAN BROWN: Okay. Before we do that,
20 again the FDA. The "Dear Doctor" letter has nothing
21 to do with the manufacturers. Right? That goes -- or
22 does it? Does the manufacturer do that or does the
23 FDA do it or who does that?

24 DR. EGAN: Either one could do that.

25 CHAIRMAN BROWN: Either one could do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: The manufacturer can send a
2 letter to those who purchase the vaccine, the vaccine
3 purchasers.

4 CHAIRMAN BROWN: Okay. So we would like
5 to hear at least from both manufacturers present what
6 their viewpoint is about either one of these two
7 options. Who wishes to speak? Yes?

8 DR. SLAOUI: Moncef Slaoui, SmithKline
9 Beecham. I think I would like to reiterate what was
10 said a little bit earlier and take a minute to do
11 that.

12 CHAIRMAN BROWN: I'm sorry. What would
13 you like to reiterate?

14 DR. SLAOUI: Reiterate what was said
15 earlier.

16 CHAIRMAN BROWN: By whom?

17 DR. SLAOUI: By Mr. Jean Stephenne from
18 SmithKline Beecham. I think the point to be
19 considered regarding information is again to identify
20 what is exactly the issue and where is the issue. To
21 what vaccine does it relate? If we speak about seeds,
22 master seeds and working seeds, having been
23 historically in contact with bovine derived material
24 from the U.K., for instance, in the mid-eighties --
25 right? If we speak about that, that means, clearly,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there has been since, say, 1985 -- you use that number
2 -- there has been no other contact with bovine derived
3 material ever.

4 The key question becomes for every single
5 vaccine out there -- not that one specifically or
6 those ones that are today under discussion, but for
7 every single vaccine out there for which the seeds,
8 the working seeds, the master seeds, the banks, the
9 working banks, the master banks, have been in culture.

10 In the mid-eighties, there is a very long
11 risk, I think it was shown or could be shown again, of
12 animal derived material or bovine derived materials
13 that are involved in manufacturing of those seeds that
14 were sourced in the mid-eighties, at time at which it
15 is impossible to ascertain and trace and document that
16 the bovine origin materials were not sourced from the
17 U.K.

18 Because we are talking about risk factors
19 here in the orders of the billions and the hundreds of
20 billions or thousands of billions, the probability
21 that those other components than fetal calf serum or
22 feed broth coming also from bovine origin could have
23 been, in part, sourced from the U.K. or in part
24 processed in the manufacturing -- you know, at those
25 manufacturer places in a place where U.K. derived

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 material that have been used for something else was in
2 those same recipients.

3 You know, we've been discussing these
4 things earlier. How can we be confident that those
5 things have not happened historically? We think that
6 it is for that reason that, if this committee and the
7 agency opts for an information on this point, the
8 information ought to be generic to all vaccines;
9 because, effectively, if the issue is related to the
10 seeds, master seeds, we cannot exclude in a sure way -
11 - again, we are talking about remote theoretical
12 risks. We cannot exclude in a sure way that that's
13 not present everywhere.

14 That's the point we would like to make,
15 and I think that then raises the issue about the
16 impact on perceptions of risk and perception of safety
17 of vaccine and uptake of vaccines and immunization
18 strategy and the impact on public health.

19 We would like again to reiterate, you
20 know, real life experiment like the bovine vaccine I
21 discussed, all the data that have been described and
22 that scientifically documents that there is no
23 identifiable risk associated with this vaccine -- one
24 should really weigh the impacts.

25 CHAIRMAN BROWN: Well, it seems to me that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the committee has already discounted exactly what
2 you've said. They recognize that the risk may well be
3 infinitesimal. My feeling was that the committee
4 feels that, despite that -- and it's summed up by the
5 words theoretical risk -- that they have already
6 decided that theoretical risks deserve to be called as
7 such and publicized in some way.

8 Again, the question was -- just a second,
9 Peter. The question was: In what form should that
10 information be conveyed? So let's move beyond your
11 objections and say --

12 DR. SLAOU: Well, I guess the comment
13 then would be generic.

14 CHAIRMAN BROWN: -- if that is the
15 committee's recommendation, what form would you prefer
16 to see it in?

17 DR. SLAOU: Well, I guess, really, the
18 short answer then it has to be generic, because
19 scientifically you cannot prove everything --

20 CHAIRMAN BROWN: Okay. I think it would
21 be generic. I don't think it's the FDA's plan to
22 identify vaccines A through D and leave vaccines E
23 through Z untouched. As you say, there is a
24 sufficient absence of information from archival
25 vaccines so that nobody probably making a vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could guaranty that there wasn't this possibility of
2 an infinitesimal risk by exposure to something that
3 was produced between 1980 and 1990 in Great Britain.

4 On the other hand, if the FDA could be
5 completely convinced, as you point out, that there are
6 certain brands or vaccines even within a certain
7 company that have no possibility of ever having been
8 exposed to bovine products, than there is no point for
9 information to be conveyed.

10 Peter?

11 DR. LURIE: First point: Package inserts
12 are, you know, fine as far as they go, but the vast
13 majority of patients don't see them. So I don't think
14 that that's going to be an adequate effort on its own.

15 I strongly disagree with the notion that
16 any notification should be generic. Obviously, it's
17 in the self-interest of a pharmaceutical manufacturer
18 who is really worried about his or her own vaccine to
19 make sure that everybody is equally tarred, but the
20 fact of the matter is that, even with the very
21 difficult risk assessments that have been necessary in
22 BSE, people have managed to divide things up into
23 categories.

24 Here there are three potential categories,
25 those were it is known that the companies disobeyed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the guidance and obtained the materials at a point in
2 time and from a place that was precluded by FDA.
3 There is a second group where it's simply unknown, and
4 that can be stated, and the third is, if there somehow
5 is a way of convincingly stating where the entire --
6 where the master cell lines and the working cells come
7 from.

8 It seems to me that it is wrong to go and
9 tar everybody with the same brush, because there are
10 some ways, however imperfect, of distinguishing
11 between vaccines. I think that, to physicians and
12 patients, it would be enormously helpful to know that,
13 in fact, the vaccine that you are asking about happens
14 to be the one with which we have greater or lesser
15 certainty that the theoretical risk is still lower.

16 I can't see any reason for throwing
17 everybody into the same pool here.

18 DR. SLAOUI: Well, I'd like to just make
19 a comment and say my comments, of course, were purely
20 scientifically driven, and I simply cannot make the
21 difference between 18 logs and 16 logs and 15 logs.
22 That's my comment to the experts.

23 DR. HUANG: And I also want to say I don't
24 think a theoretical threat is tarring anybody, and we
25 shouldn't be even using that term.

1 DR. LURIE: But the whole conversation
2 here, all the conversation about how important
3 vaccines are and all those presentations by Dr.
4 Orenstein this morning are precisely aimed to point
5 out that vaccines are important, which I agree with,
6 because we are worried that this information is going
7 to tar vaccines. I don't think it should. I'm with
8 you.

9 I mean, I think that fundamentally there
10 is not a substantial change in the known safety of
11 vaccines today as opposed to yesterday. But we are
12 worried about public perceptions here and, as long as
13 we are, and as long as we have more rather than less
14 information, I think we should impart it to the
15 public.

16 DR. SNIDER: In terms of communicating it,
17 I would not have an objection to a letter to
18 physicians. But it seems to me that the
19 communication, whatever form it takes, really needs to
20 have the whole context. One of the important messages
21 that needs to come out of this meeting is that we do
22 not -- I don't believe any of us want the FDA to take
23 action which will increase^{**} the incidence of vaccine
24 preventable diseases in this country.

25 So one context, of course, is to emphasize

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the importance of continuing to vaccinate children
2 against these diseases. That includes using vaccines
3 that are currently on the shelf while, as I hear from
4 the manufacturers, they continue to replace products
5 that came from areas which represent a theoretical
6 risk with products that come from areas that do not
7 present such a risk.

8 For those -- and when they can do that
9 without having to create a whole new vaccine. For
10 those master lots, it seems to me, the question has
11 been raised, and something that FDA should consider
12 would be whether they can be tested, as has been
13 suggested, if those master lots are going to be
14 sitting around and continue to be used for some time.

15 Then as has been mentioned, there should
16 be a commitment, I think, to continuing research on
17 the part of manufacturers and FDA and others to
18 identify new technologies which would help reduce the
19 risk, either those technologies that improve the
20 assays or those technologies which might remove the
21 infectious agent.

22 All of that combined into a communication,
23 I think, would be very educational for physicians, and
24 then would help them in trying to communicate with
25 their patients. Obviously, the communication would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 include the risk, some statements about the risk
2 assessments that have been done.

3 To just have a short statement that
4 there's a theoretical risk here without a context, I
5 think, would be a terrible mistake.

6 CHAIRMAN BROWN: Coming from the floor?

7 DR. DeWILDER: Michael DeWilder from
8 Aventis Pasteur. I want to address also this
9 communication issue, but before doing that I'd like to
10 reiterate our company commitment that we made very
11 clear. This is to make all attempts that are
12 technically feasible to remove materials of those type
13 of origins, and this includes, actually, master seeds.

14 What I want to point out is the reason we
15 do that is not because there is a risk associated with
16 the use of those materials or the past use of those
17 materials, but because we are doing it because there
18 is nothing more important to us than the perception of
19 vaccine safety, and indeed we refer to in Dr.
20 Orenstein's talk and to the great catastrophe that
21 would be linked to vaccine preventable diseases;
22 because indeed there is no risk associated with the
23 use of those materials, and you have the calculation
24 that we have made, and you have the expert that you
25 have consulted, and you have the expert that's spoken

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 here today. Here is a fact; that's a number, whatever
2 they are. He's using between 10^{10} and 10^{20} , whatever,
3 it doesn't matter, in a range which are actually
4 equivalent to no risk.

5 I urge your committee and the agency to
6 take this into consideration as they choose a way to
7 communicate this to the community.

8 CHAIRMAN BROWN: Thank you. Now we have
9 heard from both manufacturers, and we have Dr. Scott
10 Ratzan who is a professional and an expert in the
11 whole field of the communication of medical knowledge
12 to the general public, who has something to say. Dr.
13 Ratzan.

14 DR. RATZAN: Thank you, Dr. Brown. I do
15 spend my life doing this type of activity, as I edit
16 the peer review Journal of Health Communication and
17 edited a book on the mad cow crisis, Health and the
18 Public Good that was published in 1998 by University
19 College, London Press and NYU Press.

20 I'm very interested in the sort of
21 disconnection of what I heard of some of the data
22 earlier today and what I'm hearing now in terms of
23 something to communicate to the public. I heard
24 something like one in 20 billion, and I know we are
25 using logarithmic numbers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 One in 20 billion would be one second of
2 my life, if I live 640 years. I mean, we are talking
3 about some very, very low numbers that don't translate
4 very well into the public.

5 What my major concern is, is what I think
6 Dr. Modlin and Dr. Snider just said, what does this do
7 for vaccine preventable deaths. We saw the bumps
8 earlier in the morning in terms of the measles, in
9 terms of what happened with over 100 deaths. As a
10 father and a physician now, I think that we have a
11 very conscionable task to look at how we communicate
12 this to the public, and not jump to a conclusion of
13 this or that.

14 These need to be tested. There's a
15 science behind communication, and it makes me think of
16 what Justice Potter Stewart said many years ago. Just
17 because we have the right to do it doesn't mean it's
18 the right thing to do.

19 I think that's where we're stepping in
20 grounds in terms of dealing with theoretical risk
21 here. I'm just trying to think of recent experiences.
22 We saw what happened with thimerosal a year ago where
23 we have four different academies now, AAFP, AAP, the
24 CDC groups, FDA groups -- a lot of people back-
25 peddling and saying there is no risk. It was only a

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 precautionary measure.

2 We saw 79 percent of hospitals in this
3 country change their policies in terms of childhood
4 immunizations because of that statement that was made.
5 In 1996 the SEAC committee similarly met in England
6 and made a decision that they say there may be a link.
7 They didn't have a communication person on that
8 committee, and the House of Lords and the other groups
9 that have looked back on it said that it was a major
10 issue and a major mistake that they made in how \$20
11 billion and many lives and livelihoods were lost in
12 terms of the U.K. and Europe and the world.

13 I think this is a very serious discussion
14 that needs to be made for moving from question 1, from
15 yes, we agree with some theoretical risk which, again
16 one in 20 billion -- two, are we going to communicate
17 to a public, are we going to communicate to
18 physicians, all who interpret risk very differently.

19 So I really implore the committee to try
20 to think about that, not only take a scientific
21 approach to the communication, but really take a
22 scientific approach to look at the evidence on what
23 the public needs to know, what physicians need to
24 know, what policy makers need to know, and how we can
25 continue to make healthy public policy in this area.

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I would be happy to answer questions in
2 this regard, but I really hope that I've been able to
3 impart that upon the committee today.

4 CHAIRMAN BROWN: Thank you very much.
5 Yes?

6 DR. DAUM: I'd like to make a comment and
7 then actually ask you a question. My comment is that
8 I am, as I've been reminded today, a person in favor
9 of full disclosure, and I am.

10 So that the question then becomes how. I
11 totally agree with your comments and approach, as my
12 earlier comment spoke to, and I don't think,
13 therefore, that this should be product recall, which
14 is one of the things we were asked to comment on.

15 I frankly don't think, with all respect to
16 my colleague at CDC, that there should be a letter
17 sent out to all doctors, because that's an alarming
18 thing to have appear in your mailbox from the Food and
19 Drug Association of the USA.

20 So I would say to you -- and I also don't
21 think you should just slip something into the fine
22 print of the package insert without letting someone
23 know a little stinker is there. So the question is,
24 in your experience -- you sound like a professional in
25 this area -- how could we approach this?

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I feel the need to say something. It's
2 theoretical. I agree with your sense of how often
3 it's going to occur totally. What could we do? What
4 could they do?

5 DR. SNIDER: This is Dixie Snider. Yeah,
6 we can hear from him, but you made a criticism of my
7 comment, and I just want -- I thought it might be
8 useful to have something to go along with their U.S.
9 Today story that they were reading. That's all.
10 Something that's authoritative from the FDA.

11 CHAIRMAN BROWN: I'm glad I'm the Chairman
12 of this committee, not this committee.

13 DR. RATZAN: If I could try to answer
14 that, there is a scientific nature to how do you look
15 at communication. You don't overreact to
16 infinitesimal risks, and at the same time you don't
17 under-react when there is a real risk that's involved,
18 because that does undermine the public trust.

19 What I heard today were some of the steps
20 that were being taken by some of the manufacturers,
21 the two that presented, that they are trying to embody
22 the public trust in terms of their processes. I think
23 more of the open nature, even meetings like this of
24 being able to have advisory meetings, meetings also
25 that might have the professional associations where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have opinion leaders who might be able to defuse
2 the information appropriately.

3 A blanket communication -- We often say
4 Marshall McLuhan, a Canadian scholar in media, said,
5 if you try to reach everybody, you reach nobody. By
6 doing that, it's really key in thinking about
7 communicating with the people that need to know.

8 Ninety million Americans are either
9 marginally or low literate, meaning they can't
10 understand a bus map or can't understand a bus
11 schedule or locate their intersection on a map. We
12 can't communicate with the same message to them that
13 we might communicate to people who are making vaccine
14 decisions at the state or county or other levels.

15 So I'm answering in a circuitous way,
16 because I think we've heard some of the right steps
17 being taken today, the open hearing, some of the
18 voluntary efforts that are being done in good faith by
19 the manufacturers, and some of the other ways that
20 continue to monitor the open disclosure. I think the
21 surveillance systems that we've put in place not only
22 here in the United States but now abroad in looking at
23 BSE and looking at the CJD*that we heard from CDC and
24 others where the numbers are.

25 So I would say, by all means, keep the

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveillance. Keep the voluntary efforts. Continue
2 to focus upon the science, and communicate that
3 appropriately on, whether it's a quarterly basis, or
4 use the different channels, the Institute of Medicine
5 channels that are out there.

6 I think there's a variety of different
7 ways to do it, such as these expert committees as
8 well. So, thank you.

9 CHAIRMAN BROWN: Thank you very much.
10 Yes?

11 MS. FISHER: You may not want to
12 communicate this theoretical risk to the public, but
13 that doesn't mean it's the right thing to do. I think
14 that part of what the National Childhood Injury Act of
15 1986 was all about, the safety provisions, was
16 communicating risk to parents before they get their
17 children vaccinated.

18 I think that, you know, the FDA's charge
19 is to ensure the purity and potency of vaccines. It
20 seems to me that the least that we can do at this
21 juncture when we know something is to let the people
22 know we know, rather than keeping it from them.

23 CHAIRMAN BROWN: Hold on, Dave. Shirley?

24 MS. WALKER: There's an old German
25 proverb, "Don't point the devil on the wall;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 otherwise, he will jump off." I think the devil has
2 already jumped off.

3 The inserts in the packets for
4 pharmaceuticals are great. Notification to the doctor
5 is great. But I represent something like 79,000
6 mothers who have children in Dallas County who we
7 actively promote to get vaccinated.

8 So Monday morning when I go back to work,
9 I'm going to have to tell someone, a percentage of
10 these young mothers, that, hey, your child is at risk
11 for whatever that minute amount is for CJD. So what
12 do we do at this particular point? Do we remain mute
13 and say nothing or do we promote and give some type of
14 information?

15 So I am saying to FDA that we do need some
16 kind of general information that we can impart to our
17 constituents.

18 CHAIRMAN BROWN: Thank you. I'm going to
19 ask for just a couple of more comments in this
20 discussion, and then in the event that a number of
21 people on the committee may have to leave, there are
22 two or three very specific questions that the FDA
23 would like some discussion on, and I want to move to
24 them. We've touched on some of them already, but if
25 there's anything more to say on this -- Yes, go ahead.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: I guess I'm really
2 concerned that this discussion is kind of spinning out
3 of control in terms of the risk. I must agree with
4 the consumer advocate who spoke a minute ago --

5 CHAIRMAN BROWN: Dr. Ratzan.

6 DR. STEPHENS: -- that, you know, this is
7 -- We are at some -- We have a duty, in my view, to
8 protect the vaccine system in this country. I think
9 that this discussion has gotten to the point of at
10 least suggesting that we believe that this is a
11 significant problem. The data suggests that the risk
12 is in the billions, that there have not -- there's not
13 been a single case of new variant CJD in this country,
14 despite the use of vaccines that have been
15 manufactured in this way for years.

16 So I think the issue is we need public
17 disclosure. That's not the question. I think we all
18 are in agreement on this committee, but I think to
19 emphasize this point where you're concerned about
20 going back to your group of mothers and saying there's
21 a risk -- I think that's something we don't want to
22 send. That's a message we do not want to send.

23 CHAIRMAN BROWN: I opened this whole
24 seminar with the notion that we're starting from a
25 very, very small amount of infectivity, if there is

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any, and the corresponding risk was equally very, very
2 small, if there is any, and that there is a tradeoff
3 between, as several people have said, a theoretical
4 risk and a real risk, which would be discrediting in
5 some way vaccines or causing vaccine shortages or
6 difficulties or refusal to get vaccines.

7 In other words, this is the tradeoff.
8 Right at the outset, this was the scene that I hoped
9 to set. But you're right. All of our committee
10 discussion meetings tend to spin out of control at
11 about this time of the afternoon, and sometimes it's
12 in one direction, and sometimes it's in another
13 direction.

14 I think the word risk has enlarged as the
15 afternoon has progressed, and maybe we should shrink
16 it down a little bit and get a little better
17 perspective or a little different perspective. So I
18 tend to agree with you. Let me --

19 DR. BOLTON: Paul, can I get in my
20 comment?

21 CHAIRMAN BROWN: I'm sorry? Go ahead.
22 I'm sorry, Dave.

23 DR. BOLTON: I agree that it would be
24 important to communicate known risks or even good
25 estimates of risk to the public, but I'm not sure what

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that estimate would be at this point. I don't think
2 that we really have enough information to communicate
3 to the public and have it be meaningful and not simply
4 scare people away.

5 I can't imagine the negative impact on the
6 vaccine program in this country if parents started
7 thinking that, if I vaccinate my child, he or she may
8 come down with new variant CJD.

9 To me, the other way that we communicate
10 is by action. It seems to me that there are actions
11 that can be taken in terms of looking at the process
12 of vaccine manufacture and where the real -- the
13 greatest of the theoretical risks are. It seems to me
14 that the viral/bacterial master seeds are really at
15 the very lowest end, as are the master cell banks, and
16 also trying to change those creates the biggest
17 problem.

18 From that point on, from the working seeds
19 on down through production, I think that the
20 manufacturers have issues that they can address in
21 terms of removing the use of at-risk bovine materials
22 from that point on.

23 I guess my question to anybody at the FDA
24 is: Are at-risk bovine materials currently in use at
25 the -- certainly from the production step on, and even

•
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the production of the working seeds and working
2 cell lines, are they in use now, and how long before
3 they will be phased out?

4 CHAIRMAN BROWN: I guess what you're -- to
5 add to that, are the sources of anything currently
6 coming from BSE designated countries?

7 DR. STEPHENS: When I say at risk, I
8 really mean those bovine materials are coming from
9 Europe or at-risk countries.

10 CHAIRMAN BROWN: Right. Does the FDA --
11 You might be better off --

12 DR. EGAN: As I mentioned in my opening
13 talk, for some bacterial vaccines there was bovine
14 derived fermentation media where that skeletal muscle
15 and pancreas derived from several European countries.
16 I think it was Germany, Denmark, Poland, the
17 Netherlands.

18 CHAIRMAN BROWN: Right. So they are
19 currently in use in this country.

20 DR. EGAN: They have all agreed to -- That
21 will be changed, but as I mentioned, by the time --
22 You know, they've gotten new sources, but that comes
23 into new vaccines -- What?

24 DR. BOLTON: Is that the only material
25 that's now sourced from at-risk countries?

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EGAN: That's used in the production.
2 I think I also mentioned hemin. I think that was it,
3 but I'd have to go back to it.

4 DR. BOLTON: So I guess my recommendation
5 would be that the FDA work with the manufacturers to
6 set a definite timeline to phase out all those
7 materials. In terms of the master virus seeds and the
8 bacterial stocks and the master cell lines, I think
9 that the risk is so small as to be really
10 counterproductive to try to change those, because the
11 risk of changing the product by changing those is
12 much, much greater than any risk that there would be
13 from proceeding.

14 CHAIRMAN BROWN: One of the questions that
15 the FDA specifically wanted some judgment on was: Is
16 it necessary to re-derive bacterial master seeds? I
17 mean, I'm getting the sense -- Every time I get the
18 sense of something, the sense changes. You know, we
19 had a consensus about informed consent, and now we
20 have a consensus about not smother it, but be awfully,
21 awfully, awfully careful.

22 Now I thought we had pretty much decided
23 that, at least for current products, that it will not
24 be necessary to re-derive bacterial master seeds.
25 That was my sense. Dr. Huang?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HUANG: I completely agree. I think
2 that the derivation of new master seed stocks would be
3 more dangerous than this perceived danger that we are
4 facing now.

5 CHAIRMAN BROWN: Does anybody -- As I
6 asked before, does anybody differ from that opinion?
7 All right. We have answered one definitive question
8 that the FDA wanted to asked.

9 They also want an answer to a question I
10 think should be very easy to answer. That is: Is
11 1980, from all that you have heard, an appropriate
12 cutoff date before which one need not worry about
13 anything in terms of sourcing of the products we are
14 talking about?

15 We always worry about something, but 1980
16 -- is that an appropriate date before which not to be
17 concerned? That's a pretty focused question. Is
18 there anybody that feels that one should be concerned
19 about products produced before 1980 from anywhere?
20 Yes?

21 DR. ROOS: I think 1980 sounds like a good
22 year, Paul, and with respect to our blood donation
23 pool in the United States, we were concerned about BSE
24 and started with 1980.

25 CHAIRMAN BROWN: It has the merit of

1 consistency as well. All right. That's two
2 questions.

3 The third question they were concerned
4 about was: Do we think that the small amount of fetal
5 calf serum from the U.K. around 1985 used in the
6 production of master cell banks constitutes a
7 negligible or -- well, the phrase was "a negligible or
8 a significant risk"? Again, a question about fetal
9 calf serum, sourced from the U.K. in the middle of the
10 1980s, use in the production of master cell banks
11 constitutes any kind of significant risk? Yes?

12 DR. CLIVER: May I start by saying
13 negligible. We'll see if anybody disagrees.

14 CHAIRMAN BROWN: Do I hear significant?
15 Negligible?

16 DR. BOLTON: I agree that it's negligible.

17 CHAIRMAN BROWN: Okay. Any differing
18 opinion that fetal calf serum used for the production
19 -- just for this specific purpose, used in the
20 production of master cell banks? Well, that answers
21 the three questions that you most wanted some judgment
22 on. Dr. Ewenstein?

23 DR. EWENSTEIN: There was also the
24 question about products that are still under
25 investigation. I think, you know, we should address

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. I think one of the comments before was, I
2 think, right on the point. That is that it's
3 different if you have a licensed drug or product that
4 has, therefore, documented benefit versus recruited
5 volunteers.

6 I think we should think about what we
7 should answer for number 3. I think that it's
8 appropriate to include again, with the correct caveat,
9 about theoretical and negligibly small risk in a
10 consent form. but I certainly wouldn't like to see
11 all clinical trials stopped of such vaccines.

12 CHAIRMAN BROWN: Yes. This is the idea
13 about an investigational drugs. We haven't touched on
14 that, and we might just continue that discussion a
15 bit. Peter?

16 DR. LURIE: Yes. I think Dr. Ewenstein is
17 right, if I understood him correctly. I think that it
18 is indeed a different situation. For one thing, not
19 only is the benefit of the vaccine unknown, but for
20 another, one actually does know the name of the
21 patients, and one is personal contact with those
22 patients on a semi-regular basis.

23 I think that the ethical responsibility
24 toward those people is quite different than is owed to
25 the population at large. In any event, there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simply no tracking, as far as I know, on the national
2 level of exactly who receives what lot of what
3 vaccine.

4 So I think it is distinguishable, and I
5 think that personal notification is the way to go in
6 the proper context.

7 CHAIRMAN BROWN: Let me ask a question of
8 the people, especially, on the Vaccine side. In
9 trials of vaccines, what kinds of risks are real?
10 We're talking about a theoretical risk and
11 notification. What kinds of risks in a vaccine trial
12 actually happen? Anybody know?

13 I mean, it's nice to compare this
14 theoretical risk against something that's a real risk.

15 DR. STEPHENS: Well, you certainly have
16 risks of the local reactions to the vaccine. You have
17 the intra-susception story with the rotaviruses as an
18 example of a risk that did occur.

19 There are clear risks associated in a
20 clinical trial with a vaccine.

21 CHAIRMAN BROWN: Or you could have, for
22 example, an ampule or a batch that was contaminated
23 with bacteria. I mean, ~~that~~ happens, certainly in --
24 not necessarily in vaccines, but in drugs and media.

25 DR. STEPHENS: That's less likely, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes. I mean, there are a variety, and anyone
2 participating in the clinical trial understands that
3 there are risks. Some of them are known; some of them
4 are not known.

5 CHAIRMAN BROWN: I'm sorry? Some of them
6 are?

7 DR. STEPHENS: Some of them are known;
8 some of them are not known with any kind of IND.

9 CHAIRMAN BROWN: Would it be a problem for
10 this additional theoretical risk information to be
11 tacked on? I assume, when they have informed consent
12 -- I mean, I can tell you a story about informed
13 consent that is very funny, but I won't.

14 Informed consent is almost always signed
15 by volunteers, no matter what it says, and I assume
16 that the addition of a theoretical risk of this nature
17 added to an informed consent would not be a great
18 difficulty, if it were properly communicated, properly
19 worded.

20 DR. FERRIERI: Well, don't underestimate
21 the impact of having additional material in informed
22 consent. It adds a huge, huge amount of time to the
23 explanations, and the more "indefinite" the risk is, the
24 harder it is to communicate, in my opinion.

25 CHAIRMAN BROWN: Would it be fair to say

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, if it turns out that the FDA chooses in some way
2 to inform -- to get this information across to the
3 recipients or at least the givers of vaccines to the
4 recipients in the general public, if that decision is
5 made, then consistency dictates that something also be
6 said to recipients of investigational drugs.

7 DR. FERRIERI: Absolutely.

8 DR. STEPHENS: In consent forms, you try
9 to list all of the things that you think might occur,
10 and this could be one of those theoretical
11 possibilities that would occur. So I think that that
12 would be appropriate.

13 Again, going back to the emphasis, we are
14 talking about a theoretical, in the billions risk in
15 this particular setting.

16 CHAIRMAN BROWN: The story I was going to
17 tell you: I had a tooth pulled many years ago by the
18 Navy, and they had a consent form at the Navy hospital
19 which listed in increasing order of seriousness the
20 various complications, and the next to last line was
21 death. The last line was "Other."

22 DR. FERRIERI: I'd like to revisit the
23 issue of the communication and propose one possible
24 avenue as a preliminary. That would be for FDA to
25 write a brief one-page sort of "for your information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based on our meeting" that would be submitted to JAMA.
2 It reaches so many physicians, and it might defuse the
3 issue of what they may read in the newspaper; and
4 depending on what's on Web sites, parents will start
5 coming in and asking questions before physicians will
6 even have been aware of the issue perhaps.

7 Dr. DiAngelis, the editor, might be very
8 interested in some sort of statement "for your
9 information" as the thrust of it.

10 CHAIRMAN BROWN: I think that's a
11 crackerjack idea. The JAMA -- After all, vaccination
12 reaches the general public like no other form of
13 therapy, and the JAMA probably has the widest
14 distribution to that part of the medical community
15 involved in vaccination.

16 So what does the FDA think about that? I
17 see a head nodding. Good.

18 DR. EGAN: I think it's a good option.

19 CHAIRMAN BROWN: Peter?

20 DR. LURIE: I guess the day is getting
21 late. I can feel my caffeine levels dropping. I
22 guess it makes me want to think about prevention in a
23 general way, and particularly how we can prevent
24 ourselves from being in this particular situation that
25 this committee has found itself today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This really is an unnecessary situation
2 we're in. In that sense, this meeting is unnecessary.
3 And it's unnecessary, because there was inaction or
4 improper action by two groups of players here.

5 The first is the FDA which threatened to
6 produce a regulation, but instead provided a guidance
7 which then provided them with no ability to enforce,
8 if it were necessary.

9 I think the lesson here is for all the
10 claims by some of the manufacturers who are here that
11 they are doing the best they can to get rid of the BSE
12 country sources for these vaccines, there are many
13 vaccine manufacturers who aren't here today.

14 So if we are to prevent this from
15 happening again, the first thing that needs to happen
16 is we need to regulate, not provide guidances; because
17 guidances can, and in this case were, ignored by the
18 industry.

19 The industry takes the other
20 responsibility for this. We have experts at the FDA
21 who came to the conclusion that the right approach to
22 this was to source the materials from non-BSE
23 countries, and the industry has recklessly, in my
24 view, decided simply to ignore that.

25 Had either of those two things been in

♦
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 place, we wouldn't be here today, and all of this
2 discussion would have been unnecessary.

3 CHAIRMAN BROWN: Ms. Fisher?

4 MS. FISHER: I absolutely agree with you,
5 and I think that the reason we are meeting today is
6 exactly what you said. Therefore, I think the FDA has
7 the duty to ask the vaccine manufacturers involved to
8 put it in the product manufacturer insert. At the
9 very least, that should be done.

10 I wasn't saying it should be, you know,
11 put out in a physician's statement or a letter to
12 physicians, but it should be in the product
13 manufacturer insert.

14 DR. BOLTON: Paul, I have a question, I
15 guess, for the vaccine group. That is, to put this in
16 perspective, as what Paul had mentioned earlier, other
17 real vaccine risks -- for example, the switch from the
18 active attenuated polio vaccine to the inactivated
19 vaccine was prompted by cases of paralytic polio.

20 How long did it take that action to occur
21 from the time that it was first recognized to the time
22 the switch was made?

23 CHAIRMAN BROWN: Can anybody in the
24 audience answer that question?

25 DR. FERRIERI: Well, there is someone in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the audience who could answer it best, and that's Dr.
2 Katz.

3 CHAIRMAN BROWN: Dr. Katz.

4 DR. KATZ: Vaccine associated paralytic
5 disease was probably first recognized in the late
6 1960s, but what you were doing was calculating a risk-
7 benefit over the years of an effect that was about one
8 in a million versus thousands of cases of polio. It
9 wasn't until polio was controlled in this country that
10 the issue became crystallized as when was it
11 appropriate to take the risk of switching to an
12 injectable vaccine which was less acceptable to the
13 public in many ways, more difficult to administer,
14 less available, contrary to the recommendations of the
15 World Health Organization.

16 It took a good number of years from
17 meetings in the 1980s until polio was declared
18 eliminated from the Western Hemisphere in 1994 to
19 convince the recommending committees to make that
20 change.

21 DR. BOLTON: And I guess that, I think,
22 emphasizes my point. That is that, in a real risk
23 situation, it even can take years to take an action
24 versus here where we have a very less than negligible
25 theoretical risk. I don't think we should feel bad

1 about moving slowly, and I think it's inappropriate,
2 really, to talk about inappropriate actions on the
3 part of you, the FDA, or the vaccine manufacturers.

4 I think people are moving at an
5 appropriate speed and contemplating and thinking about
6 these issues very carefully.

7 CHAIRMAN BROWN: Thank you. I will ask if
8 anyone on either committee or the joint committee has
9 anything that they have not said that they definitely
10 want recorded as a public statement before I conclude
11 the day's proceedings.

12 I think the FDA was very astute not to ask
13 this committee to vote on any of the issues, but I
14 think the FDA has received a great deal of pros and
15 cons and discussion, and I think the meeting was very
16 worthwhile, and thank you all for coming.

17 (Whereupon, the foregoing matter went off
18 the record at 5:13 p.m.)
19
20
21
22
23
24
25

◆
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: JOINT MEETING

Before: TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE AND VACCINES AND
RELATED BIOLOGICAL PRODUCTS ADVISORY
COMMITTEE

Date: JULY 27, 2000

Place: BETHESDA, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

Rebecca Davis