

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
 PUBLIC HEALTH SERVICE
 FOOD AND DRUG ADMINISTRATION
 CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS
 ADVISORY COMMITTEE

+ + + + +

MEETING

OPEN SESSION

+ + + + +

FRIDAY,
 MAY 12, 2000

+ + + + +

The meeting took place in the Kennedy Room, Holiday Inn, 8777 Georgia Avenue, Silver Spring, Maryland at 8:00 a.m., Dr. Harry B. Greenberg, Chair, presiding.

Present:

- DR. HARRY B. GREENBERG, Chair
- MS. NANCY CHERRY, Executive Secretary
- DR. ALICE S. HUANG, Member
- DR. STEVE KOHL, Member
- DR. KWANG SIK KIM, Member
- DR. DIXIE E. SNIDER, JR., Member
- DR. DIANE E. GRIFFIN, Member
- MS. BARBARA LOE FISHER, Member
- DR. WALTER L. FAGGETT, Member
- DR. DAVID S. STEPHENS, Member
- DR. DONALD BLAIR, Temporary Voting Member
- DR. PHILIP MINOR, Temporary Voting Member
- DR. SIDNEY WOLFE, Temporary Voting Member
- DR. REBECCA SHEETS, FDA Representative
- DR. KEITH PEDEN, FDA Representative
- DR. ANDREW LEWIS, FDA Representative

OPEN

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Present: (cont.)

DR. PHILIP KRAUSE, FDA Representative
DR. PETER PARADISO, Public Comment
MS. JANET ROSE CHRISTENSEN, Public Comment
DR. FIRELLI CAPLEN, Public Comment

Also Present:

DR. BILL EGAN

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

I-N-D-E-X

Call to Order, Introductions, Administrative
Matters 4

Open Public Hearing 6

Introduction 19

Draft Interim Policy 26

CBER Research Related to Neoplastic Cell Lines,
Dr. Philip Krause, FDA 55

Committee Discussion 70

History and Characterization of Vero Cells,
Dr. Rebecca Sheets, FDA 114

Committee Discussion 148

Adjourn

NEAL R. GROSS
 COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(9:25 a.m.)

1
2
3 CHAIRMAN GREENBERG: I'm a slow learner.
4 Good morning, everybody. I'd like to welcome you to
5 the second day of the VRBPAC meeting. We have a very
6 interesting and important set of discussions today, so
7 I'd like to get it started.

8 The first thing I will do is turn the
9 meeting over to Nancy Cherry who will read -- no,
10 there are no -- outstanding. So without further ado
11 I'd like to for the record introduce the panel members
12 to the public and introduce our visiting members. So
13 if we could start up there, David?

14 DR. STEPHENS: Dr. David Stephens, Emory
15 University, Atlanta.

16 DR. KOHL: Steve Kohl, Oregon Health
17 Sciences, University of Portland.

18 DR. SNIDER: Dixie Snider, Centers for
19 Disease Control and Prevention, Atlanta.

20 DR. HUANG: Alice Huang, Cal Tech.

21 DR. FAGGETT: Walter Faggett, Pediatrics
22 Section, AMA, Washington, D.C.

23 DR. GRIFFIN: Diane Griffin, Johns
24 Hopkins.

25 DR. KIM: Kwang Sik Kim, Children's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Hospital, Los Angeles.

2 CHAIRMAN GREENBERG: Harry Greenberg,
3 Stanford University and the Palo Alto VA Hospital.

4 DR. BLAIR: Don Blair, NCI, Frederick,
5 Maryland.

6 DR. WOLFE: Sid Wolfe, Public Citizen
7 Health Research Group.

8 DR. MINOR: Philip Minor from the National
9 Institute for Biological Standards in the U.K.

10 DR. KRAUSE: I'm Phil Krause from the
11 Office of Vaccines Research and Review for the Center
12 for Biologics.

13 DR. SHEETS: I'm Rebecca from the same
14 office.

15 DR. PEDEN: Keith Peden, Office of
16 Vaccines, CBER.

17 DR. LEWIS: Andrew Lewis, Office of
18 Vaccines, CBER.

19 CHAIRMAN GREENBERG: And Ms. Barbara Loe
20 Fisher, the consumer representative is out of the room
21 for a second, I guess, but she is here.

22 I would like to now have open public
23 hearing and I know of two people who wish to address
24 the Committee. The first will be Dr. Peter Paradiso
25 from Wyeth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PARADISO: Thank you, Harry. I'd like
2 to just take a couple of minutes this morning. I know
3 that you're going to be talking about cell substrates
4 today and have already begun there. As Harry said,
5 I'm with Wyeth Lederle Vaccines. We've been working
6 on viral vaccine products for a number of years and
7 have been investigating the use of Vero cells in that
8 research and so we were asked to just come and make a
9 couple of comments on the value of the Vero cells from
10 our perspective and in our programs. And so I'll just
11 take a few minutes and do that today.

12 We have several programs on-going
13 currently using Vero cells as substrates. Those are
14 live RSV vaccine program, the subunit vaccine program
15 and the influenza viral vaccine program. We first
16 started using Vero cells with the subunit vaccine
17 program in the mid-1980s and in fact, I presented
18 first to this Committee in 1987 the use of Vero cells
19 for the production of a purified component vaccine
20 which is listed in the middle there as a subunit RSV
21 vaccine. And more recently have adapted our live
22 programs to the Vero cells.

23 During the course of those 15 years, there
24 has been a lot of progress made in our ability to do
25 testing on these cell lines and so while those cells

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were qualified in 1987 they continue to be updated and
2 I'll show you a little bit of that information as we
3 go here.

4 The benefits from our perspective of Vero
5 cells, in particular, is that they're quite well
6 characterized. They've been used in vaccines that are
7 marketed in various regions of the world and studied
8 quite extensively.

9 Now from our perspective, they have the
10 advantage of giving very high yields of virus and that
11 high yield of virus has a couple of advantages that we
12 see, obviously, from a manufacturing perspective
13 that's an advantage, but the other advantage is that
14 it allows you to, in fact, dilute your product fairly
15 significantly and so in that dilution reduce
16 nonantigen specific components so if you can get two
17 or three logs higher of virus at V cells as compared
18 to a different cell, then that two or three log
19 dilution helps you in your purity.

20 The Vero cells can be grown in serum in
21 mammalian product free media and this is a very
22 important aspect, at least from our perspective
23 because we've moved more and more now towards serum
24 free medias and particularly now mammalian
25 product-free porcine and bovine and other components

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we know may potentially cause problems.

2 And then we can achieve with these Vero
3 cell lines very low levels of DNA in the final
4 product, in part, through purification and in part, as
5 I said, through fairly large dilutions of product end
6 product.

7 As I said during the course of the last 15
8 years, these cells have been tested extensively and I
9 have three slides with lists of tests that I'm not
10 going to go through each one of those tests, but I
11 wanted to just characterize them briefly. There's a
12 series of tests that have actually been long-standing
13 tests and what I would call more general types of
14 tests that include everything from morphology,
15 karyology, sterility, etcetera that are standard
16 testing for any cell line for vaccine production. And
17 then added to that then are more specific tests for
18 adventitious agents that often focus on groups of
19 adventitious agents or viruses, in particular, but
20 aren't necessarily, although in some cases are, but
21 are necessarily specific for any one virus. And so it
22 would include cultivation in cells, co-cultivation,
23 other methods for growing viruses of unknown origin
24 and so are nonspecific in that regard.

25 And then more recently over the last five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to 10 years there's been a much expanded use of very
2 specific tests as they become available for specific
3 viral components or other potential tests for DNA and
4 other methods for characterizing the purity of the
5 product and the safety of the cell line and those are
6 predominantly PCR based tests, whether they be PERT
7 assays or a standard test and you can see they include
8 characterization of any DNA that might be in the
9 product and other very specific testing schemes.

10 And thus in conclusion, in our hands and
11 in our thinking these are a very well characterized
12 cell line and so therefore have all of the
13 characteristics of a viral vaccine production system.
14 They've passed all of those tests that I have shown
15 you and so as we continue to update those tests and do
16 more tests, obviously, we'll continue to evaluate the
17 characteristics of the cell line.

18 The high virus yields are very significant
19 for us, obviously, as I said, for production as well
20 for purity of product. They're superior to diploid
21 lines because of their ability to grow in serum-free
22 and product-free media and as I said, very large scale
23 production can produce obviously millions of doses
24 that will be required for these vaccines.

25 Thank you.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Thank you, Dr.
2 Paradiso. We have time for a few questions.

3 Dr. Faggett?

4 DR. FAGGETT: Dr. Paradiso, thank you. By
5 the way, Harry, was the test that you mentioned on
6 that list, he flashed it up there real quick. I
7 didn't know if that was specific --

8 CHAIRMAN GREENBERG: No, what I mentioned
9 would be an investigational analysis at this point
10 would be transcriptional analysis, using micro-array
11 technology but I was, for your interest, Walter, I was
12 approached by a member of the FDA whose name I've
13 already forgotten who -- the FDA has just gotten some
14 money to do exactly that type of analysis.

15 DR. FAGGETT: Great. My question for Dr.
16 Paradiso, we've heard reports that in one of your
17 tests there was at a passage of 150, there appeared to
18 be tumor development. Just a general -- okay. You do
19 have tumorigenicity tests that you do, correct?

20 DR. PARADISO: Correct.

21 DR. FAGGETT: How do you interpret your
22 results at different passage levels? That's a dumb
23 question.

24 DR. PARADISO: The tests that we've done
25 so far, there's been no evidence of tumorigenicity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have our cell banks started a low passage, passage
2 126 in our virus production out, quite a few
3 doublings, 50 or 60, not passages, but the doublings
4 of the cells pose that, have that for tumorigenicity
5 and there's never been any evidence of tumorigenicity.

6 DR. FAGGETT: Thank you.

7 CHAIRMAN GREENBERG: Excuse me.

8 DR. BLAIR: Yes, just a question about the
9 serum-free. At what point do the cells go into
10 serum-free, is this after the master bank is prepared
11 or only during production that they are grown in
12 serum-free media?

13 DR. PARADISO: I will have to ask one of
14 my colleagues for the answer to that question.

15 CHAIRMAN GREENBERG: Please go to a
16 microphone and identify yourself.

17 DR. CAPLEN: Fi Caplen from Wyeth. The
18 cells are grown in serum-free media during production.

19 CHAIRMAN GREENBERG: Okay. If there are
20 no further questions, thank you, Dr. Paradiso and
21 we'll go to our next speaker who is Dr. Janet
22 Christensen, I think, from Targeted Genetics. Did I
23 get the name right?

24 DR. CHRISTENSEN: Thank you and good
25 morning. I don't have any slides, but I would like to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 take a few moments to make a few remarks because we do
2 believe this is a very important issue. It's really
3 a pleasure to have a few moments of your time to
4 address you and the invited guests this morning. This
5 is really a timely topic for Targeted Genetics
6 Corporation, as well as for many other companies and
7 organizations in the industry. As you may or may not
8 know, Targeted Genetics is participating with the
9 International AIDS Vaccine Initiative, better known as
10 IAVI and Children's Hospital of Columbus, Ohio to
11 develop a gene therapy derived vaccine for HIV. And
12 as such, we have an interest in the topic of cell
13 substrates from neoplastic cell lines.

14 As scientific capabilities continue to
15 grow in the areas of genetics, molecular biology and
16 microbiology, new opportunities have arisen that may
17 result in solutions to some very serious and life
18 threatening diseases. We believe that viral vectors
19 containing individual genes from infectious agents, as
20 well as other new technologies may provide a means to
21 deliver safe and effective vaccines for disease
22 prevention.

23 That new technology, specifically genetic
24 vaccines, may be derived from a neoplastic cell line
25 and doing so is not necessarily a new concept. As

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 early as 1954 Hela cells were used to produce to
2 produce an adeno virus vaccine that was administered
3 to a small number of human volunteers. These subjects
4 were followed for 11 years and there were no reported
5 evidence of neoplasm formation. Researchers have
6 spent many years enhancing their understanding of
7 tumor producing capabilities of neoplastic cell lines
8 and as well as production and analytical
9 methodologies. Obviously, much has been learned and
10 changed since the 1950s.

11 It is very important to point out that
12 there are significant differences between the use of
13 cells to produce a vaccine versus the cells to produce
14 and subsequent incorporation of these cells or cell
15 substrates and fragments into a vaccine. These cases
16 give rise to very different risk assessment issues.
17 In assessing risk, it is imperative that several
18 factors be considered. For example, the number of
19 cells per dose, the quantity of host cell DNA and
20 other impurities per dose, purity of the antigen
21 itself or the immunizing agent and lastly, the control
22 of vaccine components personnel, manufacturing process
23 and the final bulk product. For example, the
24 traditional type of vaccine may contain a determinable
25 number of cells per dose which can be monitored by a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 purity limit assay. For these traditional products,
2 it is clear that there would be potentially a
3 significant amount of host cell DNA in each dose. For
4 genetic vaccines, it may be possible to produce a
5 product that has no cells per dose as .2 micron
6 filtered thus enhancing the potential for sterility
7 and potentially minimizing the risk requirement of
8 thimerosal or other preservatives and have less than
9 100 picograms of host cell DNA per dose which is in
10 line with that of the recombinant DNA products and as
11 Dr. Paradiso mentioned, are well characterized
12 biologic.

13 Additionally, the new processes can reduce
14 the size of remaining DNA to small fragments that no
15 longer have the capabilities to encode for a
16 functional oncogene or infectious entity. These steps
17 serve to increase the safety of the vaccine and
18 therefore reduce the potential risk. These factors,
19 including the source and cell substrate derivation
20 must be addressed in a balanced and risk associated
21 fashion.

22 The draft document entitled "A Defined
23 Risks Approach to the Regulatory Assessment of the Use
24 of Neoplastic Cells as Substrates for Viral Vaccine
25 Manufactured" issued by the Division of Viral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Products, Office of Vaccines Research and Review, was
2 the subject of discussion at a CBER co-sponsored
3 meeting in September of last year and it raises many
4 excellent points and the quantitative risk assessment
5 for vaccines derived from neoplastic cell substrates.
6 We support the development of objective policies and
7 guidance that is consistent with the mission of the
8 FDA and protecting public health. We also believe,
9 however, that it is imperative that current
10 technological developments be more adequately
11 addressed to insure that risk be evaluated with the
12 best available technologies and done so in an
13 objective and scientifically sound fashion.

14 We agree that the potential benefit that
15 may be derived from a vaccine regardless of cell
16 substrates source must be evaluated in terms of risk
17 to the vaccinated population. We also believe that
18 not only should the risk be assessed by strictly
19 empirical analysis of the bulk or finer product, but
20 also through a use of an integrated approach to
21 minimize any risk opposed by any vaccine. These
22 include the process, the personnel, the facilities and
23 the components which is consistent with the philosophy
24 and mission of CBER.

25 These include, for example, the on-going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment of raw materials derived from animal
2 sources and other components. We had an example of
3 that by Dr. Paradiso. Multiple downstream
4 purification steps that provide a combination of virus
5 removal and inactivation and demonstration thereof
6 consistent with the existing ICH and FDA guidance
7 documents, removal of impurities such as host cell
8 DNA, manufacturing components such as detergents and
9 solvents and the prevention of subsequent
10 contamination with unintentional impurities such as
11 microbes and viruses.

12 The removal of host cells from the
13 finished product to enable 0.1 micron filtration of
14 the bulk and final product. Assurance that
15 appropriate facilities controls exist to prevent the
16 contamination of a product with adventitious agents
17 and testing of the master working and extended cell
18 banks to confirm the absence of adventitious agents or
19 other undesirable attributes.

20 The challenge for all us comprised of
21 industry, academics and the regulators is to provide
22 a safe and efficacious and cost-effective vaccines for
23 the global population.

24 I've briefly outlined some of the
25 challenges as well as the potential advantages of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these types of vaccines, both the traditionally and
2 genetically derived in neoplastic cell lines.

3 As the process of developing policies and
4 guidance proceeds, we believe that the following
5 points should be considered:

6 Number one, insure the understanding of
7 the processes employed to produce, as well as assess
8 the risk associated with the genetic vaccines.

9 Number two, the development of objective
10 and scientifically sound methods, based on the current
11 state of the art methodologies.

12 Three, that all data referenced in the
13 development of these policies and guidance be derived
14 from peer-reviewed scientific works and four, ensure
15 fair balance of risk versus benefit.

16 It would not be in the interest of
17 world-wide public health to allow perceptions,
18 misinformation and the requirement of yet to be
19 infected technologies and methods to restrict the
20 development of genetic vaccines that may be derived
21 from neoplastic cell lines. This would be analogous
22 to telling NASA not to proceed to the moon until
23 they've developed warp drive.

24 This really is a very exciting time for
25 the vaccine community and as these new technologies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and especially the genetic vaccines emerge I, as a
2 public health microbiologist, am very eager to
3 participate in the emergence of these next wave of
4 vaccines and their promise for the future.

5 Targeted Genetics Corporation is ready to
6 participate in the enhancement understanding of these
7 issues associated with genetic vaccines and in the
8 development of policies and guidance. These must
9 balance the need to protect and preserve public
10 health, while supporting the emergency of vaccines
11 derived from new technologies that may include the use
12 of neoplastic cell line substrates to provide novel
13 vaccines for disease production.

14 I thank you for your time.

15 CHAIRMAN GREENBERG: Thank you. We don't
16 have warp drive yet?

17 (Laughter.)

18 DR. CHRISTENSEN: I do on my computer.

19 CHAIRMAN GREENBERG: We have time for some
20 questions from the panel if there are any.

21 DR. CHRISTENSEN: I would like to comment
22 that I will be providing my talk to Ms. Cherry for
23 incorporation into the appropriate record.

24 CHAIRMAN GREENBERG: Okay, if not, thank
25 you very much and that represents all the known public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commentaries that we have. Is there anybody else in
2 the audience that wishes to make a statement at this
3 time?

4 Okay, let the record show that nobody has
5 stepped forward in which case can I just proceed?

6 I'd like to make one announcement. We
7 announced it in the closed session, but because we
8 have basically a single topic this morning, we're
9 going to work through lunch so there will not be a
10 lunch break and then coming back, we will try to do
11 the entire program and then adjourn.

12 So we now are moving into the FDA's
13 presentation and the first speaker will be Dr. Keith
14 Peden, who will be giving us an introduction.

15 DR. PEDEN: So welcome to the Open
16 Session. My name is Keith Peden. I'm with the
17 Division of Viral Products in the Office of Vaccines,
18 Research and Review of CBER. I'm going to give a
19 brief introduction and on the following first slide is
20 what I'm going to say.

21 Following my brief remarks, Andrew Lewis
22 is going to present his talk on the draft policy
23 proposals for the use of neoplastic cells, the
24 substrates of vaccine manufacture. Then Dr. Phil
25 Krause is going to present some CBER research related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to neoplastic cells. At this point I think there's
2 going to be some discussion and then Dr. Rebecca
3 Sheets will present specifically the history and
4 characterization of one neoplastic cell line, the Vero
5 cells.

6 First, to clarify what types of cells
7 we're dealing with, I'd like to describe the types and
8 characteristics of cells that are being used or could
9 be used for cell substrates of vaccine production.
10 What we have is several times the cells and in this
11 slide I've shown primary cells. These are isolated
12 from an animal and established in culture.

13 The cells were used without passage and
14 examples of these are the African green monkey kidney
15 cells that are used for polio vaccine, IPV. If you
16 pass and expand the primary cells, you get diploid
17 cells and these are cells that are used only after a
18 limited passage and they have a finite lifespan and
19 cells such as these of the chick embryo fibroblasts
20 which are used for measles and mumps vaccines.

21 Because the cells are used either directly
22 or over limited passage, the opportunity to assess
23 these other types of cells for adventitious agents is
24 by necessity limited. In the approach taken with
25 nonhuman primary or diploid cells is to assay the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 donor animals to make sure they don't contain simian
2 agents such as SIV, simian foamy viruses or others.
3 And in the case of the chick embryo fibroblast is to
4 use specific pathogen-free flocks.

5 The other types of cells are what we call
6 diploid cells strains in the old nomenclature, what
7 people now generally refer to as diploid cells lines.
8 These are cells, diploid cells that are expanded to
9 sufficient numbers and then cryopreserved. Again,
10 these cells have a finite lifespan and examples
11 include WI38, MIC5 and the fetal rhesus lung cell
12 line, diploid cell lines.

13 If you carry cells, diploid cells out for
14 several passages, what often you arrive at are what we
15 call spontaneously transformed cells and these are
16 cells that have been passaged in vitro to survive
17 crisis. These cells are immortal. The mechanism of
18 transformation is unknown. Cells are generally
19 aneuploid and they have chromosomal rearrangements and
20 they may be or become tumorigenic. And examples of
21 these are the Vero cell lines from African green
22 monkeys, the BSC-1 line and the CV-1 also from African
23 green monkeys and the Chinese hamster ovary cell.

24 Even cells have generally been unable to
25 be passed to develop spontaneously transformation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The reason for that is not clear. You can also
2 immortalize cells by virus transformation and so these
3 virus transformed cells are immortal and the mechanism
4 for transformation now is learned because you use a
5 specific agent. The cells are usually aneuploid and
6 often have chromosome rearrangements and the cells are
7 usually tumorigenic and such cells such as the
8 Epstein-Barr virus transformed B cells and herpes
9 virus transformed T cells.

10 At the present moment we don't really
11 think these cells will be used for vaccine
12 manufacture, but cells immortalized by known oncogenes
13 very well might, so these are immortalized with either
14 specific cellular or viral oncogenes, the cells are
15 immortal, the mechanism of transformation is known
16 since we used specific agents. The cells are usually
17 aneuploid and may have chromosome rearrangements and
18 cells are often tumorigenic, but not always the case.
19 Examples here are human embryonic kidney 293 cells and
20 the PER.C6 cell line transformed by adeno virus E1
21 region.

22 Another type of cell line which could be
23 used is the tumor derived cells. These are
24 established from tumor cells and in many cases the
25 human tumor cells. These cells are immortal. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mechanism of transformation is usually unknown
2 although hints can be gleaned from the type of cell
3 that was used, the type of tumor that we used which
4 was for example Hela cells with human papilloma virus.
5 Cells are usually aneuploid. They have chromosome
6 rearrangements and they're usually tumorigenic.

7 The advantage of these cells, we've just
8 heard about -- sorry, let's just define what we mean
9 by neoplastic cells, include these four classes, the
10 spontaneously transformed cells, the virus transformed
11 cells, oncogene transformed cell lines and the
12 tumor-derived cell lines. This is what we're
13 generally calling neoplastic cells and Dr. Andrew
14 Lewis in the following presentation will give his
15 reasons, give our reasons why we think this is an
16 appropriate term.

17 Now the advantages of using the neoplastic
18 cells you've heard some about. They're immortal and
19 can be expanded and tested indefinitely. That's
20 certainly an advantage for adventitious agent testing.
21 They usually grow well in culture. They can be
22 adapted to growth in serum-free medium and that's
23 clearly an important issue to remove certain animal
24 products. Immortalization allows the growth of
25 certain fastidious cell types, for example, certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cells, primary cells say kartinocytes require fetal
2 layers, but if you immortalize them, transform them,
3 then they can grow alone.

4 They can be single cell cloned which is
5 impossible for most human cells, diploid cells. Cells
6 in the cell banks can be characterized and tested
7 repetitively. In addition, cells themselves can be
8 engineered genetically to either express the protein
9 products which can be used as a vaccine or act as a
10 helper cell substrate, for example, the packaging cell
11 line or even a complementing line such as 293 and
12 PER.C6. The viruses often grow to much higher titres
13 and therefore as we heard the purification level is
14 higher and they're much less expensive and finicky to
15 propagate.

16 So the last slide, what's driving our
17 consideration of these cells now? Well, as you also
18 heard and again new vaccines coming down the road for
19 development of HIV vaccines, many of these are to be
20 produced in neoplastic cells. In addition, there's
21 rapid development of vaccines to emerging diseases
22 such as the H5N1 and H9N2 influenza viruses. These
23 are probably going to be grown in neoplastic cells.

24 Progress in understanding carcinogenesis,
25 the cell substrate meeting last September, the panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussed that and it was clearly, carcinogenesis is
2 a multi-step component process, therefore, if we are
3 worried about such things as DNA, provoking an
4 oncogene it's unlikely that a single introduction of
5 even if you did introduce a single oncogene that would
6 result in a cancer. It's a multi-step process.

7 Progress in detecting adventitious agents
8 has made leaps and bounds since the introduction of
9 PCR and all ceratin reverse transcriptase assays such
10 as the PCR base reversed transcriptase such as the
11 PERT assay about which this Committee, I'm sure, has
12 heard quite enough over the last few years. The
13 ability to detect adventitious agents gives us a much
14 higher level of competence that the cell substrates
15 are lacking such agents.

16 Experience we have now with biological,
17 purified biologicals produced in tumor cells. There
18 has not been any adverse events associated with the
19 cell substrates and products produced in neoplastic
20 cells may not be adopted to alternative cell
21 substrates and finally the practical considerations
22 and advantages mentioned in the previous slide.

23 So that's ~~one~~ reason why we now are
24 starting to deal with that and I don't think there's
25 any need for questions, so if I can go call on Andrew

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Lewis to present his presentation.

2 CHAIRMAN GREENBERG: I'd just like to see
3 if there's anybody, any Committee -- Dr. Minor?

4 DR. MINOR: You described oncogene
5 transformed cells and you said that's okay because
6 what -- in that example, you know where they're
7 transformed. If you take something like a PER.C6 cell
8 and you take the E1 out of it, somehow, does that mean
9 that they're no longer transformed, even though they
10 aneuploid?

11 DR. PEDEN: No one has done that specific
12 experiment. What we've heard is that people have
13 looked at say, for example, Hela cells and expressed
14 antisense to human papilloma virus E6 and E7 and now
15 they appear not to be transformed. That's not
16 published, but that's what we've heard. So those kind
17 of experiments could and perhaps should be done on
18 PER.C6 cells.

19 CHAIRMAN GREENBERG: Any other questions?
20 Okay, thank you very much, Dr. Peden. And now we'll
21 move on to Dr. Lewis who is going to give us a draft
22 interim policy or is it an interim draft policy?

23 DR. LEWIS: I seem to be missing the first
24 slide.

25 (Pause.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The first slide must have dropped out when
2 I was running through these things last night. It
3 simply gives my name and the title of the talk which
4 I think is listed on the program. Just to repeat that
5 I'm Andrew Lewis with the Division of Viral Products
6 in the Office of Vaccines at CBER.

7 I'd like to begin my talk by pointing out
8 what I'm going to say today has evolved over the past
9 several years from discussions within the Office of
10 Vaccine Cell Substrate and Adventitious Agent interest
11 group. The work of this group on the use of
12 neoplastic cell substrates has not been completed, but
13 we think enough has been accomplished at this point in
14 time to develop as an exercise several draft policy
15 proposals to serve as a focal point for the discussion
16 of the use of neoplastic cells in the manufacture of
17 viral vaccines.

18 As outlined in this slide, the
19 presentation of the events and discussions that
20 provided the basis for these draft policy proposals
21 and the draft policy proposals themselves will be the
22 focal point of my talk today. I'm going to conclude
23 by reviewing our plan to continue our deliberations
24 until it's possible to develop a more comprehensive
25 draft policy statement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The members of the cell substrate group
2 who were participating in these discussions are listed
3 in the next slide.

4 Now before I begin to review the events
5 and the discussions that provided the basis for our
6 draft policy proposals, I'd like to go over two items
7 for you to keep in mind. The first of these items
8 which Dr. Peden has already mentioned is the
9 definition of neoplastic cell lines which is shown in
10 the next slide.

11 From my talk I'm using the term neoplastic
12 cell in its broadest sense to include all types of
13 immortalized and continuous cell lines. These cell
14 lines are derived by the process of neoplastic
15 transformation in contrast to the other uses of the
16 word transformation. They include cells transformed
17 spontaneously by unknown mechanisms, transformed by
18 clone, viral cellular oncogenes or transformed by
19 oncogenic viruses.

20 We immortalize our continuous cell lines
21 in the use categories, can either be tumorigenic or
22 nontumorigenic, as I think most of you are aware of
23 that.

24 As you'll see later on in the talk the
25 nature of the transformation event itself, that is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whether the mechanism of transformation is known or
2 unknown is an important feature in the development of
3 our draft policy proposals.

4 The next slide deals with the products
5 that we're covering in these proposals. The products
6 that we're covering in the draft policy proposals is
7 limited to parenteral viral vaccines that are
8 developed in neoplastic cells. Proposals regarding
9 the use of neoplastic cells to develop oral or mucosal
10 vaccines will be covered in future drafts.

11 As I hope the Committee is aware, first
12 presentation of the possible use of neoplastic cells
13 as vaccine substrates was at the advisory committee
14 meeting in November of 1998. At that time several of
15 us reviewed the issues that we believed to be
16 associated with the use of neoplastic cell substrates
17 and we propose the development of what we termed a
18 defined risk approach as a way to think about managing
19 these issues. The basic aspects of this approach are
20 shown on the next slide.

21 The defined risk approach that we proposed
22 then consisted of first assessing quantitatively the
23 risk posed by issues associated with the use of
24 neoplastic cells for vaccine manufacture and second to
25 evaluate these risks individually and cumulatively.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 From this type of assessment, potential
2 benefits and risks posed by the use of substrate,
3 various substrate vaccine combinations could then be
4 compared with the risk presented by the disease that
5 requires immunoprophylaxis.

6 Now in addition to the defined risk
7 approach, we presented a plan to move forward with an
8 evaluation of the issues associated with the use of
9 the neoplastic cells as vaccine substrates. And this
10 plan is presented on the next slide.

11 Again, and I hope the Committee will
12 recall that they agreed to the plan that we put in
13 motion and that plan was to prepare a draft proposal
14 of our defined risk approach within six months and to
15 use this proposal as a focal point for an
16 international workshop on neoplastic cell substrates.
17 And finally, we were going to take the comments from
18 the expert panels that convened during this workshop
19 to develop draft policy proposals.

20 Now in implementing this plan, the
21 proposal, the draft of our defined risk approach was
22 completed in May and June of 1999 and as you can see
23 on this slide the International Workshop on Cell
24 Substrates was held in Rockville, Maryland, September
25 7th through the 10th last year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think as the Committee will remember I
2 summarized the outcome of the September meeting at the
3 Advisory Committee. It was held three days later on
4 September 14th. Time doesn't permit a detailed review
5 of the September cell substrate workshop, so in this
6 slide I've listed six of the consensus agreements that
7 were reached by the expert panels that played an
8 important role in developing the draft policy
9 proposals that I'm going to be presenting today.

10 These agreements are as follows: first,
11 vaccines should not contain adventitious agents.
12 Second, adventitious agents pose significant
13 challenges to the use of neoplastic cells as vaccine
14 substrates. Third, primary cells pose a greater risk
15 for adventitious agents than neoplastic cells.
16 Fourth, neoplastic cells pose risk for the presence of
17 unrecognized oncogenic agents. Fifth, although
18 residual neoplastic cell substrate DNA should pose
19 little risks available data are insufficient to
20 dismiss it as a concern and finally, although the
21 defined risk approach is a useful way of organizing
22 regulatory thinking, conclusions that are drawn from
23 this type of data analysis should be made with
24 caution.

25 And since the September 1999 meeting, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cell substrate group has met almost weekly to review
2 data, notes and the transcripts of the meeting and to
3 discuss ways to address the concerns associated with
4 the use of neoplastic cell substrates. During review
5 of the group's work in early April it was apparent
6 that a development of detailed guidance to insure the
7 safe use of neoplastic cell substrates would require
8 more information than was currently available.

9 As the pace of proposals for the use of
10 neoplastic cell substrate for vaccine development is
11 picking up and as we in CBER have no choice but to
12 respond to these proposals, the decision was made at
13 this time to summarize the work of the groups since
14 last September by developing draft policy proposals to
15 serve as a focal point for further discussion.

16 As recognized by the expert panels at the
17 September meeting, the most difficult issues posed by
18 the use of neoplastic cell substrates is the issue of
19 their possible contamination with the adventitious
20 viruses, especially unknown viruses. Thus, the draft
21 policy proposals were designed from the adventitious
22 agents' perspectives that I've outlined in the next
23 slide.

24 These perspectives include the need to
25 recognize that adventitious agent contamination

1 represents a major concern of the use of neoplastic
2 cells as vaccine substrates. The set levels of risk
3 with the possible presence of adventitious agents in
4 neoplastic cell substrates to establish features of
5 neoplastic substrates that can contribute to
6 adventitious agent evaluation. And finally, to
7 possibly identify neoplastic cell lines that may not
8 require the application of a defined risk approach.

9 To develop the draft policy proposals,
10 these perspectives were expanded by the cell substrate
11 group as shown in the next series of slides.

12 Perspective 1 and 2 is developed by the
13 cell substrate group deals with the adventitious agent
14 as a major concern and with setting levels of
15 adventitious agent burden. Perspective 1 states that
16 neoplastic cell substrates should be documented not to
17 contain adventitious agents.

18 Now in general, viral vaccines prepared in
19 neoplastic substrates should pose no greater risk of
20 containing adventitious agents than purified biotech
21 products such as monoclonal antibodies which are
22 prepared in neoplastic cells as well. The limits of
23 adventitious agent burden, that we are suggesting for
24 vaccines is less than one infectious unit per million
25 doses and we should point out that the limit set for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viral clearance of monoclonal antibody products should
2 exceed by a thousand fold the level of any initial
3 contaminant.

4 Now everyone agrees that vaccines should
5 not contain adventitious agents, but the problem of
6 defining "not contained" means establishing limits for
7 adventitious agent burden. As I've noted on the last
8 slide, we're suggesting that less than one infectious
9 unit per million doses to be an appropriately safe
10 level of adventitious agent burden for vaccines. The
11 question of how to go about establishing this level of
12 adventitious agent burden for vaccine manufacturing in
13 neoplastic cells is stressed in the next slide.

14 There are three obvious approaches to
15 establishing limits for adventitious agent burden and
16 they are to apply the process of viral clearance and
17 inactivation as useful biotechnology products, to
18 borrow vaccines that can be highly purified. The
19 second method is to determine if there are any
20 neoplastic cell substrate vaccine combinations that
21 due to the safe use of the substrate itself may not
22 require establishing quantitative limits on
23 adventitious agent burdens. Third, is to develop
24 assays of defined sensitivity to detect or establish
25 the absence of adventitious agents at the desired

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 levels.

2 On this slide I've outlined the concept of
3 viral clearance and inactivation. And this concept is
4 based on the fact that the presence of adventitious
5 agents in neoplastic cell substrates can be reduced
6 substantially during the manufacture of highly
7 purified or inactivated vaccines. And by measuring
8 the reduction of model viruses that are added to the
9 substrates it is possible to determine the levels of
10 virus removal or inactivation that occurs during the
11 manufacturing process.

12 And from these viral clearance and
13 inactivation of these model viruses can be used to
14 establish the level of potential adventitious agent
15 burden in neoplastic substrates that do not contain
16 adventitious agents.

17 And perspective 3 I think is presented in
18 this slide. Perspective 3 deals with features of
19 neoplastic cells that contribute to adventitious agent
20 evaluation. These basic features include the origin
21 in terms of the species, the donor tissue and the
22 passage history of the cells and whether the mechanism
23 of transformation is known or unknown.

24 To give you several specific examples,
25 concerns with testing cells from rodents will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly differ from concerns with testing cells from
2 primates. Concerns with testing cells from adult will
3 differ from concerns with testing cells from a fetus
4 and concerns with testing cells from a kidney will
5 obviously differ from concerns with testing cells from
6 the lung or the brain. If the cell substrate is
7 derived from cells transformed by clone, viral, assay
8 or oncogenes they might be less suspect than if they
9 were derived from a tumor that develops spontaneously.

10 Based on these perspectives, the cell
11 substrate group developed five draft policy proposals
12 that I'm going to be presenting in the next series of
13 slides. There was actually a handout that summarizes
14 these proposals and it might be easier to follow, for
15 the Committee to follow if they refer to that handout
16 because I think we tried to summarize all that
17 information in that, in the information on these
18 slides in that table.

19 CHAIRMAN GREENBERG: Andy, is that the
20 single --

21 DR. LEWIS: It's a table, it's a single
22 table, yes.

23 CHAIRMAN GREENBERG: I assume this --

24 DR. LEWIS: It's that table, yes.

25 CHAIRMAN GREENBERG: It's titled "Summary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of Draft Policy Proposals for Use of Neoplastic Cell
2 Substrates for Manufacture of Viral Vaccines" and it
3 lists draft proposal numbers 1 through 5 on it.

4 Everybody has it?

5 DR. LEWIS: Draft policy proposal 1
6 affects inactivated viral vaccines, purified viral
7 vectored vaccines, a purified viral subunit vaccines.
8 This policy proposal reads as follows: "When produced
9 by manufacturing processes that meet the criteria for
10 viral clearance and inactivation required for purified
11 biotech products, these types of viral vaccines can be
12 developed in neoplastic cell substrates provided that
13 the passage history of the substrate is appropriately
14 documented and the cell substrate does not contain
15 adventitious agents. If these vaccines are
16 manufactured in Vero cells, then conditions required
17 for Vero cells should apply." And the next proposal
18 will be dealing with Vero cells.

19 "Residual cell substrate DNA in these
20 products should not exceed 100 picograms per dose."

21 Draft policy proposal 2 affects minimally
22 purified live attenuated viral vaccines and minimally
23 purified virus vectored vaccines. This proposal reads
24 as follows: "These types of vaccines can be developed
25 or manufactured in nontumorigenic Vero cells based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 current recommendations for cell substrate evaluation.
2 Residual Vero cell VNA in the final product should not
3 exceed 10 nanograms per dose, the limit that was set
4 by the World Health Organization."

5 Dr. Sheets is going to have more to say
6 about testing and evaluation of Vero cells later on
7 this morning.

8 Draft policy proposal 3 also affects
9 minimally purified live attenuated viral vaccines and
10 minimally purified virus vectored vaccines. This
11 draft policy proposals reads as follows: "These types
12 of vaccines can be developed and manufactured in
13 neoplastic human and mammalian cells that have been
14 transformed by defined viral or cellular oncogenes and
15 that do not contain adventitious agents provided that
16 (1) current recommendation for cell substrates are
17 met; and (2) any additional recommendations that are
18 deemed appropriate for cells originating from a
19 specific source in tissue are follows. Residual cell
20 substrate DNA in these products should not exceed the
21 10 nanogram per dose limit."

22 Draft policy proposal 4, likewise deals
23 with minimally purified live attenuated viral vaccines
24 and minimally purified virus vectored vaccines. This
25 draft policy proposal reads as follows: "These types

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of vaccines can be developed and manufactured in
2 neoplastic human and mammalian cells, cells of defined
3 origin that do not contain adventitious agents, that
4 have been transformed in tissue culture by oncogenic
5 viruses that first it can be documented that the
6 vaccine does not contain the transforming virus at the
7 limit of detection of this virus. And this limit will
8 need to be defined. Second, current recommendation
9 for cell substrates are met. And third, any
10 additional recommendations that are deemed appropriate
11 for cells originating from a specific source in tissue
12 are followed. Residual cell substrate DNA in these
13 products should not exceed the 10 nanogram per dose
14 limit.

15 Like proposals 2 and 4, 2 through 4,
16 policy proposal 5 also deals with minimally purified
17 live attenuated viral vaccines and minimally purified
18 virus vectored vaccines. This draft proposal reads as
19 follows: "The development of these types of vaccines
20 in neoplastic cells derived from naturally occurring
21 tumors from humans and other mammals or from human
22 cells and mammalian cells that have been transformed
23 from unknown mechanisms is discouraged at this time."

24 Now during its deliberations, the cell
25 substrate group identified a number of items that need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more attention. These items include developing
2 adventitious agent testing assays of sufficient
3 sensitivity to establish acceptably low levels of
4 possible adventitious agent burden; developing methods
5 to assess the risk posed by residual cell substrate
6 DNA; addressing the relevance of the PrP mutations in
7 the genomes and neoplastic human and relevant
8 mammalian cells; evaluating the problems of expressing
9 the levels of risk quantitatively that are associated
10 with implementing a defined risk type of approach; and
11 finally continuing the development of regulatory
12 management plans for the use of neoplastic cell
13 substrates.

14 The plan to move forward on these issues
15 is presented in the last slide. Protocol study
16 residual DNA are being developed as we speak with
17 scientists in the National Cancer Institute. We plan
18 to hold further discussions on adventitious agent
19 testing issues, on tumorigenicity assays and
20 developing and evaluating quantitative data of the
21 type needed for the defined risk approach as well as
22 issues raised with the possibility of mutations into
23 the gene that produces prion proteins in neoplastic
24 cells from humans and other species.

25 In the future, we hope that the June 1999

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 draft of the defined risk approach will be converted
2 into a draft guidance document. When the revised
3 proposal will be ready will depend on our ability to
4 resolve the issues that remain.

5 Finally, I think we certainly plan to
6 continue our discussions with the Committee in the
7 detail necessary to get over this hump.

8 I think myself and any members of the self
9 substrate group that are here would be pleased to help
10 with any questions that you may have.

11 CHAIRMAN GREENBERG: Thank you, Andy for
12 making an effort to try to put some structure to a
13 very complicated question and I think the only way to
14 attack such a complicated question is to pull it apart
15 and look at each piece and you're trying to do that.
16 It's still pretty complicated, so Dr. Kim?

17 DR. KIM: I have some generic questions.
18 I guess based on your proposal numbers Vero cells
19 would belong to number 5, right?

20 DR. LEWIS: No. No. 2.

21 DR. KIM: No. 2, okay. And then second
22 question is that any potential agents of either
23 biologic significance, or nonsignificance,
24 insignificance that can be detected by techniques like
25 the PCR, like again, it doesn't apply here, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 chicken cells were found to have some RT activity by
2 PCR, if that happened let's say in this case, then it
3 would not be acceptable based on your proposal, I
4 guess.

5 DR. LEWIS: Yes, I think if a PCR assay
6 turned up evidence for the presence of an infectious
7 gene, a viral DNA, for example, or if it was a PERT
8 assay and it turned up evidence for retrotranscriptase
9 activity, certainly that would have to be a very
10 extensive set of testing going on to show what that
11 data actually meant.

12 You can certainly conceive of situations,
13 for example, if you took Hela cells and that was some
14 residual Hela cell DNA in there. Hela cells can
15 contain anywhere between 20 and 50 copies of a
16 defective part of a papilloma virus type 18 genome and
17 certainly if you applied a PCR assay and you had
18 significant amounts of Hela cell DNA you could pick
19 that up. But to argue for the Hela cell case, no one
20 has ever found an infectious papilloma virus type 18
21 genome in Hela cells. It's almost certainly defective
22 in at least the data I'm aware of. There have been a
23 number of attempts to document that.

24 The business of a PERT positive data
25 indicating that there's reverse transcriptase activity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in a human cell might be more difficult for the reason
2 that there are endogenous elements retrotranspose on
3 elements that can make RT activity in human cells.
4 And the question is is there any possibility that that
5 activity represents the expression of an endogenous
6 infectious retrovirus genome and in humans, there's
7 never been that I'm aware of the detection, there's
8 never been any evidence that there are infectious
9 endogenous retrovirus genomes in normal human cells.

10 However, if you, for example, have a T
11 cell lymphoma that was produced by a person who was
12 infected with human T cell lymphotrope virus Type 1 or
13 2, then you would certainly have to worry that there
14 was endogenous retrovirus or DNA in that genome and
15 that would certainly be an issue. So you'd have to
16 search very extensively for retrovirus activity in any
17 cell that was already positive and as you went down
18 the species, certainly below humans the chances of
19 that being evidence for an active endogenous virus
20 would increase almost to 100 percent in the case of
21 rodents, 200 percent in the case of rodents.

22 CHAIRMAN GREENBERG: Dr. Minor and then
23 Dr. Wolfe and then Dr. Huang.

24 DR. MINOR: I've got two questions here.
25 The first thing is that I assume the reason that Vero

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are put into a different category from Category 5 is
2 because you know a lot about them and vaccines have
3 been made already, is that right?

4 DR. LEWIS: Yes. I think from our
5 perspective Vero cells represent a special case.

6 DR. MINOR: Right.

7 DR. LEWIS: They've been out there in the
8 population as vaccine, as been used for manufacturing
9 vaccines for 15 years and safely as we understand it.
10 So we do not think they fit in the same categories as
11 the other cell lines and I think that our concept is
12 that this represents the example of a substrate that
13 has demonstrated safety of the only neoplastic cell
14 substrate that's been used from what appears to be
15 safely as a substrate for vaccine manufacturer and for
16 that reason in addition to all extensive tests and
17 it's gone on, we segregated that into a class by
18 itself.

19 DR. MINOR: Okay, the second question is
20 your; Category 4 is cells transformed by oncogenic
21 viruses.

22 DR. LEWIS: Yes.

23 DR. MINOR: So would you accept an SV40
24 transformed human diploid cell for vaccine production?

25 DR. LEWIS: Would I accept?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 You're getting personal. Probably not.
3 I think that the reason that we put that in there is
4 that there are -- it seems to be very unlikely at this
5 particular stage in technology that anyone would go
6 out and try to produce a cell substrate by
7 transforming it with an oncogenic, an infectious
8 oncogenic virus because it's so easy to do it with
9 cloned viral acellular oncogenes. But there may be
10 situations in which this might want -- people may want
11 to use cells from the past.

12 Now if those cells, especially SV40 is an
13 excellent example because in most SV40 transformed
14 cells, you can recover SV40 quite easily and so I do
15 not think that we would want to have a substrate in
16 which we had an infectious viral genome that was
17 capable of being liberated with the ease in which SV40
18 can come out as a substrate for vaccine manufacture.
19 Now that's my personal opinion.

20 DR. MINOR: I was maybe just proposing
21 that Number 4 needs a bit of looking at perhaps.

22 DR. LEWIS: Yes.

23 CHAIRMAN GREENBERG: Dr. Wolfe?

24 DR. WOLFE: Recognizing that Vero cells
25 are a special case, but recognizing that even when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this was discussed this morning there were some
2 questions about how much follow-up there's been,
3 there's been in all the people who've gotten vaccines
4 from them and some questions about the number of
5 passages. If we look at the Categories 3 and 4, to me
6 they come much closer to Category 5 in terms of the
7 concerns I would have.

8 I'll mention just two reasons why.
9 Although in the column, in the block diagram chart for
10 adventitious agents, none detected, we know that the
11 whole process of detection of adventitious agents is
12 going through a transformation of its own. We still
13 are wanting better methods, so the fact that none have
14 been detected doesn't mean really -- I would almost
15 put it more safely in the category of difficult to
16 determine, even though none have been detected, the
17 methodology is changing so rapidly even since the
18 meeting I attended a year and six months ago.

19 Then the other issue is the whole, the
20 residual DNA kind of issue. I'm not sure why one
21 would be less concerned about this issue or
22 significantly less concerned about this issue in
23 Categories 3 and 4, transformed by defined viral or
24 cellular oncogenes or transformed by oncogenic
25 viruses, then in Class 4. I think operationally my

1 concerns translate into the question why are we or you
2 discouraging the manufacture of vaccines made out of
3 Class 5, but not 3 and 4, given the limitations that
4 I've just mentioned.

5 DR. LEWIS: Yes. I think that the idea
6 behind that differentiation was that cells that arrive
7 spontaneous or tumors that arrive from cells that
8 arrive from tumors that occur spontaneously in nature,
9 carry with them not only the weight of the problem
10 that they are tumors and they're induced by something,
11 but they also carry with them, I think, the weight of
12 the fact that they generally would probably come from
13 an adult individual or an individual that's been
14 exposed, environmentally exposed, say for many years.

15 And that would increase the chance that
16 they would have something that we wouldn't know about
17 or we would be unable to detect, whereas if you had
18 cell line, for example, if you transformed the WI38
19 cell or the MRC6 cell which has been in culture and
20 been used for vaccine manufacture now for many years,
21 if you transform that with a defined oncogene, the
22 chances of that having an adventitious agent
23 inherently would be quite, quite small.

24 So any adventitious agent that those types
25 of cells would have would have to be either introduced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 during the transformation process or induced by the
2 transformation process and this again is speculation,
3 but I'm not aware of any evidence that says you can
4 induce the expression of any kind of viral gene in a
5 human cell as a result of this because if the cell is
6 clean to begin with, there are no endogenous viruses
7 in there that we're aware of. So I think it's this
8 reason that we use it to discriminate between those
9 categories.

10 DR. WOLFE: What about the residual DNA
11 issue?

12 DR. LEWIS: The residual DNA is a problem
13 and I think that in making these draft proposals we
14 have stuck with limits that have been accepted based
15 on debate that has been going on mainly on the Vero
16 cell issue now for 20 years. And the WHO's 10
17 nanogram limit was the outcome of a debate in the
18 latest debate in 1997 in which they established that
19 limit for a meeting in Europe.

20 The 100 picogram limit was the limit that
21 was acceptable both by the FDA and I think the WHO
22 before 1997, so we stuck with those limits.

23 DNA is an issue and we want to -- that's
24 why we have this protocol in the works to try to
25 figure out a way to get at that issue, to define it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 better than we can define it right now.

2 DR. WOLFE: Just a 10 second follow up
3 question which would be given the special case nature
4 of Vero cells, I guess I would wonder why you're
5 encouraging the manufacture of vaccines from 3 and 4
6 in circumstances where I'm not sure a burden can be
7 born that those people wanting to do that can't do
8 them in Vero cells. I just think that they're so much
9 more uncertainty about these two categories than there
10 is about Vero cells. That's really -- that's --

11 DR. LEWIS: Phil wanted to make another
12 comment.

13 CHAIRMAN GREENBERG: I have to go in order
14 here.

15 DR. KRAUSE: Just to briefly comment on
16 the DNA issues, the original 100 picogram limit that
17 had been around for a long time was based on an early
18 assessment of potential oncogenicity of DNA, based on
19 various animal experiments. Additional experiments,
20 more recently, led to the sense that in terms of
21 oncogenicity, even if oncogenes are present and so
22 forth, 10 nanograms would be safe.

23 The reason under some circumstances we
24 would be worried about lower quantities than 10
25 nanograms, at least from my perspective comes from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern that there might be infectious genomes in that
2 DNA as well. And if you're in Category 3 and 4 the
3 presumption is that you're starting off with cells
4 that don't have known viruses in them and so there
5 should not be infectious genomes in them either. And
6 so that's the reason for that difference.

7 CHAIRMAN GREENBERG: Thank you, Phil, and
8 now we have Alice and Ms. Fisher and then we're going
9 to break for coffee and we'll catch the rest of the
10 questions as discussion continues.

11 DR. HUANG: I think it's rightfully so
12 that you should focus on DNA and viral particles and
13 infectious units, however, the question of
14 adventitious agents also would include the PrP protein
15 which you've already discussed and the possibility of
16 infectious self-replicating RNA and even the outside
17 chance of stable products such as small double
18 stranded RNA pieces as well as DNA, RNA hybrids that
19 would cause mutagenic chance.

20 : Have those been discussed and what is some
21 of the thinking about that and the measurements for
22 them? I'm just surprised that there's almost no
23 mention or worry about RNA at all.

24 DR. LEWIS: I have to confess we've not
25 considered the possibility that RNA itself could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infectious if it were not representative of some type
2 of viral genome. That's not been on our radar screen.

3 Does anybody else in the group want to say
4 anything about that?

5 DR. KRAUSE: Of course, most of these
6 products the way they're produced have large amounts
7 of ribonuclease in the cellular milieu and so our
8 assumption has been that DNA is much more likely than
9 RNA to give rise to these kinds of complications, but
10 certainly it is something to think about, especially
11 under circumstances where there could be an entire RNA
12 virus genome present in a product. I agree with you.

13 CHAIRMAN GREENBERG: Ms. Fisher?

14 MS. FISHER: Just to clarify, the FDA's
15 attempting to make a standard here with the use of
16 neoplastic cells that would -- the test would be
17 required to guarantee the elimination or the absence
18 of adventitious agents, however, it looks as if there
19 is -- the thinking is that residual cell substrate DNA
20 would be allowed to be in there at a certain
21 threshold.

22 DR. LEWIS: Yes, well, I think if you're
23 dealing with products that are purified, highly
24 purified, then the level of DNA is usually controlled
25 and it's quite low, usually much less than 100

1 picagrams. When you're dealing with live viral
2 vaccines, it's sometimes very difficult to remove the
3 level of DNA. So that's why these various limits have
4 been proposed over the years.

5 Now the business of adventitious agent
6 burden, the point I was trying to make when I was
7 trying to explain when you have to say something does
8 not contain, there is no such thing as absolute
9 freedom when you're measuring. You always have a
10 limit that the assays will be sensitive to and a limit
11 below which they cannot go, so you can never say it's
12 free. There's no absolute here. It can only be
13 measured numerically and a number defined to it. So
14 that's why we've suggested the concept of less than
15 one infectious unit per million doses as a starting
16 point to think about, how to define sometime that's
17 not there.

18 MS. FISHER: So you are -- there is a
19 threshold for adventitious agent contamination?

20 DR. LEWIS: There always is a threshold
21 for adventitious agent contamination. In other words,
22 it's impossible to say that something could not be
23 there below your ability to detect it. This is with
24 any product. There's just no way to --

25 MS. FISHER: I'm not sure the public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understands that. I'm not sure they do.

2 DR. LEWIS: I think this very well may be
3 a problem that we have to sell.

4 DR. SHEETS: Dr. Greenberg --

5 CHAIRMAN GREENBERG: I think Ms. Fisher
6 has made an important observation. In all products,
7 there's no way ever to insure to absence, the absolute
8 absence of anything. That's a major problem in
9 science and it is, in fact, sometimes a difficult
10 concept to convey and I think Dr. Lewis is working
11 hard at doing it and what he said is that what, as a
12 draft idea, is that if you give a million doses, you
13 are sure there is no infectious adventitious agent in
14 those million doses.

15 But he would not be sure if he gave 10
16 million doses, that there was no infectious agent in
17 that 10 million because he couldn't do the experiment
18 to figure that out. And most of us, as scientists
19 around the table, understand that problem, but it is
20 not necessarily a simple one to understand and as the
21 consumer rep. that would be something that I think we
22 need help in conveying because it's virtually an
23 impossibility to get to that point. If you had a
24 billion doses, you couldn't prove -- or a hundred
25 billion, that there's no infectious agent in there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. FISHER: But the variation of
2 production methods, in the testing that's used is
3 going to be very important after the thresholds are
4 set.

5 CHAIRMAN GREENBERG: Well, the threshold
6 is a threshold and it darn well better be correct. I
7 mean you can't have a threshold and then say it's not
8 real. That's a second point. If you have a threshold
9 it has to be there. You're 100 percent right and
10 we're talking before that, you have to set a
11 threshold.

12 I am going to, because I know that all of
13 you might have various needs and we had a coffee break
14 scheduled for this time, but I'm going to cut the
15 coffee break a little short. It's now 10:37. I'd
16 like you all back here at 10:45 when we will start
17 again.

18 (Off the record.)

19 CHAIRMAN GREENBERG: I'd like people to
20 take their seats. Before we start I would just like
21 to add, Dr. Minor asked an interesting question and
22 I'm not sure I have a ton of data on it, but he asked
23 basically the question for cells that were transformed
24 with a specific oncogene, for example, if that
25 oncogene then was removed what happens to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transformed phenotype. I think you asked that.

2 And that's an interesting question because
3 you can imagine either that something else happens in
4 addition. And I do think that there's data recently
5 presented using certain oncogenes with inducible
6 promoters like the tetracycline promoter in in vivo
7 tumorigenesis models that show in fact, much to my
8 surprise, that you can sort of turn on and turn off
9 tumors just by the expression of the oncogene and I
10 think that's work by a scientist named Dean Felscher
11 who was then at UCSF which you might look up. It was
12 a surprise to me, but gave me some margin of feeling
13 that, in fact, this very complicated process of
14 tumorigenesis, at least in some cases could be totally
15 controlled by a single gene function.

16 With that little editorial, we'll now go
17 to Dr. Krause who will talk to us about CBER research
18 related to neoplastic cell lines.

19 DR. KRAUSE: Thank you. This is an
20 inauspicious start.

21 Anyway, to get started, at the November
22 1998 advisory committee meeting, we discussed the
23 issues associated with the introduction of new types
24 of cell substrates. In particular, those that are
25 neoplastic. One of the advisory committees' major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concern was that CBER might not have adequate
2 resources to perform the research necessary to obtain
3 a clear understanding of the underlying scientific
4 issues. The purpose of my talk is to summarize
5 current CBER research projects in this area and to
6 give the Committee an idea of what kinds of
7 information they can expect to be available in the
8 near future.

9 Current CBER research is focused on three
10 major areas, including the risk of residual DNA,
11 potential risks associated with protease resistant
12 protein instability in neoplastic cells and the
13 detection of adventitious agents.

14 In thinking about residual DNA, it may be
15 useful to consider that residual DNA could
16 theoretically carry a tumor producing phenotype from
17 a cell substrate or could encode the genome of an
18 infectious virus which could also theoretically be
19 oncogenic. An additional complexity is that some
20 viruses may package cell DNA and could theoretically
21 deliver cell DNA to a vaccine recipient.

22 Studies of residual DNA are currently
23 focused on two issues. Together with John Coffin and
24 Steve Hughes of the National Cancer Institute, we're
25 developing a protocol to study the potential risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 injecting large amounts of oncogenes into normal
2 immuno compromise in p53 deficient mice. It is hoped
3 that these experiments will provide a basis for
4 further assessing the tumorigenicity risk of injected
5 cell substrate DNA.

6 We also are interested in understanding
7 how various parameters influence the infectivity of
8 virus genomic DNA. I will discuss this issue in a bit
9 more detail in the next few slides.

10 According to the recently revised WHO
11 requirements for the use of animal cells is in vitro
12 substrates for the production of biologicals, the
13 amount of cellular DNA and biological products should
14 be limited to 10 nanograms per dose, an increase from
15 a previous limit of 100 picograms per dose.

16 This limit is meant to apply to continuous
17 cell lines and not products given orally or products
18 derived from microbial diploid or primary cell culture
19 systems. The 10 nanogram figure was derived by
20 considering data and theoretical calculations
21 regarding the tumorigenicity of injected DNA. While
22 CBER is attentive to WHO guidelines, CBER evaluates
23 products on a case by case basis in determining
24 appropriate limits for cell substrate DNA.

25 Moreover, it should be noted that for live

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 viral vaccines and other less purified products, it
2 may not be possible to limit the total DNA to 10
3 nanograms. Thus, the question is raised what data
4 would be required to provide assurances that any given
5 amount of DNA for vaccines produced in novel cell
6 substrates would be safe? And that, of course, would
7 include neoplastic cells.

8 In thinking about potentially safe levels
9 of DNA from neoplastic cells, we considered the
10 possibility that these cells could contain infectious
11 genomes or viruses. This slide presents some of the
12 existing quantitative data regarding the potential
13 infectivity of cloned or purified viral genomic DNA.
14 Information on tumorigenicity is also presented. In
15 general, from these and other studies, we noted that
16 viral genomic DNA is at least as infectious as it is
17 tumorigenic.

18 In these experiments that are published in
19 the literature, cloned or purified genomic DNA was
20 injected directly into various animal models as we see
21 here, so mice, monkeys, marmosets and Syrian hamsters.
22 On the right hand side of the table I've calculated
23 the theoretical risk associated with a single dose of
24 a product that contains one microgram per dose of
25 cellular DNA that contains a single genome per cell.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These numbers are based on methods that
2 Andy Lewis and I published last year. The calculation
3 accounts for the dilution of the viral genome and the
4 cellular genome and assumes that the viral genomic DNA
5 is as infectious or tumorigenic when incorporated in
6 cell substrate DNA as it is when linearized and
7 injected directly.

8 The estimated risk of an infection
9 associated with this theoretical product thus would
10 range from as high as one in about 8,000 if it were a
11 polyoma virus DNA to one in tens of millions for other
12 DNAs. This information can be used to develop a worse
13 case scenario for residual cellular DNA.

14 If you assume that -- assume that the DNA
15 you have to worry about is as infectious as polyoma
16 virus DNA is which is by far the most infectious DNA
17 that anybody has reported on, assume that each cell
18 has 50 copies of this infectious DNA. Assume that
19 there's been absolutely no degradation of the cell
20 DNA.:

21 Assume that the virus DNA in the cell is
22 proportionally able to induce tumors or infections
23 based on its dilution in the cell -- in the cell
24 genome which is also a very conservative assumption
25 because of threshold phenomena associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tumorigenicity, then cell DNA which contains 50 copies
2 of polyoma virus be expected to initiate about 6 times
3 10^{-3} infections per microgram. If DNA were limited to
4 160 picograms, this would correspond to one infection
5 per 10^6 or per million doses.

6 These assumptions are meant to be
7 conservative in order to provide extra assurance
8 regarding the safety of injected residual DNA. In
9 general, DNA administered orally or mucosally to
10 animals is considerably less infectious.

11 Now Keith Peden at the Center for
12 Biologics is the recent recipient of a national
13 vaccine program grant to quantitatively study the
14 influence of some of these factors or the infectivity
15 of viral genomic DNA. His project will determine the
16 relative infectivity of integrated versus
17 nonintegrated retrovirus genomes and will determine
18 the effect of DNA fractionation on the infectivity of
19 integrated versus nonintegrated virus genomes. These
20 are factors that probably influence the potential
21 infectivity of virus genomes incorporated into
22 cellular DNA, but have not yet been looked at
23 quantitatively. And of course, we believe that this
24 type of information will improve our understanding of
25 what levels of residual DNA neoplastic cells are safe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Moving on to prion related issues,
2 according to the prion hypothesis, genetic mutations
3 in PrP genes can lead to generation of infectious
4 prions because neoplastic cells may exhibit greater
5 genetic instability, including a greater tendency
6 toward mutations. The question was raised whether
7 neoplastic cells might have a greater risk of
8 containing mutant PrP genes. This concerned was
9 discussed at the September 1999 cell substrate meeting
10 by Neil Cashman.

11 These mutant genes could, in turn,
12 theoretically encode infectious TSE agents,
13 transmissible spongiform encephalopathy agents. Of
14 course, it is important to be sure that new cell
15 substrates do not contain such agents. To study this
16 issue, Konstantin Chumakov and David Asher sequenced
17 PrP genes in Hela cells of different lineages. Hela
18 cells, from multiple sources, were obtained for the
19 purposes of this experiment. All of the listed cells
20 here on the lower part of the slide have been shown to
21 be Hela cells, in some cases, due to contamination of
22 original cell cultures with Hela cells. In red, to
23 the left which is very difficult to see, is the year
24 the cells were established. And in blue, to the right
25 of the name of each cell line is the estimated number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of passages from the primordial HeLa cell cultures.

2 Sequencing of the PrP genes revealed no
3 point mutations among the different cell lineages.
4 However, Dr. Chumakov noted two variants of the gene
5 in HeLa cells. One variant denoted PrP 4 on this
6 slide, contains four octa peptide repeats near the C
7 terminus of the prion protein. The other variant
8 denoted PrP 3 contains three such repeats. Of
9 interest, some HeLa cells, those on the lefthand side
10 are heterozygous at this locus, containing both the
11 PrP 4 and the PrP 3 allele, while other HeLa cells on
12 the right hand side contained only the PrP 3 sequence.
13 The most likely reason for this is if the original
14 donor of the HeLa cells was a heterozygote and that
15 the chromosomal instability associated with HeLa cells
16 led to the loss of the chromosome containing the PrP
17 4 allele in some of these lines.

18 Now familial Crutzfeldt-Jakob disease has
19 been strongly associated with increased numbers of
20 these repeats. Of potential relevance, the PrP 3
21 allele has about a 2 percent population in the
22 population, penetrance in the population and familial
23 C-J disease has also been reported in PrP 3
24 homozygous.

25 So to summarize the study, HeLa progenitor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cells were heterozygous in the PrP C terminal for pica
2 region. Different Hela cell lines have different PrP
3 sequences. Sequence differences may have resulted
4 from chromosome loss with repeated passage of Hela
5 cells. No point mutations were observed. Although
6 prions have never been shown to spontaneously arise in
7 tissue culture, this study does suggest that there's
8 a potential value to sequencing the PrP gene in
9 neoplastic cells, human neoplastic cells proposed for
10 use as vaccine cell substrates and to require those
11 cells to possess a normal sequence that obviously one
12 that has not been associated with familial CJD.

13 Now adventitious agent contamination of a
14 cell substrate could impact on the safety of a product
15 in several ways. First, if a product is not
16 inactivated or cleared, it could lead to infection in
17 product recipients and of course, if it were an
18 oncogenic virus, this could lead to tumors. Second,
19 it could interact with a vaccine virus to cause
20 unintended consequences and third, it could lead to
21 contamination of residual DNA in the product with
22 potentially infectious genomes and as Dr. Huong
23 pointed out, potentially also residual RNA.

24 Thus, based on the discussions at the
25 Advisory Committee meeting in November 1998, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential risk -- actually, also the Cell Substrate
2 Workshop in September, the potential risk from
3 adventitious agents is perceived to be a major
4 obstacle to the use of neoplastic cell substrates.
5 This is because immortalized cells for which the
6 mechanism of transformation is unknown are presumed to
7 have a higher risk of containing oncogenic viruses.
8 Because this risk could extend to viruses that have
9 not yet been discovered, there is a need to develop
10 new nonspecific methods to detect viruses.

11 Traditional, nonspecific assays to detect
12 viruses are based on tissue culture and on animal
13 injection. Expansion of the indicator cell lines for
14 these tissue culture assays to include additional
15 lines might improve the sensitivity of virus
16 detection. Although fairly insensitive, electron
17 microscopy is a nonspecific test that under
18 appropriate conditions can detect essentially all
19 viruses. Animal tests already in use include hamster
20 antibody production, rat antibody production and mouse
21 antibody product assays as well as injection of adult
22 and suckling mice and general safety tests in which
23 the ability of injected product to kill guinea pig and
24 mice is examined.

25 Andy Lewis recently received funding from

1 the National Vaccine Program to investigate the
2 sensitivity of various animal models for oncogenic
3 viruses. These studies could help to interpret assays
4 in which a cell substrate lysate or supernatant would
5 be inoculated into susceptible animals. And the
6 presence of an oncogenic adventitious agent would be
7 assumed if tumors developed in the animals. Most
8 known tumor viruses can be detected in this manner if
9 three rodent species are used, mice, rats and
10 hamsters. Although the sensitivity of the method for
11 most viruses has not yet been studied and that's the
12 point of trying to look at this.

13 Now Keith Peden has done a lot of work
14 using PCR-based reverse transcriptase assays to
15 nonspecifically detect retroviruses. Arifa Khan has
16 been working on increasing the sensitivity of these
17 assays to detect latent retroviruses either by co-
18 cultivating the cells with other cell lines that might
19 be more susceptible to infection by latent viruses or
20 by adding various inducing agents that could provoke
21 reactivation of latent retroviruses. In fact,
22 induction could be used as an adjunct to any of these
23 virus detection studies in an effort to improve the
24 detection of latent viruses.

25 Konstantin Chumakov is starting to study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the use of DNA oligonucleotide arrays to identify
2 cellular responses to infection and to determine
3 whether there is cellular expression patterns that
4 might be indicative of contamination with replicating
5 adventitious virus.

6 In my lab, we recently received funding
7 for the National Vaccine Program to study the use of
8 consensus PCR primers to detect viruses that are
9 related to those already in GenBank with the potential
10 to also detect as yet undiscovered viruses that are
11 related to existing viruses including those that might
12 be in the latent state.

13 In my lab, we also have been working on
14 completely nonspecific amplification of viral nucleic
15 acid also funded, in part, by the National Vaccine
16 Program. I'll show you some of our early results in
17 the next couple of slides.

18 Our method is premised on the idea that it
19 should be possible to physically separate viral and
20 cellular nucleic acids and that the obtained viral
21 nucleic acids could be amplified using nonspecific PCR
22 based methods. So far we've concentrated on the
23 tendency of our own nucleic acids which are enclosed
24 in a protein capsid to be able to elude digestion by
25 various nucleases. Cellular nucleic acids have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such defense. We also have taken advantage of
2 different densities to use ultracentrifugation to
3 separate viral particles from cellular DNA and RNA.
4 We then use nonspecific PCR methods to amplify the
5 resulting nucleic acids and clone and sequence the PCR
6 products. We can then compare the PCR product with
7 GenBank sequences to determine if they're related to
8 any known viruses.

9 In this experiment we spiked one million
10 Vero cells with either 5,000 or 500 plaque forming
11 units of Varicella rooster virus and used the described
12 method. After 35 cycles of PCR, a faint band is
13 visible with 5,000 plaque forming units and after 70
14 cycles we detected several PCR products and samples
15 spiked with 500 plaque forming units of virus. We
16 then cloned and sequenced these bands and found that
17 all of them contained Varicella rooster virus. We then
18 performed additional work to improve our sensitivity
19 of detection. This slide shows approximately what
20 this method is capable of doing right now in our
21 laboratory for different viruses, either spiked into
22 cells or in the case of polio, in the polio vaccine
23 directly.

24 For typical DNA viruses which are the
25 first four on the list, we can detect between 10 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 1,000 infectious units spiked into one million cells.
2 We haven't yet done enough work to define the
3 sensitivity of a modified method that includes a
4 reverse transcriptase step to detect RNA viruses, but
5 we were able to detect and identify polio and
6 influenza viruses at the lowest dilution we attempted.

7 With the exception of the direct
8 amplification of polio vaccine, these experiments were
9 all done using spiked Vero cells. Although our
10 results are unpublished and should be considered
11 preliminary, I find it somewhat reassuring, at least,
12 that Vero cells do not contain adventitious agents
13 using this method as well.

14 One limitation of these assays is that
15 they can detect encapsidated viruses but cannot detect
16 latent viruses. Of course at this early stage I can't
17 either tell for sure whether there's some viruses that
18 we cannot detect using this method, but our early
19 results look promising.

20 We believe that with further work,
21 however, we'll be able to further improve the
22 sensitivity of this method and we'll have a method
23 that can be used to nonspecifically determine whether
24 potentially infectious viruses are contaminated in
25 cell substrates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So to summarize, we believe that the
2 research that is on-going at CBER will help us better
3 understand the issues associated with neoplastic cells
4 and will enable us to make further progress in
5 developing policy in this area. I would like to just
6 acknowledge that the principal investigators in the
7 laboratories whose work I've described including
8 Konstantin Chumakov, David Asher, Keith Peden, Andy
9 Lewis and Arifa Khan and thank you very much for your
10 attention.

11 CHAIRMAN GREENBERG: Thank you, Dr.
12 Krause, that was enlightening. I would just like to
13 editorialize for a second. This Committee has on
14 numerous occasions reviewed the downslide in funding
15 for the intramural labs at the FDA to do their own
16 research. I'd like to commend you, you listed two or
17 three separate grants that you folks have gone out and
18 gotten to maintain your ability to do this research.
19 For sure, I feel and I think the Committee would feel
20 that this is incredibly important. I don't know other
21 government agencies, I guess there are, that are doing
22 similar studies, but it is crucial that our ability to
23 detect and move forward, in detecting agents in
24 vaccines and to test safety be maintained and I would
25 hope that somebody in the public record let it be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 known that the Committee is highly supportive of
2 intramural research at the FDA to be done in this
3 area.

4 Dr. Snider?

5 DR. SNIDER: Just to elaborate on that
6 because I think that's a terribly important point.
7 I'm very pleased that the National Vaccine Program
8 which is in my office at CDC was able to provide some
9 of the funding, but I do want to point out that the \$6
10 million as some other folks know from which this money
11 was taken is to address all unmet needs in vaccines
12 for all the agencies and so it's not a stable source
13 of funding for this kind of activity which, as you
14 point out, is critically important to sustain.

15 CHAIRMAN GREENBERG: So again, my FDA
16 colleagues, to the degree that you can remind us of
17 how this point of view, which I think is a consensus
18 among the Committee members can be taken to an
19 audience that doesn't hear it as well as you do,
20 please let us know. Now what I'll do is entertain
21 questions around the table.

22 Dr. Wolfe?

23 DR. WOLFE: I saw something like this at
24 the meeting at the end of 1998. But without meaning
25 to seem a traitor to my former employer, NIH, which I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 happily spent five years at, the NIH budget, as you
2 all know, is upwards around \$17 or \$18 billion and I
3 really am baffled as to why you have to go to the much
4 lower funded CDC to get money to do things that should
5 be in your own budget. I think the fact that you have
6 to go somewhere else and that there isn't enough
7 appropriate in your own budget is outrageous, given
8 that money is available for doing things that are, I
9 think, important but certainly no more important than
10 what you're doing in the form of this almost
11 geometrically expanding NIH budget. I'm glad the NIH
12 budget is going up, but the same people that are
13 causing that to happen with tiny fractions of that
14 could be funding directly through the budgetary
15 process what you're doing. I would strongly support
16 that and if there's anything I can do, I will. Thank
17 you.

18 CHAIRMAN GREENBERG: Thank you, Dr. Wolfe.
19 Just to clarify, I think, Bill, you're in the
20 audience, one of the issues to me that makes this so
21 difficult is that the FDA, unfortunately, is not
22 allowed to apply for that very large NIH budget which
23 has always struck me as a classic government Catch-22
24 and I realize another way around that would be to
25 directly fund the FDA.

1 DR. WOLFE: Right.

2 CHAIRMAN GREENBERG: But I want to get
3 around it as fast as possible.

4 DR. WOLFE: I agree, whatever works.

5 CHAIRMAN GREENBERG: Ms. Fisher?

6 MS. FISHER: I agree. I think it's unfair
7 for the FDA as a regulatory agency to be left holding
8 the bag on these issues and not be given the money to
9 address the serious scientific issues about these
10 vaccines. So many are being developed and I think
11 it's outrageous and I agree with Dr. Wolfe, that there
12 has to be a concerted effort on the part of the public
13 to try and get the money that you need to do this
14 scientific research.

15 DR. WOLFE: Just a follow-up question. I
16 mean how much request went into at least the budget
17 that left CBER, if not FDA, for things like this? Or
18 is it sort of a self-censoring thing? You've been
19 turned down so many times that you don't even ask for
20 it in the budget? I mean, what's going on with the
21 budget request on these issues?

22 DR. KRAUSE: I actually don't have a good
23 answer. I know Bill, do you have an answer to that?

24 CHAIRMAN GREENBERG: Bill, I think this is
25 an important area. We could spend easily the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 week discussing it.

2 DR. KRAUSE: A few minutes, at least,
3 though.

4 DR. EGAN: I mean there are a lot of
5 issues related, the budget, decline in budget,
6 etcetera. We've been over this many, many times. I
7 would suggest that you talk with Dr. Heaney or others.
8 There's precious little time that the Committee has to
9 debate these issues. I would like to -- would prefer,
10 I think it would be more -- I thank you for the
11 effort, but I think the time would be more profitably
12 spent if you could criticize or critique the
13 experiments that Dr. Krause and others are doing and
14 providing us with scientific guidance on what else we
15 need to be doing and we'll try to do it as well as we
16 can within those guidelines.

17 CHAIRMAN GREENBERG: I do think, however,
18 is each of you to right your conscience and just
19 perhaps take this moment to -- I personally have not
20 written the Commissioner on this issue and I will make
21 a note of that and do it and if any of you feel
22 similarly, I can't but believe that separate letters
23 from each member of this panel with this specific
24 meeting in mind won't have some effect on how she
25 thinks about things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EGAN: I guess Dr. Heaney will love me
2 for this suggestion.

3 DR. FAGGETT: Harry, let me go on record
4 saying the National Medical Association, as an
5 organization, will be writing a letter.

6 CHAIRMAN GREENBERG: Okay, so let's move
7 on and talk about some of the area -- any questions to
8 Phil about the actual science here?

9 Diane?

10 DR. GRIFFIN: I think the PrP question is
11 an interesting one and an important one and certainly
12 sequencing the genome in that gene is one approach,
13 but I wonder if an additional approach wouldn't be to
14 if the actions or reagents are available to look for
15 protease resistance of the protein because that's the
16 biological phenotype of the protein and we know a lot
17 of mutations that can predispose to that phenotype,
18 but it's always possible you don't know all of the
19 mutations and so screening for the ability of the
20 cells to produce a protease resistant form would also
21 seem to be relevant.

22 DR. KRAUSE: That's an interesting idea.
23 Of course, the gold standard for that type of thing
24 would be to actually inoculate animals, but the
25 trouble is if those kinds of experiments take so long

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to and --

2 DR. GRIFFIN: No, but all of those
3 correlate with the presence of protease resistance
4 form.

5 DR. KRAUSE: Right.

6 CHAIRMAN GREENBERG: Is there any
7 information available about people who -- Phil, is
8 there any information available about spongiform
9 encephalopathies occurring in people who have cancers
10 so if PrP mutations were associated with tumorigenesis
11 I would expect that you might -- that that might be
12 seen biologically or is this -- Diane is looking at me
13 like I'm --

14 DR. GRIFFIN: It takes a long time to
15 develop.

16 CHAIRMAN GREENBERG: There are cancers
17 that don't kill you right away.

18 DR. GRIFFIN: Phil has to have a
19 tremendous background of knowledge in this area. He
20 comes from the right country.

21 DR. MINOR: Well, as a Brit, of course,
22 this really wouldn't be my main source of concern
23 about TSEs. I mean I think they're totally separate
24 issues, okay? I'd be very, very surprised if you
25 actually found a continuous cell line that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really support PrP a form of the disease. I think if
2 you've found one you should patent it because it will
3 make a lot of money for you.

4 The two things, as far as I know, they're
5 not linked in terms of like the tumor DNA aspect and
6 the PrP aspect at all.

7 CHAIRMAN GREENBERG: Other questions? Dr.
8 Snider?

9 DR. SNIDER: I just wanted to ask you
10 because you went over it pretty fast, what you see in
11 the future as a potential for the DNA chips to
12 contribute here. I think at least to me it's quite
13 intriguing what the possibilities might be and could
14 you just say a few more words about that?

15 DR. KRAUSE: Sure, of course, that's very
16 exciting technology and Dr. Greenberg already
17 suggested earlier one idea which is to sort of try to
18 understand the difference between similar cells with
19 different phenotypes, although I suspect that once
20 those experiments are done, we'll find a lot of
21 differences in a lot of genes and we'll still be
22 scratching our head regarding what they mean. It
23 would be nearly impossible to then take each of those
24 genes and then put them back into cells to see exactly
25 what they're doing. But that doesn't mean those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experiments are not worth doing.

2 CHAIRMAN GREENBERG: I actually was
3 thinking about looking at one cell type Vero and
4 looking at transcriptional arrays as it moves from
5 nontumor producing to tumor producing and you may not
6 figure out the mechanism, but you may find out a
7 signature of transcriptional responses that would
8 allow you to monitor yourselves and know what you
9 don't want when you're making a vaccine.

10 DR. SNIDER: I agree that that's a useful
11 experiment and Dr. Chumakov is actually planning to do
12 that.

13 There also is the idea of simply taking
14 essentially all known viruses and putting some
15 sequence from them on a chip and using that as a way
16 to rapidly screen products and of course the advantage
17 of these chips is you can put lots and lots of DNA
18 sequences on them and it doesn't get you at the
19 problem of how to detect unknown viruses although
20 depending on how you did that you might be able to put
21 nonspecific sequences on there and you might not
22 achieve, at least with current technology, the
23 sensitivity that one might, with some of the PCR-based
24 methods, but one I think that's potentially useful as
25 well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then the third idea is one that has
2 actually been done for bacterial infections is try to
3 understand host response to viral infection and if one
4 can identify specific genes that are expressed in cell
5 substrates or in cells when there are viruses present
6 in those cells or that suggest that viruses might be
7 there, that could be sort of a red flag, without
8 telling you what the virus was that there might be
9 something to be a little bit more concerned about with
10 those cells and I think that that's analogous to the
11 idea with the Vero cells.

12 DR. SNIDER: Let me just follow by saying
13 that to me there's a connection between these exciting
14 kinds of studies and the topic of funding we were just
15 talking about.

16 CHAIRMAN GREENBERG: Yes, they take money.

17 (Laughter.)

18 DR. SNIDER: Yes, but also they excite
19 people who are in the area or in a related area who
20 might be working on something a little bit different
21 to move over into working into vaccine safety issues.

22 CHAIRMAN GREENBERG: Dr. Huang?

23 DR. HUANG: Just in terms of perspective,
24 Dr. Lewis mentioned at the meeting in 1998, 1999 that
25 primary cells are more dangerous in terms of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probability of adventitious agents and I'm wondering
2 as we discuss this, how much of the scrutiny is
3 currently being applied to the primary cells that
4 we're using routinely?

5 DR. KRAUSE: The answer is that right now
6 actually, to my knowledge, there is very little being
7 produced in primary cells in the U.S. It's sort of
8 the one classic primary cell vaccine was the oral
9 polio vaccine produced in primary African green monkey
10 cells, but that vaccine is really not in general use
11 any more and due to change in recommendations by the
12 Advisory Committee on Immunization Practices. So I
13 think that -- and of course, the major reason for
14 moving away from that is probably not the cell
15 substrate risk, but it's the risk of the vaccine
16 associated, paralytic polio.

17 I think all of us have some concerns about
18 the idea of introducing new vaccines into new primary
19 cells and although historically that's, of course,
20 where the vaccine business started, I think it's one
21 of the reasons why people are willing to consider some
22 of these neoplastic cells as alternatives to that.

23 The other comment in terms of adventitious
24 viruses, to follow up, I think what Dr. Snider said,
25 was that of course if you can propagate cells in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 absence of serum then one might reduce theoretical
2 risks from adventitious agents from cows including,
3 for instance, bovine TSE agents and so there's always
4 a trade off on these kinds of things.

5 CHAIRMAN GREENBERG: Ms. Fisher?

6 MS. FISHER: I have a question, follow-up
7 to Dr. Huang's comment, was there a threshold for
8 adventitious agent contamination in those primary --
9 in the production of the OPV as well as residual DNA?

10 DR. KRAUSE: From what I'm aware of with
11 no threshold to find, but what was defined at the time
12 were the best available tests to detect these
13 particular agents. And so if one were to look back
14 and examine what could the sensitivity of those tests
15 have been, then one could define some kind of a
16 threshold. That wasn't a part, I think, of the
17 conscious decision making when that product was
18 licensed.

19 The other thing to keep in mind is because
20 this was a such a concern, in fact, in the early days
21 of the polio vaccine including through manufacture at
22 the very end, 25 percent of all of the cells were kept
23 as controls in order to try to do one or another kind
24 of adventitious agent testing on it. So if you can
25 imagine, almost as many cells are used simply to look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for adventitious agents as were used to produce the
2 vaccine.

3 MS. FISHER: Was that testing with the FDA
4 or the manufacturer?

5 DR. KRAUSE: That testing was done by the
6 manufacturer. Those were controlled -- and they would
7 have to be because they have to run those tests at the
8 same time as they make the product.

9 MS. FISHER: And the FDA then tested to
10 make sure that their tests were correct?

11 DR. KRAUSE: The FDA has on various
12 occasions done different kinds of adventitious agent
13 testing on polio vaccines and there was a lot of
14 interest in the 1970s, for instance, when Paul Parkman
15 did a lot of long-term cultures of polio vaccines in
16 various cell lines.

17 MS. FISHER: The reason I'm just pursuing
18 it is because as a matter of precedent, if there's
19 going to be a threshold for -- with these cell
20 substrates for adventitious agents or residual DNA
21 then it makes it very important for there to be follow
22 up testing if that indeed is going to be.

23 CHAIRMAN GREENBERG: It's my impression
24 that still in many parts of the world oral polio is,
25 in fact, made in primary African green monkey kidney,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 however, for example, in Vietnam, very large amounts.
2 So primary cells are still used for much of the world
3 to make vaccines and I do think that all things being
4 equal, I'd rather have something better controlled
5 than primary cells.

6 Any other questions?

7 DR. SHEETS: The other primary cells that
8 are used for vaccine manufacture are eggs and those
9 are still being used and there are both inactivated
10 vaccines that are licensed and proposals to make live
11 viral vaccines in eggs.

12 CHAIRMAN GREENBERG: If there are no other
13 questions, I would like to move on to the Committee
14 discussion that would be led by Dr. Lewis. Are there
15 any other questions of Dr. Krause? Okay, then -- yes?

16 DR. KIM: Just for completeness, I knew
17 you talked about PCRs to detect viruses. Have similar
18 attempts been made to detect nonidentifiable or
19 codable bacteria?

20 DR. KRAUSE: The answer to my knowledge is
21 not directly in vaccines by PCR methods, you know,
22 David Wellman at Stanford University has done a lot of
23 work to define bacteria ribosomal or in any sequences
24 that are essentially conserved across all bacterial
25 species. One of the problems you run into is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bacterial DNA is so ubiquitous that those kinds of
2 assays have a potential to be positive, no matter what
3 you test. And of course, a positive result by an
4 assay like that doesn't mean that there's a live
5 bacterium there. But at the same time there are
6 clearly limitations on the ability of various kinds of
7 culture methods to find bacteria and that's an area in
8 which some further work could potentially be done in
9 a way in which I don't think is specific to neoplastic
10 cell substrates, but which might provide further
11 assurances about cell substrates.

12 CHAIRMAN GREENBERG: Well, I would just
13 say that as Ms. Fisher said, adventitious agent
14 testing is not specific to neoplastic -- she was
15 making this point too, I mean, at all times
16 adventitious agents should be absent to the best of
17 our ability to detect them. And the better you can
18 detect them, the more certain we are of that.

19 Andy, would you like to come up here now
20 and lead the troops through this?

21 So the way we're going to do this, this is
22 not a vote situation. This is, as I understand it,
23 the FDA and Andy are seeking our advice and thoughts
24 about where he stands and where the FDA stands on this
25 issue of moving forward and better defining how we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use new cell substrates for new vaccines. And so he's
2 going to pose some questions to us or thoughts and
3 then what I'm going to do is just ask you folks to
4 muse about your responses.

5 I just got a note from my colleague, Dr.
6 Egan, saying that some of you need to check out, that
7 is check out of the hotel.

8 (Laughter.)

9 I was making no remarks about more global
10 checking out. Is that the case? I think, Bill, if
11 somebody could just ask for an hour's extension I
12 think that's what -- let it be recorded that Diane
13 made that recommendation, not me. Are people in their
14 rooms still and need to check out or not? I'm seeing
15 -- I don't see any widespread feeling for that, Bill,
16 so what I'm going to do is -- Bill, do you need to
17 check out? Okay, while this is a very good idea, it
18 doesn't look like the panel is telling me they need to
19 do it. So I think we'll just continue.

20 This thing about lunch is becoming more of
21 an issue than I thought. My feeling is the lunch
22 break was an hour and we were scheduled to end at
23 2:45. That's when the adjournment was scheduled. So
24 I feel if we continue on we'll end at 1:45 and I
25 imagine there are no infants in this audience and I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 making a decision that most of you can last until
2 1:45. If you can't, I would suggest you run down and
3 get a little glucose, but that -- and there are
4 cookies over there. So I think that people can manage
5 this amount of flexibility in their timing of the
6 midday.

7 Go ahead.

8 DR. LEWIS: I think I should probably
9 start off by apologizing because in the rush to get
10 the stuff together, I've overlooked making a slide of
11 the questions, but I think everyone has these
12 questions as a handout. I hope the audience has them
13 as well.

14 CHAIRMAN GREENBERG: For everybody, this
15 is the -- excuse me, yes. I have it here. Can you
16 hold it up, Diane, while we try to find it. It's the
17 small one.

18 DR. LEWIS: It's the small one, just three
19 questions on a page.

20 CHAIRMAN GREENBERG: For Committee
21 discussion on May 12, 2000, issues regarding draft
22 policy proposals.

23 DR. LEWIS: That's correct.

24 CHAIRMAN GREENBERG: Go ahead.

25 DR. LEWIS: To fulfill its obligation to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulate vaccine safety and efficacy one of the Office
2 of Vaccines' mission is promote the development of new
3 and better viral vaccines. Several current public
4 health challenges, including AIDS, the experience of
5 new strains of -- the emergence of new strains of
6 influenza in chickens and the threat posed by
7 bioweapons require new approaches to vaccine
8 development. New technologies of making development
9 of new vaccines possible, and the successful
10 application of these technologies can be greatly
11 facilitated by the use of neoplastic cell substrates
12 for virus propagation.

13 Tumor cells have been prescribed as use of
14 vaccine substrates since 1954. However, over the past
15 four decades advances in understanding neoplasia in
16 neoplastic development and in vaccine regulation, it
17 permitted a reassessment of the prescription against
18 the use of all types of neoplastic cells as vaccine
19 substrates.

20 This reassessment has been underway in the
21 Office of Vaccines since 1998 and will continue until
22 working policy proposals regarding the use of
23 neoplastic cells as vaccine substrates are in place.
24 To meet this obligation to sustain the continued
25 application of new technologies to do vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 development, the Office of Vaccines has developed
2 draft policy proposals and it's in the spirit of
3 stimulating and focusing the discussion and not yes or
4 no policy decisions, that we have presented these
5 proposals to the Committee today. So it's in this
6 spirit that we ask the Committee to please comment on
7 the three questions that are on the hand out. They
8 are first the OVRB CBER's draft policy proposals
9 regarding the use of neoplastic cells as vaccine
10 substrates. Second, any of the concepts or
11 perspectives that were used in the development of
12 these policy proposals. And third, any of the issues
13 that CBER is considering or may need to consider in
14 the opinion of the Committee regarding the use of
15 neoplastic cells as substrates or viral vaccine
16 manufacture that the Committee finds appropriate.

17 CHAIRMAN GREENBERG: Thank you, Andy.
18 These are relatively broad questions or queries to the
19 Committee and I'm not quite sure how to guide you
20 through this so I'm just going to solicit your
21 opinions. I also think it will be useful as you think
22 about them since the actual proposal is broken down
23 into 1 through 5, that you think about those
24 specifically and if you have any thoughts about that
25 way of thinking about the draft proposals or the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 specific groupings 1 through 5, that you share those
2 with Andy.

3 So I'm just going to -- David, you're in
4 the -- good, I won't do it this way. If people have
5 something to say, Dr. Huang. You got off, David.

6 DR. HUANG: I thought it would be useful
7 to focus on cell passages and providing the ability of
8 one kind of, one master batch that would be uniformly
9 used by manufacturers and really studying that and
10 knowing it well. On the other hand, and I'll go into
11 that in a little more detail, but on the other hand,
12 I don't think just having one Vero cell master batch
13 is going to be the answer. Obviously, we're going to
14 need several and probably of different origins.

15 First of all, we must get a way from
16 passage level. I mean that's really anachronism these
17 days in the sense that we can passage cells 1 to 2 or
18 1 to 8 and the days between passage can be a couple of
19 days to 7 days to 10 days depending on how people do
20 their protocols. So I think that we really need to
21 begin to think about doubling times and doubling
22 generations of cells so that we can define them
23 better, but obviously if we have a master batch and we
24 delineate the manufacturers should use these in
25 particular ways and passage them in particular ways,

1 there will be a certain amount of uniformity.

2 I certainly support the concept of using
3 cell lines. I think that it just gives you so much
4 more comfort that you've got these things around to
5 study them and as new tests develop, we can have more
6 and more sensitive approaches to detecting
7 adventitious agents and other problems that may be
8 part of the cell lines.

9 CHAIRMAN GREENBERG: Good. Dr. Kim and
10 then Dr. Stephens.

11 DR. KIM: Along the line I again, I'd like
12 to see some information on the reproducibility of the
13 data which was provided to us earlier regarding
14 tumorigenicity that whether -- I know there was one
15 extensive study done by Pasteur Institut in the 1980s
16 and I'd like to see that being repeated by somebody
17 and then see whether indeed, same observation can be
18 made or same kind of data can be generated or this
19 relates to the particular batch and I'd like to see
20 that. Certainly, reproducibility is an important
21 issue in science that I'd like to see that.

22 CHAIRMAN GREENBERG: Thank you, Dr. Kim.
23 I want to remind the Committee that we will get back
24 to the specifics of Vero cell as a substrate later and
25 at this point although Vero cells are certainly the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 highest up on our plate, we're going to talk about
2 those as a specific problem coming up and this
3 discussion is more generic to that whole litany of
4 types of possible cell substrates that Dr. Lewis
5 talked about.

6 Dr. Kohl and then Dr. Snider. I'm sorry,
7 Dr. Stephens was first there, excuse me.

8 DR. STEPHENS: I think that there are
9 clear advantages to using transformed or quote
10 neoplastic cells and those have been well outlined.
11 I think that coming at this from somewhat of a novice
12 in terms of my understanding, sometimes I get the
13 feeling that the assumption in the past has been what
14 you don't know won't hurt you and I think that's not
15 the case in this particular situation. I guess I'm
16 impressed with the lack of some of our sophistication
17 in terms of where we stand in understanding this area
18 and would certainly urge additional funding and would
19 like to kind of join you, Harry, in terms of a letter
20 from this Committee. I think that would potentially
21 be a very, very powerful statement to increase our
22 understanding of -- at a sophisticated level and at a
23 molecular level of these transformed cell lines
24 because I think that that's really the area that needs
25 a great deal of work from my perspective and would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 hope other members of the Committee would join you in
2 that letter.

3 CHAIRMAN GREENBERG: Thank you. Dr. Kohl?

4 DR. KOHL: I'd like to join in that
5 letter, Harry, especially if you write it.

6 (Laughter.)

7 I'm sure you can get most of us to sign
8 on. I'm looking at summary draft proposal table, the
9 1 to 5 and I'm concerned with what I think is a
10 logical inconsistency in the table which has
11 implications on how you determine 1 to 5 and that is
12 under adventitious agent status. You've got -- we've
13 got four nondetected and then the last one says
14 difficult to determine with current technology. Well,
15 that kind of washes out the significance of the
16 nondetected all across the column. So somehow I know
17 what you're saying, but I don't think it's logically
18 consistent and there's got to be a better way you
19 could say it or to rework the table and then that has
20 implications on the group because then maybe we
21 shouldn't have such a strong discouraged statement
22 under No. 5 because with current technology, in
23 particular, even though we've got a large experience
24 with Vero cells, current technology may bring
25 something from 5 up to even a more sophisticated level

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than we currently have with Vero cells or tomorrow's
2 technology.

3 DR. LEWIS: Yes, I think your -- I don't
4 disagree with what you're saying. It's important to
5 point that out. I think that what -- when we, of
6 course, when we put this draft together and these
7 drafts together, these are hypothetical situations.
8 So we were assuming that using current technology we
9 could not detect anything here and that in these
10 situations, especially with regard to draft proposal
11 1 with regard to inactivated substrates, if we
12 couldn't find anything by current technology, then you
13 can use clearance and inactivation to say that there's
14 nothing there with the level at which you can clear
15 model viruses. So I think it was in that perspective
16 that these things, these not detected was assigned to
17 certainly to category 1.

18 Now you have and Dr. Sheets will present
19 to you what's known about the ability to detect or
20 not, to detect adventitious agents in Vero cells. The
21 category 3, I think the models that were in our mind
22 there, again, have been pretty extensively tested and
23 there's been no evidence that, for example, that
24 there's a contaminating agent in 293 cells.

25 With regard to category 4, it is more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 hypothetical in the sense that there are not any -- we
2 don't have any good examples there of things that
3 might be used. But certainly when you push the
4 envelope, we would be certainly concerned with
5 category 5 that it would be very difficult to be sure
6 with the current technology that we could get to the
7 threshold we're comfortable with. So I think that's
8 sort of the flavor, but I understand what you're
9 saying about the logical inconsistency and we will
10 need to think about that.

11 CHAIRMAN GREENBERG: I would also say that
12 I'm not sure this table is the most clear way of
13 presenting this data. I'm not sure I can draw it out
14 for you right now, Andy, but you might think of a
15 better way of tabularly describing your categories.

16 Dixie, did you have your hand up? Yes.

17 DR. SNIDER: Yes. I would like to join
18 others in supporting the use of transformed cells as
19 substrates. I think we've had some discussion around
20 all the problems that we get into with primary cell
21 cultures, so I think it's important to have
22 transformed cells as substrates and to have them well
23 characterized. I think we don't want to -- I would
24 prefer not to put all our eggs in one basket.
25 Obviously, I don't think we can. I mean Vero cells

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 won't necessarily support everything that needs to be
2 grown and also even though as has been pointed out
3 Vero cells have been extensively studied, there are
4 still things that we don't know about them. And so I
5 think having multiple sources is important not only
6 for the spectrum of agents we might want to use to
7 develop vaccines, but also to have other things that
8 we can use in case we detect troubles with Vero cells
9 down the road, for example.

10 With regard to the concerns, I think it's
11 appropriate to be concerned about these adventitious
12 agents and their potential impact on the development
13 of tumors. I think it's important to be concerned
14 about TSE's, transmissible spongiform
15 encephalopathies. Of course, HIV and other agents
16 have shown us that they can manipulate immune system
17 and I think comments that people have made about
18 general concerns about impact on the immune system
19 should not be dismissed and that that's an area that
20 we really have looked at, perhaps as much or as
21 carefully as we ought to and I think that should be on
22 our radar screen, that there's the potential that some
23 adventitious agents may be able to somehow modify our
24 immune system in ways that we would not desire.

25 CHAIRMAN GREENBERG: Dr. Griffin?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: I'd like to pick up on the
2 theme that Dr. Kim started which is I can understand
3 the concern about adventitious agents and I think that
4 that's probably where we're most able to address, even
5 though there's always things we may not know are out
6 there, but I think all this is predicated on the fact
7 that there aren't any cells that are present in what's
8 being given because then -- so then you have the
9 possibility of infection from these adventitious
10 agents, but if you're actually transferring cells
11 themselves then you have to add in the additional
12 concern of whether the cells themselves can cause
13 tumors and most of the studies that have been done
14 were limited to 1980 technology as far as I'm
15 concerned as far as these cells and in fact, I think
16 some of these you would acknowledge probably are
17 oncogenic -- would cause tumors in nude mice or
18 whatever an dhow much concern or testing or -- would
19 be necessary to make sure that the cells themselves
20 are not in what you're giving and couldn't themselves
21 cause tumors, independent of whatever reason that
22 they're --

23 DR. LEWIS: Yes. The issue of residual
24 tumor cells, especially human tumor cells in vaccines
25 was -- that was one of the five major issues that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presented before the Committee in 1998 and it was on
2 the agenda at the cell substrate meeting in September
3 and it's something we have been concerned about.

4 I think most people feel that with
5 filtration technology, it's possible by validated
6 procedures to rid any vaccine of the neoplastic cell.
7 But you're absolutely correct. If viable neoplastic
8 cells remained in vaccines, especially human cells,
9 that there are reported cases of allografts, tumor
10 allografts in humans as a result of inoculating humans
11 with tumor cells, so this would have to be, they
12 certainly have to be removed. That's absolute.

13 DR. GRIFFIN: That's a given?

14 DR. LEWIS: That's a given. There's no
15 question about that.

16 DR. GRIFFIN: Okay.

17 CHAIRMAN GREENBERG: I'm in agreement so
18 that has to be a core value of vaccines that -- okay.
19 Other?

20 DR. BLAIR: I just wanted to comment. It
21 seemed to me that classes 3, 4 and 5 are more of a
22 psychological difference than a real difference in the
23 sense that with the exception of perhaps cells
24 transformed by oncogenic viruses that can spread
25 within humans or could be rescued and spread that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically they all present the same problem in that
2 you have to find, if they have adventitious agents in
3 them and tests and you presumably find there are none,
4 otherwise, they're all transformed. They will all
5 make tumors in animals and so I'm not sure that
6 differentiating among the spontaneous versus
7 nonspontaneous or oncogene transformed is really
8 necessary. In a sense, Vero cells can move from class
9 2 to class 5 between passages 161 and 191, as we've
10 heard. And in a sense, the cells transformed by known
11 oncogenes have an advantage and a disadvantage. One
12 advantage is you know they contain something you can
13 transfer and it will transform a cell. On the other
14 hand, you know what that is and you can look for it
15 and the experiments, the proposals that are being done
16 to look at DNA activity would argue you can really
17 determine specifically for the agents you know can be
18 transferred to the sequences you know what the
19 relative sensitivity is. So in that sense they may
20 offer an advantage over some of the others, but
21 otherwise it just seems like they're basically the
22 same problem and they perhaps might be treated more
23 equally than not.

24 CHAIRMAN GREENBERG: Hold on. Were you
25 going to respond to that, Andy, or you were just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 absorbing?

2 DR. LEWIS: No, I was alluding to Phil.

3 CHAIRMAN GREENBERG: Phil?

4 DR. KRAUSE: I guess in thinking about
5 these issues though the question that came up for us
6 was what is it about the fact that this cell is
7 transformed with the fact that the cell is immortal or
8 neoplastic that has us worried? And it comes down
9 really to two possibilities. One of them is and what
10 makes us more worried about this cell than we would be
11 about another cell that wasn't neoplastic. And of
12 course any cell could potentially have adventitious
13 viruses in it and of course every cell should be
14 tested as extensively as possible as we talked about
15 before. But the fundamental question then I think
16 still comes up of whether -- if one's concern is
17 adventitious viruses whether there's a substantial
18 difference between a cell whose mechanism of
19 transformation is known and it is known not to be due
20 to an adventitious virus and a cell whose mechanism of
21 transformation is completely unknown and it is
22 presumed based on history that it could have something
23 to do with an adventitious virus.

24 So in terms of thinking about adventitious
25 viruses, I would argue that categories 3 and 4 are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 quite different from category 5. You could make an
2 argument that there might be some similarities in
3 terms of thinking about the DNA, but as I pointed out
4 before, I think your concerns about the DNA may reach
5 different levels depending on whether the DNA concern
6 is associated with its infectivity or its
7 tumorigenicity. And clearly, we need to learn more
8 about that, but at least a draft proposal, I think, is
9 based on those basic ideas.

10 CHAIRMAN GREENBERG: Dr. Minor?

11 DR. MINOR: Firstly, I think from the
12 point of view of adventitious agents, a transformed
13 cell wins hands down over almost everything else to me
14 because you have all the time in the world once you
15 look at it and make sure that it's not there. Okay?
16 So I think from the adventitious agent point of view,
17 this seems to me to be a good line to be using.

18 There are a couple of things that I'd
19 quite like some clarification on on the draft policy
20 proposals. In Section 1, your last two columns say
21 highly purely/deactivated virally vectored subunit.
22 That's the first column. And the next one also has
23 virally vectored. Is the implication that you've
24 already vectored stuff in the first column, would that
25 be a highly purely viral vector?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. LEWIS: Yes.

2 DR. MINOR: And can that really be done to
3 something like a vaccinia? Can you really get the
4 DNA, for example, if that's what you're talking about,
5 can you really get the DNA down so low that you regard
6 it as a completely negligible factor?

7 DR. SHEETS: It depends on the vector.

8 DR. MINOR: Right.

9 DR. SHEETS: And so these are separated
10 into different categories. For vaccinia, you may not
11 be able to purify it, but for an adeno viral specter
12 or an AAV vector you might be able to purify it.

13 DR. MINOR: Okay. Maybe that should be
14 pulled apart to make it a bit clearer because I think
15 what you're saying in your draft proposal 1 is that
16 absolutely anything goes, okay? Any kind of
17 neoplastic cell of any description, no matter how
18 good, bad or indifferent it is, is acceptable,
19 provided you purify it and I've some sympathy for
20 that, but I think you've got to define what you mean
21 by purify it and also what you mean by the vector.

22 DR. LEWIS: Yes, I don't think we take
23 exception to that. **

24 DR. MINOR: Okay, the second thing was in
25 your category 3, provided that you can actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701