

2183 '00 AUG 16 12:09

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

TWENTY-SEVENTH MEETING OF THE
BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

This document has not been edited
or corrected, but appears as received
from the commercial transcription
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.

8:40 a.m.

Thursday, July 13, 2000

Hilton Hotel
620 Perry Parkway
Gaithersburg, Maryland 20877

ASSOCIATED REPORTERS OF WASHINGTON
1523 North Carolina Avenue, N.E.
Washington, D.C. 20002
(202) 543-4809

ATTENDEES

COMMITTEE MEMBERS:

DANIEL R. SALOMON, M.D., Chairman
Associate Professor
Department of Molecular and Experimental Medicine
The Scripps Research Institute
10550 N. Torrey Pines Road, MEM 55
La Jolla, California 92037

GAIL DAPOLITO, Executive Secretary
Scientific Advisors & Consultants Staff
Center for Biologics Evaluation and Research
Food and Drug Administration
1401 Rockville Pike
Rockville, Maryland 20852-1448

RICHARD E. CHAMPLIN, M.D.
Professor of Medicine
Department of Blood and Marrow Transplantation
University of Texas M.D. Anderson Cancer Center
1515 Holcombe Boulevard, Box 24
Houston, Texas 77030

JOANNE KURTZBERG, M.D.
Professor of Pediatrics
Department of Pediatrics
Duke University Medical Center
Box 3350, Suite 1400 North Pavilion
Durham, North Carolina 27710

W. MICHAEL O'FALLON, PH.D.
Professor of Biostatistics
Department of Health Sciences Research
Mayo Clinic
Harwick Building, Room 766-A
Rochester, Minnesota 55905

EDWARD A. SAUSVILLE, M.D., PH.D.
Associate Director
Developmental Therapeutics Program
National Cancer Institute
National Institutes of Health
6116 Executive Boulevard, Suite 500
Rockville, Maryland 20892

ATTENDEES (Continued)

COMMITTEE MEMBERS: (Continued)

ALICE WOLFSON, J.D.
Bourhis, Wolfson and Schlichtmann
1050 Battery Street
San Francisco, California 94111

TEMPORARY VOTING MEMBER:

HUGH AUCHINCLOSS, JR., M.D.
Professor of Surgery
Department of Surgery
Harvard Medical School
Massachusetts General Hospital
GRB504, 55 Fruit Street
Boston, Massachusetts 02144-2696

CONSULTANTS:

DAVID A. DRACHMAN, M.D.
Professor and Chair
Department of Neurology
University of Massachusetts Medical School
55 Lake Avenue N., Room S5-753
Worcester, Massachusetts 01655

THOMAS B. FREEMAN, M.D.
Professor
Department of Neurosurgery
University of South Florida College of Medicine
#4 Columbia Drive, Suite 730
Tampa, Florida 33606

STEVEN A. GOLDMAN, M.D., PH.D.
Professor of Neurology and Neuroscience
Department of Neurology and Neuroscience
Cornell University Medical College
1300 York Avenue
New York, New York 10021

ATTENDEES (Continued)

CONSULTANTS: (Continued)

JEFFREY H. KORDOWER, PH.D.
Professor of Neurological Sciences
Department of Neurological Sciences
Rush Presbyterian-St. Luke's Medical Center
2242 W. Harrison Street
Chicago, Illinois 60612

JOHN W. McDONALD, III, M.D., PH.D.
Assistant Professor of Neurology and
Neurological Surgery
Department of Neurology
Washington University School of Medicine
Campus Box 8111
660 South Euclid Avenue
St. Louis, Missouri 63110-1093

VASSILIS E. KOLIATSOS, M.D.
Associate Professor
Department of Pathology
The Johns Hopkins University School of Medicine
Ross 558 Research Building
720 Rutland Avenue
Baltimore, Maryland 21205-2196

JEFFREY D. MACKLIS, M.D.
Associate Professor of Neurology
Department of Neurology
Harvard Medical School
Children's Hospital
354 Enders Building
320 Longwood Avenue
Boston, Massachusetts 02115

MAHENDRA S. RAO, M.D., PH.D.
Associate Professor
Department of Neurobiology and Anatomy
University of Utah Medical School
531 Wintrobe Building
50 North Medical Drive
Salt Lake City, Utah 84132

ATTENDEES (Continued)

CONSULTANTS: (Continued)

EVAN Y. SNYDER, M.D., PH.D.
Assistant Professor in Neurology
Department of Pediatrics
Harvard Medical School
248 Enders Building
300 Longwood Avenue
Boston, Massachusetts 02115

JEREMY SUGARMAN, M.D., M.P.H., M.A.
Associate Professor of Medicine
Center for Study of Medical Ethics and Humanities
Duke University Medical Center
Box 3040, Trent Drive
Durham, North Carolina 27710

CATHERINE M. VERFAILLIE, M.D.
Professor of Medicine
Department of Medicine
University of Minnesota Medical Center
Cancer Center Research Building, Room 660F
Box 806 Mayo
420 Delaware Street, S.E.
Minneapolis, Minnesota 55455

MICHAEL D. WALKER, M.D.
National Institute of Neurological Disorders and Stroke
National Institutes of Health
Neuroscience Center, Room 2223
6001 Executive Boulevard
Bethesda, Maryland 208892-2581

GUESTS:

FRED H. GAGE, PH.D.
Professor
Laboratory of Genetics
The Salk Institute
10010 N. Torrey Pines Road
La Jolla, California 92037

ATTENDEES (Continued)

GUESTS: (Continued)

JOHN D. GEARHART, PH.D.
Professor and Director of Developmental Genetics
Department of Obstetrics and Gynecology
The Johns Hopkins University School of Medicine
600 N. Wolfe Street, Park Building, B2-202
Baltimore, Maryland 21287-2501

RICHARD C. MULLIGAN, M.D.
Mallinckrodt Professor of Genetics
Department of Genetics
Harvard Medical School
Children's Hospital
861 Enders Building
320 Longwood Avenue
Boston, Massachusetts 02115

MARK NOBLE, PH.D.
Professor
Department of Oncological Sciences
Huntsman Cancer Institute
University of Utah
2000 Circle of Hope, Room 4280
Salt Lake City, Utah 84112

JOHN Q. TROJANOWSKI, M.D., PH.D.
Professor
Department of Pathology and Laboratory Medicine
University of Pennsylvania School of Medicine
3rd Floor Maloney Building
3600 Spruce Street
Philadelphia, Pennsylvania 19104-4283

NIH PARTICIPANT:

ARLENE CHIU, PH.D.
Project Director
National Institute of Neurological Disorders and Stroke
National Institutes of Health
6001 Executive Boulevard, Room 2205
Bethesda, Maryland 20892

ATTENDEES (Continued)

FOOD AND DRUG ADMINISTRATION PARTICIPANTS:

DONALD W. FINK, JR., PH.D.

Biologist

Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-527)
Rockville, Maryland 20852

MALCOLM MOOS, JR., M.D., PH.D.

Medical Officer

Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-524)
Rockville, Maryland 20852

PHILLIP D. NOGUCHI, M.D.

Director

Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-515)
Rockville, Maryland 20852

MERCEDES SERABIAN, M.S.

Division of Cellular and Gene Therapies
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-579)
Rockville, Maryland 20852

JAY P. SIEGEL, M.D.

Director

Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-500)
Rockville, Maryland 20852

BARBARA WILCOX, PH.D

Biologist

Division of Therapeutic Proteins
Office of Therapeutics Research and Review
Center for Biologics Evaluation and Research
1401 Rockville Pike (HFM-524)
Rockville, Maryland 20852

ASSOCIATED REPORTERS OF WASHINGTON

1523 North Carolina Avenue, N.E.

Washington, D.C. 20002

(202) 543-4809

ATTENDEES (Continued)

ALSO PRESENT:

AUSIM AZIZI, M.D., PH.D.
MCP Hahnemann University

L. TONY BECK, PH.D.
Tissue Engineering Sciences

JONATHAN DINSMORE, PH.D.
Diacrin

CURT FREED, M.D.
University of Colorado

DARWIN PROCKOP, M.D., PH.D.
MCP Hahnemann University

LOLA M. REID, PH.D.
Renaissance Cell Technologies

JORDANA SONTAG

C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Ms. Gail Dapolito	15
FDA INTRODUCTION by Dr. Malcolm Moos	19
OVERVIEW: STEM CELL BIOLOGY CURRENT KNOWLEDGE AND UNANSWERED QUESTIONS by Dr. John Gearhart	24
ISOLATION, IDENTIFICATION, AND CHARACTERIZATION OF BONE MARROW-DERIVED NON-HEMATOPOIETIC STEM CELLS CAPABLE OF ASSUMING NEURONAL PHENOTYPES by Dr. Catherine Verfaillie	61
CHARACTERIZATION OF LINEAGE-RESTRICTED, SELF-RENEWING PRECURSORS FROM NEUROEPITHELIAL STEM CELLS by Dr. Mahendra Rao	101
ISOLATION, IDENTIFICATION, AND CHARACTERIZATION OF ADULT HUMAN NEURAL PROGENITOR CELLS by Dr. Steven Goldman	128
ANTICIPATING ETHICAL ISSUES IN CLINICAL EXPERIMENTS USING STEM CELLS FOR NEUROLOGICAL DISORDERS by Dr. Jeremy Sugarman	173
OVERVIEW: ANIMAL MODELS FOR PROGNOSTIC SAFETY ASSESSMENT OF NEURAL STEM CELL TRANSPLANTATION IN HUMANS by Dr. Fred Gage	224
ANIMAL MODELS FOR EVALUATING CELL THERAPY OF NEURODEGENERATIVE DISEASES by Dr. John Trojanowski	258
MIGRATION AND INTEGRATION OF TRANSPLANTED STEM CELLS WITHIN THE RECIPIENT NERVOUS SYSTEM by Dr. Evan Snyder	298
ANIMAL MODELS OF SPINAL CORD INJURY AS PARADIGMS FOR STEM CELL THERAPY by Dr. John McDonald	326

P R O C E E D I N G S

(8:40 a.m.)

1
2
3 DR. SALOMON: Good morning, everybody. I'd
4 like to welcome you to the latest meeting of the FDA's
5 Biological Response Modifiers Advisory Committee. My name
6 is Dan Salomon. I've got the pleasure of chairing this
7 session today and tomorrow. Again, I'd like to welcome all
8 of you to the Hilton Hotel.

9 What I always try and do at the beginning of
10 these sessions just so that we get to know each other
11 because a lot of people here don't know each other -- I
12 certainly don't know everybody on the committee. There are
13 some experts here from the field of neural stem cell
14 transplantation and neural science that I'd like to have
15 introduce themselves. So, what we've usually done is just
16 gone around the table so everybody gets a quick idea of
17 who's sitting here. So, I'd like to do that. It's a
18 little more difficult in this setting because of the way
19 we've sort of staggered these chairs. It's usually easier
20 because it's a circle, but if we could start maybe at the
21 last table in the back right and sort of go through there.
22 Can you just tell us briefly who you are and your area of
23 interest?

24 DR. CHIU: Arlene Chiu and I'm from NINDS, the
25 Neurology Institute.

1 DR. MULLIGAN: I'm Rich Mulligan from Harvard.
2 Medical School and Children's Hospital and involved in stem
3 cell research and gene transfer research.

4 DR. NOBLE: Mark Noble, University of
5 Rochester. I'm a precursor cell biologist working in both
6 general principles in precursor cell biology and in
7 studying oligodendrocyte biology and repair of
8 demyelinating damage.

9 DR. GEARHART: John Gearhart, Johns Hopkins
10 Medicine, interested in human embryonic stem cells.

11 DR. GAGE: Fred Gage from the Salk Institute.
12 I'm a neurobiologist.

13 DR. GOLDMAN: (Inaudible.)

14 DR. SALOMON: I should point out to everyone
15 that you press the button. This little red light turns on
16 for all us who forget to turn it back off again after
17 you've spoken.

18 DR. RAO: Mehandra Rao from the University of
19 Utah. I'm a neurobiologist interested in stem cells in the
20 nervous system.

21 DR. SNYDER: Evan Snyder, Children's Hospital,
22 Boston. I'm a neurobiologist and also a pediatrician, and
23 I study stem cell biology.

24 DR. VERFAILLIE: Catherine Verfaillie at the
25 University of Minnesota. I am a hematologist. I'm

1 interested in stem cells from bone marrow.

2 DR. O'FALLON: Michael O'Fallon, Mayo Clinic, a
3 member of the committee. I'm a biostatistician. I'm not
4 quite sure what I'm going to make of all this basic science
5 here in the next two days, but I'll be very interested.

6 (Laughter.)

7 MS. WOLFSON: Alice Wolfson. I'm an attorney
8 from San Francisco and I'm the consumer representative.

9 MS. DAPOLITO: Gail Dapolito, Executive
10 Secretary for the committee.

11 DR. SALOMON: Dan Salomon. I'm from the
12 Scripps Research Institute in La Jolla, California. My
13 interests are in gene therapy, hematopoietic stem cell
14 transplantation, and islet cell transplantation and organ
15 transplantation.

16 DR. SAUSVILLE: My name is Ed Sausville. I'm a
17 medical oncologist from the National Cancer Institute in
18 the Developmental Therapeutics Program, and my interest is
19 in the development of novel small molecules and biologicals
20 for the treatment of cancer.

21 DR. AUCHINCLOSS: My name is Hugh Auchincloss,
22 and I'm a transplant surgeon at Harvard Medical School and
23 a very recent member of the Biological Response Modifiers
24 Committee.

25 DR. CHAMPLIN: Richard Champlin. I'm with the

1 | blood and marrow transplant program at the M.D. Anderson
2 | Cancer Center. I'm a hematologist.

3 | DR. DRACHMAN: David Drachman, U Mass Medical
4 | Center. I'm a neurologist with an interest in Alzheimer's
5 | and other degenerative disorders.

6 | DR. KOLIATSOS: Vassilis Koliatsos from Johns
7 | Hopkins. I'm a neurobiologist and clinician interested in
8 | neuroplasticity and the mechanisms of regeneration in CNS
9 | in the context of neurodegenerative disorders.

10 | DR. KORDOWER: Jeff Kordower from Rush
11 | Presbyterian Medical Center in Chicago. I'm interested in
12 | gene therapy and cell transplantation.

13 | DR. MACKLIS: Jeffrey Macklis from Children's
14 | Hospital and Harvard Medical School. My lab studies the
15 | repair of circuitry in the cerebral cortex by neural
16 | transplantation or activation of endogenous precursors.

17 | DR. WALKER: Michael Walker, neurosurgeon,
18 | Neurology Institute, NIH.

19 | DR. WILCOX: I'm Barbara Wilcox. I'm a
20 | neurobiologist with CBER.

21 | MS. SERABIAN: I'm Mercedes Serabian with the
22 | Office of Therapeutics Research and Review of the Division
23 | of Clinical Trials. I'm a toxicology reviewer.

24 | DR. FINK: I'm Donald Fink with the Division of
25 | Cell and Gene Therapy. I'm interested in neurotrophic

1 factors.

2 DR. MOOS: Malcolm Moos, also from Cellular and
3 Gene Therapy. My research interests are in pattern
4 formation and cell and tissue fate specification.

5 DR. NOGUCHI: I'm Phil Noguchi, Director of
6 Cell and Gene Therapy in the Office of Therapeutics.

7 DR. SIEGEL: Jay Siegel, Director of the Office
8 of Therapeutics.

9 DR. SALOMON: Thank you all very much. Again,
10 welcome.

11 I have some administrative things to quickly go
12 over.

13 First, I'd like to welcome two new members of
14 the Biological Response Modifiers Advisory Committee: Dr.
15 Joanne Kurtzberg and Ms. Alice Wolfson, our new consumer
16 representative.

17 There are also five panelists today who have
18 been introduced but who are participating as guests, and
19 I'd like to read their names just into the record. It's
20 Dr. Fred Gage, Dr. John Gearhart, Dr. Richard Mulligan, Dr.
21 Mark Noble, Dr. John Trojanowski, and Dr. Arlene Chiu.

22 I've also been asked to inform you that there
23 are some revised questions in the blue folders. This
24 continues to be an iterative process. Who knows. There
25 may be revised questions tomorrow too.

1 What we're going to do is have presentations
2 today with some discussion certainly. However, when
3 discussions begin to veer toward things that are very
4 specific questions for tomorrow, we'll kind of get into
5 them a little bit and then decide to put them off or
6 amplify them or return to them tomorrow. We'll just have
7 to see what the chemistry is for that.

8 Finally, as Chair, I feel like the most
9 important thing that happens in the next two days is that
10 everybody sitting at the table today, as well as those of
11 you in the public, feel like you have had access to make
12 your points clear and add to the conversation. I think
13 that's what we're here to do. Certainly on my part,
14 there's no specific agenda except to address the questions,
15 as best we can, that the FDA has put to us. So, if at any
16 time, as things progress two days, somebody feels like I
17 didn't get my point across or something, I really would
18 rather have you come to me at that point and I will do
19 everything possible to bring the point back around to
20 discussion and have everything included. I say that also
21 for the people in the audience.

22 So, with that, Gail Dapolito will read us the
23 conflict of interest statement.

24 MS. DAPOLITO: Yes. Good morning, Dr. Salomon.
25 I'd like to also take this opportunity to

1 introduce Ms. Rosanna Harvey, the committee management
2 specialist. She and Ms. Denise Royster will be at the
3 registration table to help out with any questions or
4 assistance you might need for the committee.

5 I'd like to read the conflict of interest
6 statement. This announcement is made part of the public
7 record at this meeting of the Biological Response Modifiers
8 Advisory Committee on July 13 and 14, 2000.

9 Pursuant to the authority granted under the
10 committee charter, the Director of FDA's Center for
11 Biologics Evaluation and Research has appointed Dr. Hugh
12 Auchincloss as a temporary voting member.

13 Based on the agenda made available, it has been
14 determined that the agenda addresses general matters only.
15 For this meeting, general matters waivers have been
16 approved by the agency for all special government employees
17 who are participants. The general nature of the matters to
18 be discussed by the committee will not have a unique and
19 distinct effect on any of the participants' personal or
20 imputed financial interests.

21 In regards to FDA's invited guests, the agency
22 has determined that the services of these guests are
23 essential. The following interests are being made public
24 to allow meeting participants to objectively evaluate any
25 presentation and/or comments made by the guests.

1 Dr. Arlene Chiu is employed by the National
2 Institute of Neurological Disorders and Strokes, NIH. Dr.
3 Fred Gage is employed by the Salk Institute. He is a
4 scientific advisor for Cell Genysis, Signal Therapeutics,
5 and Stem Cell, Inc. and has financial interests in several
6 firms that could be affected by the committee discussions.

7 Dr. John Gearhart is employed by Johns Hopkins
8 University. He receives financial support for his
9 laboratory from Geron.

10 Dr. Richard Mulligan is employed by Harvard
11 University.

12 Dr. Mark Noble is employed by the University of
13 Utah. He's also a founding scientist of Acorda
14 Therapeutics. He consults with Acorda and has a financial
15 interest in a firm that could be affected by the committee
16 discussions.

17 Dr. John Trojanowski is employed by the
18 University of Pennsylvania School of Medicine. He's the
19 co-founder of Layton BioScience, serves as the principal
20 investigator on several federally supported grants, and has
21 a financial interest in a firm that could be affected by
22 the committee discussions.

23 In the event that the discussions involve other
24 products or firms not already on the agenda for which FDA's
25 participants have a financial interest, the participants

1 are aware of the need to exclude themselves from such
2 involvement and their exclusion will be noted for the
3 public record.

4 With respect to all other meeting participants,
5 we ask in the interest of fairness that you state your
6 name, affiliation, and address any current or previous
7 financial involvement with any firm whose product you wish
8 to comment upon.

9 Copies of the waivers addressed in this
10 announcement are available by written request under the
11 Freedom of Information Act.

12 At this time we would also like to request that
13 as a courtesy to the participants and to your neighbors in
14 the audience that cellular phones be turned off. Please
15 step outside in the foyer if you wish to use your cell
16 phone. We also ask that pagers be set on the silent mode.

17 Thank you very much. Dr. Salomon, I'll turn it
18 over to you.

19 DR. SALOMON: Basically the only other thing
20 I'll say -- you guys got the drill as we went around. One
21 of the things that's very important is that we create a
22 written record. Just to make it easier for the
23 transcriber, try and speak every time into the microphone,
24 and when you're done speaking, turn the thing off,
25 otherwise it picks up the background and she won't be able

1 to get a clear recording.

2 Then let's start. We begin with the FDA
3 introduction by Malcolm Moos.

4 DR. MOOS: Good morning, everyone. I'd like to
5 make a few general remarks aimed largely at the audience
6 because they're not quite as familiar with the process of
7 these advisory committee meetings as some of those of us
8 sitting around the table.

9 One of the more commonly held models of the
10 nature of the interactions between the Food and Drug
11 Administration and its constituent body as the
12 public/patient advocacy groups and so forth is depicted
13 schematically on the first slide.

14 Now, although I'd like to point out that if
15 circumstances warrant, we do have the statutory authorities
16 for things to degenerate to this level.

17 (Laughter.)

18 DR. MOOS: By and large, that's not how it
19 works.

20 In fact, if we go back to civics 101, it's
21 important to remember that the public, through the
22 President and cabinet officials and through Congress,
23 delegates to us the job of riding herd on the development
24 of promising new therapies. In fact, one thing that we are
25 empowered specifically to do, in order to help us do our

1 | jobs right, is to go directly to experts in the public in
2 | order to gain the expertise that we need to approach
3 | difficult, new issues.

4 | Now, certainly the FDA has to be sensitive to
5 | emerging technologies. For those of you in the back who
6 | can't read the caption, it says, "Look what they're doing,"
7 | they being the folks who've gotten the clue that flying is
8 | faster than walking.

9 | So, it's natural for us to start looking for
10 | new technologies with enthusiasm, but at the same time,
11 | it's important to understand that -- the caption reads,
12 | "early experiments in transportation" -- there are certain
13 | pitfalls that can be anticipated. And perhaps more
14 | dangerous than difficulties that are obvious are cases that
15 | arise when we are confident in our abilities, we think that
16 | we are cruising at altitude and that everything is going to
17 | be a smooth flight, when in fact our judgments have blinded
18 | us to the obvious potential for great misfortune.

19 | Although I think Mr. Larsen's cartoons make
20 | some of these points very elegantly, I don't want anyone to
21 | get the idea that we think of this as a big joke. There is
22 | great excitement in the issue of stem cell biology, but at
23 | the same time, there are various types of hazards, some of
24 | which we can foresee, some of which we can't. It is
25 | important to let everyone know that we have to be very

1 sensitive to the fact that the most fundamental tenet of
2 clinical medicine is: "First do no harm."

3 The task and advisory committee meeting I think
4 is summed well by what a Hewlett Packard executive
5 formulated as the quadrants of confidence. He started with
6 conscious confidence. You know something and you know you
7 know it. I think this quadrant right now is perhaps the
8 smallest of the ones that we have. It will be very useful
9 for us to establish as a group what sorts of things about
10 the biology, the manufacture, the testing of stem cells we
11 are fairly confident in that we think we know.

12 It will be also useful to address this
13 quadrant, the quadrant of conscious incompetence, things
14 that we know we don't know that we have to find out. In
15 fact, I look at this quadrant as the quadrant of the
16 professional. The professional establishes the things that
17 really need to be taken care of and addressed carefully and
18 one by one systematically pursues this. We want to figure
19 out how we can take precautions about this quadrant, how to
20 be the competent copilot in the previous cartoon, to take
21 things from the arena of where we don't know that we don't
22 know it and place it first into this quadrant and then into
23 this quadrant.

24 I'll leave this quadrant until later. This
25 deals with issues that we don't worry about until

1 licensure, and we can talk more about that in a subsequent
2 workshop.

3 So, the other thing I'd like to establish is
4 certain things that we're not going to talk about. We have
5 a limited amount of time and a lot to get accomplished in
6 that time, and there are certain things that are going to
7 be off the table.

8 The first thing we're not going to talk about
9 is whether the FDA is going to be regulating these things.
10 There has been a court decision that reinforces the 1993
11 stem cell policy that we promulgated, and in fact, the
12 entire convening of this workshop is predicated on the fact
13 that we will have jurisdiction over stem cell based
14 products.

15 Similarly we're not going to talk about
16 xenotransplantation because we've already done that. We
17 are quite sensitive to the fact that many types of
18 manufacture of these products involve things like mouse
19 feeder cells and perhaps other types of technologies that
20 will involve animal tissue, but those issues are not
21 specific to stem cell or neural stem cell biology and so
22 they will not be addressed today.

23 Similarly, there are some issues that would be
24 important to address in trials involving diseases of the
25 nervous system which are not specific to therapies using

1 stem cells, and we won't talk about them.

2 Finally, we are quite sensitive to the fact
3 that certain sources of stem cells are very controversial
4 and certain sources are not. The FDA does not have a
5 position on whether embryonic or fetal tissue is or isn't
6 appropriate. We don't have the expertise to make that
7 call. We don't have the authority. Finally, whether stem
8 cells are coming from fetal or embryonic tissues or from
9 adult tissues or other types of sources or not, the way we
10 look at testing, control of the manufacture, the right
11 types of animal experiments is pretty much the same.

12 So, to place this in a pithy nutshell, what we
13 want to do in the next day and a half or so is to figure
14 out what we know, if there are areas of consensus. If
15 there's not consensus, are there a few sharply divided
16 viewpoints? What are they? And finally, what must we
17 learn both now -- by that I mean before any human trials
18 can start -- and later, which means before marketing
19 approval. The reason that this last thing is on this slide
20 at this early stage is that there may be some kinds of
21 technical issues that need to be addressed that are going
22 to take quite a while, and we want the whole community to
23 be aware that this may be the case so that they won't be on
24 the critical path to licensure.

25 With that, I will close and turn the discussion

1 over to Dr. John Gearhart. I think I'd like to say in
2 closing that we're very excited to have the panel of
3 experts that we have managed to convene and have enjoyed
4 very much working closely with our colleagues from NIH in
5 establishing this. Dr. Gearhart.

6 DR. GEARHART: Good morning. I'm obviously
7 delighted that there are certain topics that are off the
8 table and that we can talk about some of the biology.

9 Now, even following an hour conversation with
10 Malcolm the other day, I'm still not sure what I'm supposed
11 to talk about. Now, this may sound strange to a certain
12 extent. There is a lot, as far as the experimental side of
13 things with human embryonic stem cells, going on. So, I
14 think what I'll try to do is to summarize where we are in
15 this field, giving you some examples but, because of
16 restrictions in time, not dealing with a lot of examples.

17 One of the lessons that I have learned this
18 past year was nicely summed up actually in Sherwin
19 Neuland's admonition to the FDA in Monday's New York Times
20 op-ed piece on a different topic, but dealing with the fact
21 that we should really make a habit of two things when we're
22 dealing with human disease. One is to help, or at least to
23 do no harm.

24 Our thoughts this past year have really turned
25 to the latter. We are thrilled by what the cells are doing

1 | in culture and in some of our initial experiments with
2 | respect to animal studies, but our attention is now more
3 | focused on how to demonstrate that these cells will do no
4 | more as far as harm is concerned.

5 | In the first part of this talk, I'm going to
6 | talk a little bit about stem cells. Now, we have obviously
7 | a distinguished group of investigators on stem cells, and
8 | anymore I'm almost afraid to define a stem cell. Things
9 | have changed so drastically over the past year, but
10 | nonetheless, it won't stop me from attempting to do it.
11 | So, if I could have the first slide, please.

12 | Well, stem cells have two important properties,
13 | the first of which is a capacity for self-renewal, which
14 | means that they have the ability to produce more cells like
15 | themselves. The second property that is important is that
16 | a stem cell is capable of differentiation. It's these two
17 | properties and certain degrees of it, which we can talk
18 | about, that define stem cells. It's really a definition
19 | that's experimental in nature.

20 | Now, in this definition we say nothing about
21 | the extent of proliferation. Some stem cells we know can
22 | divide indefinitely; others have a very much more short
23 | span, or the cell cycling rate of those divisions and also
24 | the developmental plasticity of a stem cell. What can it
25 | form? As we're learning now, where we thought there was

1 | less plasticity in stem cells, we're now seeing a great
2 | deal of plasticity.

3 | In the classic picture of stem cells -- and
4 | this really derived from the work of Till, McCollough,
5 | Seminovich in the '70s and was borne out by types of
6 | experiments, which we need not go into at the moment -- we
7 | had a picture that in very early development, we had cells
8 | that were capable of forming a large number of lineages.
9 | As development progressed, these cells became much more
10 | restricted in their lineages, but still had capacities to
11 | divide. And finally, we would get into this area we call
12 | lineage-restricted stem cells. They would be for neural
13 | components or hematopoietic components, et cetera.

14 | Now, obviously, although this type of chart is
15 | still illustrative of what we think is going on, maybe the
16 | issues of where in this context these cells are are going
17 | to vary, and you'll hear some examples of that today.

18 | So, where do we get stem cells? In this
19 | drawing, what is depicted is in human terms. We don't know
20 | all of this as far as humans are concerned. Most of it is
21 | animal work, but we know that we can get stem cells from
22 | pre-implantation staged embryos, these structures here,
23 | just before implantation into the uterine wall, and we'll
24 | talk about that in a few minutes.

25 | We know that the fetus has been a good source

1 of various kinds of stem cells, and I'll talk about one
2 specific kind this morning. And Evan and others will talk
3 bout other sources here.

4 Finally, over this past year and a half to 2
5 years, the realization that the adult is also a very good
6 source of a number of stem cells. The interest of this
7 conference is with respect to the central nervous system,
8 but we know bone marrow, we know muscle and others are now
9 viewed as having stem cell populations with a great deal of
10 developmental plasticity.

11 Well, let's concentrate on embryonic sources.
12 These structures here represent embryos that are within the
13 first week following fertilization. They are about 100
14 microns in diameter, just visible to the human eye. These
15 structures here are what you would find in the oviducts on
16 the way to the uterus in human terms.

17 They consist of a couple cell populations,
18 depicted in this cartoon, in which we have an outside layer
19 of cells here which is responsible for the implantation
20 process, and these are the cells that will give rise to
21 placental tissues. In an embryo of 200 or 300 cells, about
22 85 percent of the cells are in this layer here.

23 There is also another group of cells present,
24 referred to as the inner cell mass. These cells are the
25 direct precursors of the embryo proper.

1 So, we have embryonic structures formed out of
2 these, and extra-embryonic, placental structures out of
3 this outside tissue.

4 If you remove the outside tissue -- and you do
5 this through immunosurgery -- place this group of cells in
6 culture under specific conditions, you can derive embryonic
7 stem cells. This was initially done in the early '80s in
8 the mouse, and with respect to the human, Jamie Thomson's
9 group in Wisconsin reported this in 1978. Since then, four
10 or five other groups have now obtained human embryonic stem
11 cells using this structure here called the blastocyst,
12 which is derived from in vitro fertilization techniques.

13 A second procedure that has been found in
14 mammals to work is depicted in this slide with respect to a
15 human embryo. Investigators in the early 1990's were
16 interested in obtaining cultures of primordial germ cells,
17 and their interest here was really to study germ cells.
18 The primordial germ cell represents the lineage that's set
19 aside very early in embryogenesis that gives rise to eggs
20 and sperm. They are diploid. This is before the meiotic
21 events.

22 It was found in the rodents and subsequently by
23 us in humans that we can identify the stage in which these
24 primordial germ cells can be recovered, placed in culture,
25 and embryonic stem cell lines obtained from them.

1 I'm going to now talk about our lines in some
2 general terms, to give you a feeling of where they come
3 from and what we've done with them. The end product here,
4 though, is very similar to the type of cell that Jamie
5 Thomson and others have isolated from blastocysts, and I'll
6 tell you about differences as we go along.

7 This is a 3-week human embryo. It's a drawing
8 from Emil Vecci's classic work illustrating the embryonic
9 axis here with the developing CNS, heart, caudal region,
10 the yolk sac, and this is going to develop into the
11 amniotic cavity. Early, one sees a group of cells, 50 to
12 100 in number, that's located extra-embryonically. These
13 cells arose embryonically, have been translocated to an
14 extra-embryonic site. And over the next few weeks, these
15 cells divide and then eventually -- this is a sagittal
16 section through a 4-to 5-week human embryo. You see these
17 black dots here that represent the migratory path that
18 these cells have taken from an extra-embryonic site coming
19 through the gut epithelium, the dorsal mesentery, into this
20 large structure here, which will condense down to form
21 either an ovary or a testis.

22 These cells now number in the thousands. We
23 estimate in humans that there are about 20,000 cells when
24 they come to this ridge or this developing gonad. It's
25 during this period of time that we isolate these cells.

1 In actual tissue or what one is looking at here
2 is this bundle of tissue that comprises the developing
3 gonad, along with rudiments of the kidney. It's this
4 tissue here that we recover from an embryo, the crown-rump
5 length of about 1 centimeter.

6 We place these cells in culture. They are
7 individual cells to begin with, these large cells. They
8 are extremely mobile in a dish. This is the mouse
9 equivalence to the human. And over a period of several
10 weeks, under appropriate culture conditions, they sit down
11 and form colonies. And we'll talk about these colonies.

12 Now, what's important here? Well, there are
13 several issues that are important here.

14 The culture conditions for these cells we find
15 have a requirement for a specific group of growth factors.
16 We grow them in tested fetal calf serum, and we grow them
17 on feeder layers. All of these, of course, raise issues as
18 relates to how reproducible the results are, and the
19 source, for example, of fetal calf serum and of the feeder
20 layer requirement that Malcolm had alluded to.

21 These cells have an absolute requirement for
22 the feeder layer, and we use a mouse feeder layer. We can
23 also use human, certain tissues from the human, but this
24 has been a problem. We are trying to grow these cells off
25 of the feeder layer. It has proved to be quite difficult

1 up to this point, but it is certainly a target of our work.

2 That calf serum which has been found to be
3 ideal for mouse embryonic stem cells is not good for human
4 embryonic stem cells. So, we now get into this issue of
5 how do you test and what is it you're looking for here
6 without really looking on the human cells. We're trying to
7 find surrogate cells to test this on to begin with, but
8 that's the nature of the beast.

9 There are some laboratories that are making
10 progress in using serum-free media with mouse embryonic
11 stem cells, but the rate of growth, the rate of
12 differentiation, and the variety at this point of
13 differentiative products is very minimal, as one may
14 expect, because of the source of growth factors and whatnot
15 in the calf serum.

16 Not all cells placed in culture grow, although
17 it is a very high rate of growth. Not all grow and some
18 grow to limited degrees as well. Again, we don't know the
19 basis of this at all.

20 This illustrates the colony morphology of these
21 stem cells. They have a cobblestone appearance and this
22 appears a few weeks after being placed in culture. In the
23 background here, one can see the feeder layers.

24 Well, how do we define a stem cell? Now, this
25 gets into another area, and that is that we have a series

1 of biochemical markers, of cell epitope markers, molecular
2 markers, the sum total of which we say you have a stem
3 cell. No one marker is indicative of the stem cell. And
4 this is another issue. Some of these markers are stage-
5 specific embryonic antigens, and we find, for example, that
6 they vary among species, so that what is appropriate for
7 the mouse may not be appropriate for the macaque or the
8 human. So, we look at the consistency of this panel rather
9 than any as an absolute marker.

10 And this is another issue. We are trying to
11 define these cells from the molecular standpoint, their
12 gene expression profiles. But we're a long way from
13 solving this issue at the moment. So, it's another area
14 that we have to really explore.

15 Now, having said all this, I would say that we
16 will not use embryonic stem cells as the source of tissues
17 for direct transplantation into anyone. These are
18 pluripotential cells that can form many different
19 structures, and it's really the derivatives of these cells
20 that we are after. So, this is a starting material. This
21 isn't what's going to be a licensed product I believe
22 that's going to go into a patient. The concern here is
23 that if you transplant these cells, without question you're
24 going to get teratocarcinomas. And we've done this
25 extensively in mouse many years ago. So, this is starting

1 material.

2 It's important that these cells to me are
3 karyotypically normal and that over many passages they
4 remain so. You can have male or female cells. That's an
5 important issue.

6 Now, another aspect of this is that we have
7 embryonic stem cells, which is a term used very generally,
8 but it refers specifically to those cells that are derived
9 from the inner cell mass, those cell lines. The type of
10 cell that we've derived from primordial germ cells has
11 another name, embryonic germ cell, and it was given that
12 name to distinguish it from the blastocyst-derived ES cell.

13 The issue here is how similar or dissimilar are
14 these two cell populations. I would tell you that where
15 both of these in the mouse would go germ line, if you want
16 them, you can get in vitro differentiation, and you can get
17 tumors if you place them in different sites within an
18 animal. They share these features in common. But the
19 cells are not identical. We know of a number of
20 differences between ES and EG-derived cells from mouse
21 studies. No lab has yet had both human ES and EG in them
22 to study together, but they are different. There's no
23 question that they are different. They're derived from
24 different sources, as you can see. I want to emphasize
25 this. So, what maybe come down for ES cells as a uniform

1 kind of thing will be different from what we would expect.
2 from EG. But we do know that they are capable of all of
3 these functions down below here.

4 Now, some of you may remember this from last
5 fall. In Germany investigators are not permitted to use
6 human ES cells, but they're permitted to use human EG
7 cells. And there are reports that the imprinting within
8 these cells, which is a molecular -- what it is, in alleles
9 that you may inherit from your mom and dad may have a
10 different molecular structure about them that comes on to
11 it subsequently from your inheritance that are then
12 expressed in a specific pattern during development. This
13 phenomenon is allele-specific. It's called imprinting and
14 it's very important. If that imprint is not there, it can
15 lead to birth defects or it could lead to death.

16 What we have found with the human lines we're
17 working with in looking at several loci, looking
18 polymorphisms of expression at these loci, and the
19 methylation patterns, is that these cells are normally
20 imprinted. But it is an issue.

21 But I should now back up and tell you that even
22 among mouse ES cells and among mouse ES/EG lines, that
23 there's a variability in a number of parameters. There's
24 no uniformity there either. So, it may not be expected in
25 the human side of things, but it's just something to be

1 | concerned about.

2 | What's in a word? Just to remind you that we
3 | refer to these cells as being pluripotent because they
4 | cannot form the extra-embryonic structures of the placenta.
5 | If they could, we would probably refer to them as
6 | totipotent. That means they could form all cell types, but
7 | totipotent carries with it the issue of being able to form
8 | an embryo. But these cells are pluripotent. Another term
9 | that's used is multipotent here.

10 | We've talked about embryonic germ cells, EG,
11 | ES. Another one to alert you to are embryonal carcinoma
12 | cells. These are all very closely related. The top cell
13 | type here is the stem cell of teratocarcinomas, of these
14 | special tumors that arise from germ cells.

15 | As many of you are aware, cell lines derived
16 | from human EC cells are now used in a clinical trial in
17 | stroke at the University of Pittsburgh. Whether there is
18 | concern about this with respect to the origin of the cells,
19 | the fact that they're hyperdiploid, and whatnot, is
20 | something that I am concerned about. We can talk about
21 | this later, but they are related in origin.

22 | So, now we have these stem cells in culture,
23 | these pluripotent stem cells. How do we get them into
24 | these different derivatives that we'd like to use in basic
25 | science studies or in therapies? So far to date, the

1 | procedure involves really one of affecting their
2 | environment. We know that in mammalian development that
3 | the fate of cells in early embryogenesis is really
4 | determined by the environment that these cells see. So, by
5 | controlling that environment, you can then determine the
6 | fate, or at least enhance or affect the fate, of a cell.

7 | How do you do this? Well, different
8 | combinations and permutations of growth factors and
9 | cytokines. This is what has proved to be most effective.

10 | With embryonic stem cells, the state of the art
11 | is that you first work through a structure called an
12 | embryoid body. There is an "i" and a "d" on this, just to
13 | alert you. It's a pathologic structure. It's not an
14 | embryo. What these are are aggregates of these cells that
15 | you permit them to aggregate in culture. When you get
16 | these little balls of cells, they are depicted like so.
17 | You can have hundreds of cells or thousands of cells, and
18 | then by treating these embryoid bodies with cytokines or
19 | dissociating them and treating them with cytokines, one can
20 | then isolate from them different cell types. This is a
21 | histologic picture staining for some neuroendocrine cells
22 | within an embryoid body. What you then do is go in and
23 | isolate and enhance the growth of these cell types.

24 | So, this is done -- for example, you'll hear
25 | from John McDonald of taking embryoid bodies, treating them

1 with retinoic acid and this enhances to some degree the
2 formation of neural structures. About 10 percent of your
3 wells will have some neurons in them under these
4 conditions, and this is an illustration of some of those.
5 That's unimportant. It's been well-documented and
6 published.

7 Ron McKay came up with a very nice procedure
8 that we use routinely now, of taking embryoid bodies,
9 treating them with a series of cytokines, forming both
10 neurons and glial populations of cells. This procedure
11 takes a few months in culture. And then out of these pots
12 at the bottom, one can identify specific types of neurons
13 or glia. This is the pots that we use for transplantation,
14 and it works very well. But again, the important point
15 here is that this is all being done from the outside of a
16 cell by treating them with different cytokines.

17 These illustrate then the staining properties
18 that one would like to see in some of these neuronal
19 precursors and finally into some specific types of neuron.

20 This is not 100 percent. In fact, you're lucky
21 if it's 25 percent. There has been a recent publication
22 from Ron McKay's lab where he's now getting upwards of, I
23 think it is, maybe in the 40 to 50 percent range of
24 dopaminergic neurons by specific growth factors downstream.
25 But again, this is not a process which is 100 percent.

1 Now, I want to give an illustration here. It's
2 not on your mind, being mostly neural inclined here, but I
3 want to make a point with this. We have been trying to
4 isolate hematopoietic stem cells from our populations of
5 cells. One can do this using techniques that Michael Wiles
6 and Gordon Keller have used. We're again starting with
7 embryoid bodies and treating these with cytokines that we
8 know are important in hematopoiesis. We can get at pots of
9 cells that are enriched for different lineages within
10 hematopoiesis, be they going through the erythropoiesis
11 with macrophages or whatnot.

12 Now, the important thing here is this. We've
13 been taking these cells that have been isolated and we've
14 been cell sorting at this level here for specific antigens,
15 whatever the latest is for defining a hematopoietic stem
16 cell, and then transplanting these into lethally irradiated
17 animals. So, we've done this with a number of antigens.
18 It's unimportant here.

19 The important point is this, that we are
20 getting long-term grafts, but along with that, out of over
21 150 animals that we've transplanted, 2 of these animals
22 have developed teratocarcinomas. And we're concerned about
23 this. What have we done here? Have we carried along a
24 stem cell after literally weeks in culture and then cell-
25 sorting based on antigens that we know embryonic stem cells

1 don't have? What has happened here? Have we had some kind
2 of reversion or whatnot? Don't know, but it is of concern
3 to us.

4 Now, let me tell you where our work is
5 currently and where we think that most of the cells that
6 we're going to use in the human story are going to come
7 from. Slightly different than what I just told you about,
8 about taking embryoid bodies, treating them with cytokines,
9 and trying to enhance or direct differentiation into
10 specific lineages.

11 We know that embryoid bodies can have a variety
12 of cell types within them. So, our current experiments
13 deal with taking an embryoid body, dissociating it, playing
14 it out in conditions that are ideal, or have been reported
15 to be ideal, for specific cell lineages, be they neural,
16 hematopoietic, endothelial, endodermal, whatnot, and then
17 cloning the populations out of this, and using these cells
18 then as the source of material for transplantation studies.
19 So, part of this paradigm is you're growing up these
20 embryoid bodies that come in different varieties. They can
21 be cystic. They can be solid groups of cells. Over time
22 you can enhance within those or select within those
23 populations of cells.

24 We can use markers. This is an example of
25 different lineages, just PCR-based markers as endpoints to

1 | say that we're into a specific lineage.

2 | This is a very busy slide, but what it shows is
3 | some of the markers for different lineages and whether
4 | we've used a PCR-based analysis or antibody to detect on
5 | the surface of cells or in cells the specific markers.

6 | This illustrates our endodermal markers that
7 | we're now using for pancreatic and liver development, some
8 | of the markers we use there, an antibody to verify that we
9 | have expression.

10 | This is an important slide. What we have done
11 | is to take these clonal lines that -- we initially were
12 | looking at just different groups of markers to say we have
13 | neural, endothelial, whatnot. The cell lines grow
14 | robustly, on and on. We were concerned about the following
15 | thing, that when we went back and took our neural cells and
16 | looked at other markers, hematopoietic markers or anything
17 | else, we would find those markers also on many of the
18 | cells. So, initially we were just focused on specific
19 | subsets of genes, but when we back and tested all of them,
20 | we would find they have a lot in common. So, this may say
21 | something about using molecular markers for defining a cell
22 | population.

23 | But we were concerned that we had a
24 | heterogeneous population, but when we did subcloning from
25 | these, we found that, indeed, there were characteristics of

1 | all the cells within these populations. I think it's an
2 | important lesson here both in the biology maybe of stem
3 | cells and in any type of marker system we would like to use
4 | to define a given population of cells for product.

5 | Now, we are just in the initial phases of going
6 | into culture modeling, into animal models. We're going
7 | this with Jeff Rothstein and Tony Ho at Hopkins and a
8 | number of other groups. So, we don't have a lot of data
9 | other than some preliminary things to say, that when you
10 | take some of our human embryoid body derived stem cells,
11 | that we can, indeed, form some motor neurons or
12 | oligodendrocytes and whatnot under appropriate conditions.
13 | We don't have a lot of data on this. We're moving into
14 | stroke models shortly with Dick Traytsman, Parkinson's,
15 | others. So, this is where our work is at this point in
16 | time, defining some of these stem cells and moving into
17 | mouse modeling.

18 | These are examples of forming oligos and
19 | whatnot and motor neurons.

20 | Now, we're not going to get into these kinds of
21 | things. I didn't know what to be prepared for. I want to
22 | skip ahead, though, to just a few items.

23 | One is that this field is evolving. There are
24 | a large number of investigators working on mouse embryonic
25 | stem cells, wanting to work on human, working up

1 conditions, as I mentioned, of trying to get feeder layer
2 independent cells, of trying to define the growth paradigms
3 to get into different lineages, to use also genetics to try
4 to create transgenic lines, if you will, that would only
5 then give rise to specific lineages but using genes that
6 are early on in pathways. This is sort of where we are
7 from that standpoint.

8 Now, a couple of things that we're looking at
9 downstream. One is we've already shown that we can get a
10 number of derivatives out of this. Whether or not they're
11 lineage-restricted, we are now giving our hematopoietic
12 cells to the neural people and vice versa to see if they
13 will give rise to appropriate structures.

14 We're interested down here in the issue of
15 transplantation and what we can do genetically within these
16 cells to try to make, for example, a universal donor. But
17 this involves transfections, gene modifications. That
18 again is an issue to be addressed. It's not unique to the
19 stem cell story, but at least we're using that approach.
20 It has to be considered.

21 Another issue that many labs are working on is
22 trying to match host to a stem cell, the issue of nuclear
23 cloning or nuclear transfer in which we take a nucleus of
24 an adult, place it into an egg cytoplasm and generate a
25 stem cell out of this, so it would match that patient.

1 We're also, interestingly, moving on to
2 reprogramming adult cells. Now, this is taking cytoplasm
3 from EG cells, combining it with nuclei of differentiated
4 cells, and beginning to show that you can reprogram these
5 nuclei. Now, what impact will this have if you come up
6 with a population of cells here that are stem cells in
7 nature and you can differentiate them down different
8 pathways? This will happen.

9 We're concerned here with respect to the origin
10 of tissues, of infectious agents. The interesting thing at
11 Hopkins, we're having some difficulty in being able to gain
12 information in this area from any patients. We are not
13 permitted in the fetal tissue area to take patient records
14 and whatnot. This is completely anonymous in a sense.
15 Although identifiers are kept, we can't go back and get
16 that information. So, we have to do testing on all of our
17 tissue, and even that is controversial. The issue through
18 the feeder layers and the issue through, for example, the
19 serum that we're using.

20 We're concerned about stable properties with
21 continuous culture. Is the differentiative capacity going
22 to remain unchanged? How do we determine this? Do we have
23 stable gene profiles? And do they remain karyotypically
24 stable over a long period of time? These are things that
25 we're looking at. Can we really regulate or control the

1 differentiation of these cells?

2 Non-tumorigenic issues here. We have a number
3 of fail-safe systems that we're now considering that if a
4 cell turns tumorigenic, that we can bump it off internally.

5 The issue of graft rejection and the fact that
6 we may have to be using genetic manipulation. Of course,
7 that brings with it a number of factors as well.

8 Now, one last comment. As you know, there are
9 Rhesus ES cells. There has been a push by the NIH to use
10 the Rhesus and Rhesus ES cells as a test before we get into
11 human clinical trials. That would mean that we would take
12 these cells and do the same thing with them that we've done
13 with the human cells or with the mouse cells. That's an
14 issue which I think should be discussed. I'm not in
15 particular favor of it at this point.

16 There was also the issue of the Rhesus studies
17 themselves of the time frame of taking human cells and
18 putting it into a Rhesus. How long would you want to do
19 this to look for tumors, for example? And on and on. So,
20 there are some other issues that I think we can chat about
21 at this meeting.

22 Well, I hope in this few minutes I've given you
23 a flavor as to where we are in these studies, where some of
24 the points are that we should be talking about. I still
25 feel that the embryonic source of cells will prove to be a

1 | reliable source and a good source for finally getting into
2 | human therapies with transplantation studies.

3 | Thank you very much.

4 | (Applause.)

5 | DR. REID: Lola Reid from the University of
6 | North Carolina. I have a couple questions.

7 | One is that you had defined all stem cells as
8 | being capable not only of differentiating but also of self-
9 | replicating. That's an area where I think there's getting
10 | to be increasing controversy over whether that is always
11 | true. Certainly for the totipotent stem cells and the
12 | embryonic stem cells that is true, that they self-
13 | replicate, but for the determined stem cell or, as you're
14 | calling them, lineage-restricted stem cells, those are ones
15 | in which, at least by assays such as the telomerase assay,
16 | they can be restricted in terms of their true self-
17 | replicative ability. So, one issue is what is the evidence
18 | now that determines stem cells truly self-replicate.

19 | The second issue is that you are going through
20 | this elegant procedures from germ cells or from embryonic
21 | stem cells into lineage-restricted cells and then getting
22 | some evidence that they can be tumorigenic when you inject
23 | them back in vivo. But if you were to isolate out
24 | determined stem cells from the normal tissue and compare
25 | those back with what you think are those lineage-restricted

1 | cells, you should be able to get a better idea of what
2 | might be distinctions in them and get better markers for
3 | being able to identify the determined or lineage-restricted
4 | stem cells from those that are in fact still totipotent.

5 | DR. GEARHART: Let's take your second question
6 | first. I would agree we would love to learn from our
7 | colleagues here what features we should be looking at at
8 | these stem cells downstream that would define a population
9 | of, let's say, lineage-restricted cells. We have to wait
10 | for that as we're learning. So, that will come I'm sure.

11 | We are not doing that within our own
12 | laboratory. We're relying obviously on our colleagues.

13 | The first issue as to whether all stem cells
14 | can proliferate where they have a self-renewal capability,
15 | I agree with you we're getting into an area now of again
16 | trying to define a stem cell. But does it, in a way,
17 | really matter? What you can demonstrate or what we would
18 | like to demonstrate in the use of a stem cell population is
19 | you have to have that capability, if you're going to grow
20 | large numbers of cells, to be able to effect any kind of
21 | transplantation therapies. A cell has to have the ability
22 | to replicate. One isn't going to just remove a few cells
23 | and transplant them. You need really thousands, millions.
24 | And if you go into any FDA-approved kind of thing, you're
25 | going to have to have a bank that's going to be stable and

1 | it's going to be proliferative.

2 | DR. REID: The question of extensive growth
3 | potential is separate from self-replication. Obviously,
4 | stem cells in general -- I don't know of any stem cells
5 | that don't have actually quite extensive growth potential,
6 | but the issue of self-replication is that they can form a
7 | daughter cell that is absolutely identical to the parent
8 | cell. That has certainly been, I think, proven for
9 | totipotent stem cells, but I think the evidence is waning
10 | on even the most well studied of the determined stem cells,
11 | that of the hematopoietic stem cells. So, a "hematopoietic
12 | stem cell" isolated from an older animal will have less
13 | self-replicative ability than one that is isolated from an
14 | embryo.

15 | So, I think that that's an issue that gets
16 | muddled, particularly with reviewers or discussions. They
17 | keep demanding that that be part of the criteria when, in
18 | fact, what we're now seeing is that it's not applicable to
19 | lineage-restricted stem cells, or determined stem cells.

20 | DR. SALOMON: Yes. Picking up to try and pick
21 | one point that I think we should try and return to tomorrow
22 | is that these questions identify an issue for how the FDA
23 | is going to look at all of this.

24 | So, one idea that you floated, John, is, well,
25 | we'll have a master cell bank, which the FDA would love.

1 I'm not certain that the biology is going to allow that for
2 many of the types of stem cells that we're going to bring
3 forward into clinical trials in the near future for the
4 reasons that the speaker pointed out, that you may be able
5 to get so many replications of so long a time, and then
6 you're going to have to go back to your source. So, I
7 think that's going to be a very important question to think
8 about because if you regulate from a master cell bank point
9 of view, that's a very, very different prospect than
10 regulating from something in which, let's say, every 2
11 months, every 10 patients or something, you have to go back
12 to the source. I think we should be careful not to close
13 that off unless the experts in the group say, no, we can
14 really do this master cell bank thing.

15 DR. NOBLE: Mark Noble, University of Utah at
16 the moment, University of Rochester in real life.

17 One of the assumptions, John, that's made in a
18 lot of this work is that once you have identified a
19 lineage-restricted precursor cell, you have essentially
20 identified that lineage. Now, we know from current studies
21 in the oligodendrocyte lineage that this just isn't true,
22 that we have thus far a minimum of three -- possibly four,
23 but certainly three -- oligodendrocyte precursor cells with
24 very, very different biological properties, particularly in
25 respect to their self-renewal properties which may have

1 | tremendous implications for the utility in tissue repair.
2 | So, as we go on to consider how we're going to develop cell
3 | banks, this question of how we're going to prospectively
4 | recognize those cells that actually do have the extended
5 | capacity for division seems to be part of the discussion we
6 | need to have.

7 | DR. SAUSVILLE: Ed Sausville from NCI.

8 | Could you clarify what the HLA restrictions on
9 | the use of these types of cells might be and the extent to
10 | which immunologic barriers might either require diversity
11 | of sources or are not an issue?

12 | DR. GEARHART: Well, it's obviously a major
13 | issue here. We would like to think that we in the future
14 | can provide cells to patients with a minimum of
15 | immunosuppressive therapy out of this technology. We've
16 | had discussions with a number of transplantation
17 | immunologists as to, if we failed at this, how many
18 | different cells we would have to generate from different
19 | HLA types and whatnot, that we would have a bank that could
20 | service a large population of individuals. And there
21 | doesn't seem to be a consensus on this in any fashion of
22 | whether we should have 20, 40, 80, 100, or hundreds. So, I
23 | can't give you an answer. It has been a topic of debate
24 | from that standpoint. That's about as far as we've gone.

25 | We are now looking at gene alterations within

1 class 1 and class 2 molecules to see how far afield we can
2 go with respect to the mouse work in getting a good degree
3 of transplantation success with a minimum amount of
4 rejection. So, this is where we are in mouse work, not
5 human.

6 DR. CHAMPLIN: To state the obvious, in bone
7 marrow transplants we use stem cells, even highly enriched
8 stem cells, and HLA restriction there is very important,
9 and even one HLA/allele mismatch leads to a markedly
10 increased risk of rejection and graft versus host disease.
11 So, whether or not that will be true of other stem cell
12 populations is unclear.

13 One other comment in terms of assaying cells.
14 The phenotype of the cell depends on the culture conditions
15 that they're being prepared and that the growth factors in
16 the milieu will lead to differentiation in one direction or
17 another. So, it's not just the cell itself, but it's the
18 conditions in which they're assayed. So, it is obviously a
19 highly complex question.

20 DR. GEARHART: I should have pointed out that
21 ES cells and EG cells do not exist in situ. These are
22 artifacts of culture, and that's another issue here. These
23 aren't a naturally occurring stem cell within an embryo or
24 a fetus.

25 DR. PROCKOP: I'm Darwin Prockop from Tulane

1 | now. I'm here kind of as an advocate of the FDA
2 | considering the possibility of using cells from the same
3 | patient, that is, stem-like cells maybe lineage-restricted.
4 | I think that's a real possibility. It's a possibility that
5 | our laboratory and Berber Laboratories are pursuing. So,
6 | you'll be hearing the cells that Catherine Verfaillie will
7 | be describing quite soon, I believe, in the next talk.

8 | Under conditions we've recently developed, we
9 | seem to have no limit on number of cells we can produce.
10 | So, from small bone marrow aspirate, we can produce 10 to
11 | the 13th cells in 6 to 8 weeks. We have fully
12 | characterized those cells, but we don't think we've reached
13 | the limit of expansion, and they still stay multipotential.
14 | So, I would very much hope the FDA still considers that
15 | possibility of cells coming from the patient who's going to
16 | be treated.

17 | DR. SALOMON: One thing that you brought up I
18 | wanted to get your comment on -- and I'm sure we're not
19 | going to settle it here, but I think one of the fascinating
20 | things is the observation that if you put these into an
21 | adult animal, I'm assuming, that you're getting a
22 | teratocarcinoma. As a result, what you're doing is
23 | culturing for several months in growth factors prior to
24 | transplantation.

25 | One of the things that simplistically has been

1 | said is an advantage of stem cells in many different
2 | reviews of the subject has been that the signals for stem
3 | cell differentiation exists in a local site. There's
4 | nothing simple in stem cell biology. Forgive me for
5 | simplicity. But the simplistic idea would be then if you
6 | put these in a site, that they shouldn't develop as a
7 | teratocarcinoma. In other words, where are these
8 | microenvironmental signals? Because I think thinking about
9 | these microenvironmental signals is going to be very
10 | critical, for example, in neural cell transplantation,
11 | right, in order to guide these down the right developmental
12 | paths?

13 | Do you have a comment?

14 | DR. GEARHART: My comment on this would be a
15 | factor of cell number. We've known for many years working
16 | with mouse ES, mouse EC cells that it's an issue -- for
17 | example, when you return cells to what's called a
18 | blastocyst, if you're making a chimera, you can override
19 | that system very easily by placing too many cells within
20 | that environment. It may become an issue of how many cells
21 | or what the draw-down is with respect to factors within an
22 | environment based on the number of cell types that you have
23 | there. That's an easy explanation.

24 | The hematopoietic story I gave you is a little
25 | bit more complicated I think because we've had these cells

1 | in culture for months, essentially, and then FACS sorted
2 | them and then came out at the other end with these two
3 | tumors. Now, this was in an initial experiment of which we
4 | did 70 animals. We found them there. We've subsequently
5 | repeated and haven't found any more tumors.

6 | But is it an issue that we've taken a cell,
7 | it's somehow differentiated to a certain degree, and then
8 | has dedifferentiated, for a lack of a better term? Or have
9 | we carried always along this stem cell -- I mean, this one
10 | that's an ES cell rather than a more differentiated type?
11 | Don't know.

12 | DR. GAGE: I think the issue of local
13 | environment is really critical in the process of
14 | differentiation of the cells. But I also really support
15 | John's statement that the ideal cell for transplantation
16 | wouldn't be the purified, most primitive stem cell
17 | necessarily because that cell may be less able to read that
18 | local environment. Particularly when going back into the
19 | adult host or the damaged host in some way, while there is
20 | a local environment that contains cues that can lead that
21 | cell down to perhaps appropriate lineage, it has to be
22 | mature enough to be able to read those signals. So, once
23 | again, we come back to this idea as to whether or not the
24 | most primitive cell is actually mature enough to be read by
25 | the local environment that can drive the cell down that

1 lineage, and understanding how to get from the primitive
2 cell to a cell that can read those environmental cues that
3 remain I think is really a very important challenge for
4 everybody.

5 DR. SALOMON: Excellent. I think that then
6 should be considered another underpinning of the discussion
7 in the next two days.

8 DR. MULLIGAN: In the case of the concept of
9 lineage-restricted stem cells from your EG cells, what
10 specific cases do you have where you can actually get from
11 your bulk culture an amplifiable lineage-restricted stem
12 cell population? I'm thinking about cell banking. I think
13 what you were saying was it would be nice if you have
14 something that was focused on a lineage, but I'm not clear
15 whether you've actually shown that that is possible.

16 DR. GEARHART: Well, our lab has been looking
17 in three areas. One is the neural cells; the second,
18 hematopoietic; the third is endodermal.

19 What we have been able to demonstrate is we
20 have a number of clonal lines with the neural phenotype
21 that have been working very effectively, long-term culture
22 robustly growing that we've been using in a number of co-
23 culture experiments. They're now into the transplantation
24 experiments. They've been in continuous culture since last
25 August approximately with the same markers and empirically

1 giving us the same results. So, we do have I think a more
2 general neural line from that standpoint.

3 The endodermal lines apparently are working the
4 same way.

5 Is that the question?

6 DR. MULLIGAN: That's the question.

7 I guess then the other point is the definition
8 of lineage-restriction, of course, depends on what it's put
9 in and where it sits. So, what's the likelihood that,
10 although in vitro it may look like a lineage-restricted
11 stem cell, again depending on where you put it, it may have
12 great plasticity. So, is it necessarily possible that
13 there is such a thing as a lineage-restricted stem cell?

14 DR. GEARHART: I worry about this all time,
15 obviously. We are getting examples of this cross-talk of
16 taking a neural cell, giving it to our hematopoietic
17 collaborators, and showing that it will do something
18 different in another environment. So, we are seeing
19 examples of this. But we don't have a lot of numbers to
20 show you, but we are learning that we have some examples of
21 that.

22 DR. MULLIGAN: On Rusty's point, maybe it's
23 possible that exactly the opposite might be the case, that
24 is, having a more early cell may actually make it more
25 capable of sensing injury and doing the right thing than a

1 more differentiated. So, I was curious, Rusty, what case
2 in point makes you think that having a more differentiated
3 stem cell would allow it to better sense local environment?

4 DR. GAGE: Well, a very specific example would
5 be in certain areas of the brain where neurogenesis
6 continues. If you implant into those areas neural cells --
7 let's say, fetal-derived propagated cells -- that they
8 migrate just to this region, they can differentiate into
9 neurons as evidenced by morphological criteria. If you
10 take a more primitive cell like a hematopoietic cell and
11 put them into that same area, they don't. They get to the
12 area and they don't read, as one example.

13 So, I'm not saying that there couldn't be other
14 environments where primitive cells could do it, but I
15 submit that in some cases the environment may be quite
16 restricted as to what signals it can demonstrate. It
17 doesn't mean we can't change the environment, but there may
18 be some restriction in those environments.

19 DR. MULLIGAN: I guess I would caution us that
20 we think that this lineage restriction issue may be very,
21 very complicated and by, for instance, taking the
22 hematopoietic stem cells in vitro and putting them in some
23 other broth or cocktail of factors, they may then behave
24 like a neural-restricted stem cell.

25 DR. MACKLIS: If I could make a couple of

1 | comments on these last two points. I think another issue
2 | we need to raise that comes directly from what Rusty Gage
3 | said is how sharply do we define the pie of what we call
4 | lineage-restricted. There are estimates of hundreds of
5 | types of neurons in the central nervous system, maybe a
6 | hundred types in the cerebral cortex itself. Is neural-
7 | restricted or neuron-restricted enough?

8 | A second point is, regarding Rich Mulligan's
9 | point, there is evidence from our lab and many other labs
10 | that later and later stage, partially differentiated
11 | neuroblasts or neurons can at least repair certain
12 | circuitry with much higher efficiency. I think it would
13 | argue that we really need to take those many, many steps
14 | from the immature cells up through maturity correctly.

15 | DR. MULLIGAN: But, again, I think the issue
16 | that I'm raising is, is that a truly fixed property of
17 | those cells? Although they behave in that way, do we know
18 | enough about what their state is to make sure that that
19 | state is a truly fixed state as opposed to if those cells
20 | or another cell type was, again, cultured or manipulated in
21 | a different fashion, they would behave in that --

22 | DR. MACKLIS: Right. Well, I assume that's the
23 | lower right, knowing incompetence or something. I think we
24 | know that we don't know enough yet.

25 | DR. CHAMPLIN: Clearly at some point, cells

1 | become irreversibly differentiated. You can't make a
2 | granulocyte into a neural cell, although that may be
3 | possible at a more primitive point in hematopoiesis.

4 | At least my view of this is this is a spectrum
5 | of differentiation where there's not discrete cells as much
6 | as a continuum of cells with different proliferative and
7 | differentiative potentials that slowly diminish as the
8 | cells mature along a given lineage. So, trying to define
9 | each point on this continuum is certainly complex.

10 | DR. MACKLIS: If I could make a quick point on
11 | that, there is some new evidence, some of it still
12 | controversial, that even what we thought were continuously
13 | differentiating glial lineage, away from the neuronal
14 | lineage, some of those cells may actually dedifferentiate
15 | into precursor cells and then back into neurons, and that's
16 | just emerging now. And I think we all have to deal with
17 | that.

18 | DR. REID: I wanted to raise the issue on this
19 | microenvironment. One of the areas of microenvironment,
20 | that at least in the past was not discussed as much, was
21 | the matrix chemistry. I listened to some groups from
22 | Johnson and Johnson where they were discussing issues of
23 | fetal brain transplant, and I was stunned to learn that
24 | when they're doing this for Parkinson's patients, they had
25 | to use as many as eight fetal brains in order to try to get

1 | some measure of efficacy on some of the patients.

2 | And there have been preliminary studies from
3 | Titan in which, when they take particular neuronal cells --
4 | this was not human. I think it was in pig cells -- and
5 | bind them in any kind of adhesion state, that they got
6 | tremendous increase in their efficacy.

7 | So, I think one of the whole areas of
8 | microenvironment that may help in directing toward lineages
9 | is that the adherent cell populations, lung, liver, brain,
10 | are not going to be ones where we can just simply inject
11 | them. We may try that but I think it will be tremendously
12 | improved if the injection procedures will involve actually
13 | embedding them in some form of matrix before we inject
14 | them. It will dramatically improve efficiency and the
15 | survivability of the cells.

16 | DR. SNYDER: I think another thing that at
17 | least we in the nervous system have to realize is that we
18 | don't know exactly what it's going to take to restore
19 | function in most diseases, and even though we tend to think
20 | of diseases as being one cell type that needs to be
21 | replaced, if we simply replaced the neurons, we're home
22 | free, the reality is we may need to recreate the whole
23 | milieu, which means more than one cell type, which means
24 | the neurons, but maybe also the glia that create
25 | homeostasis, that nurture, that support, the myelinate. It

1 | may be that we need to do co-grafts. We may need to
2 | implant very restricted cells at the exact same time as
3 | more plastic cells that play off of these cells, play off
4 | of the environment to really reconstruct the milieu. So,
5 | it may be more complicated than simply saying let's just
6 | replace a dopaminergic neuron or a GABAergic neuron or a
7 | motor neuron.

8 | DR. RAO: I just wanted to reiterate what all
9 | of this tells us is how little we do know about a lot of
10 | the things that we want to regulate. We don't know what's
11 | true about the matrix. We don't know what's true about
12 | definitions of the cells that we want to use, and we don't
13 | know about the environment in which we want to put the
14 | cells back in. We should keep all of that in mind.

15 | DR. SALOMON: I think that's probably a good
16 | place to stop for the moment. We've got two days to
17 | discover what we don't know. I suppose these things are
18 | sort of humbling experiences for all of us.

19 | I certainly think that the idea of defining
20 | what is the state of knowledge and where the gray areas are
21 | is exactly what the FDA wants from us. I don't think
22 | anybody here should be concerned about stopping and saying,
23 | hey, really I don't have the foggiest idea. I think that's
24 | okay.

25 | I'd like to introduce the next speaker. I'm

1 | trying to remember my French. Is it Catherine Verfaillie
2 | or Verfaillie?

3 | DR. VERFAILLIE: Verfaillie.

4 | DR. SIEGEL: Could I just add while she's
5 | getting hooked up? There may have been some misperceptions
6 | from one of the questions from the floor. We're certainly
7 | not here to decide which is the right cell source or which
8 | is the right matrix or whatever. We're trying to get
9 | information about what are the appropriate controls,
10 | testing, and whatever to set the ground for safety for
11 | human research.

12 | DR. VERFAILLIE: I will also be talking about
13 | cells that actually are not embryonal in origin but
14 | actually can be derived from some adult tissues which may
15 | have potential of differentiating in a number of different
16 | lineages, potentially also in neuronal or neuroectodermal
17 | cell types.

18 | This is sort of the same that you just saw from
19 | Dr. Gearhart. There are totipotent stem cells in an embryo
20 | obviously, and then there are embryonic stem cells. Then
21 | you have the mature lineage-specific stem cells which are
22 | present both in embryos, fetuses, and in adults. There's a
23 | lot know, for instance, about the hematopoietic stem cells,
24 | and there's a very quickly growing body of evidence or
25 | studies that actually address stem cells for other organs

1 both endodermal, neuroectodermal, and then mesodermal.

2 My lab has for a long period of time worked on
3 hematopoietic stem cells. A few years ago, we started to
4 also think about a different cell type which is called a
5 mesenchymal stem cell, or a cell that was known to be in
6 the bone marrow that can differentiate to certain forms of
7 mesoderm, cartilage, bone, fat, fibroblasts. So, that's
8 really where the studies I will present to you today and I
9 actually put it in perspective of the field today is
10 starting with cells that we thought initially were
11 mesenchymal cells. But it seemed to have a subgroup of
12 cells that have much broader potential than pure
13 mesenchymal. I will come back to this also in the latter
14 part of my talk.

15 There is from adult sources of tissues now
16 growing evidence that certain of these cells have
17 plasticity and can maybe become a cell that we never
18 thought they could become. The question ultimately is
19 going to be, is that really a lineage-committed cell or is
20 it a subpopulation of the lineage-committed cells that have
21 more immature features and actually could remain
22 multipotent and actually aren't truly lineage-committed
23 yet? And I don't think there's really any answer in this
24 area at this point in time.

25 So, when we started this, the area of

1 mesenchymal cells has been longstanding. Actually the
2 first person to identify the cell is Fridenshtein back in
3 the '70s who isolated from bone marrow a cell he called
4 colony-forming unit-fibroblast which was a cell that, when
5 cultured in the presence of fetal calf serum, adheres to
6 plastic and it makes small colonies. He initially
7 described it in mouse. Lots of people who work in the
8 hematopoietic field have used this because these cells
9 actually support hemopoiesis.

10 A big group who has done a lot of work on this
11 is the group from Arnie Caplan in Cleveland and some of
12 that technology has actually moved to Osiris where they
13 also used a similar approach to purify mesenchymal cells
14 and then identified a number of antibodies that if used in
15 combination, identifies cells that have the potential to
16 become bone, cartilage, fat, skeletal muscle, and possibly
17 heart muscle, although that's not 100 percent proven at
18 this point in time.

19 Paul Simmons identified an antibody that he
20 called Stro-1 which was made against what he thought
21 initially a CD34 positive blood cell in the bone marrow,
22 but it ultimately turned out to be something that
23 recognized a stromal precursor. Therefore, Stro-1. And if
24 he uses this antibody together with VCAM, he can isolate,
25 to almost homogeneity, cells that have the ability to make

1 bone, cartilage, and fat. And he calls these cells
2 osteoprogenitor cells.

3 Darwin Prockop, who is here, has done a lot of
4 work on these cells too. He also uses the plastic adhesion
5 method. He has been able to show that these cells can
6 differentiate again in bone, cartilage, fibroblasts, fat,
7 and muscle cells and possibly -- and I will get back to
8 that too -- cells with neuroectodermal characteristics,
9 cells that at least express proteins consistent with
10 astrocytes and possibly even other neuronal cell types.

11 When we started work, we actually thought that
12 we should take bone marrow cells, and since we wanted non-
13 hematopoietic cells, we depleted blood from the bone marrow
14 by depleting 45 and then cultured these cells in relatively
15 defined culture conditions. The initial idea was that we
16 were going to use these cells pretty quickly as stromal
17 support for transplantation of hematopoietic cells or as
18 gene vehicles for certain genetic disorders. So, we wanted
19 to come up with a "defined culture condition" and try to
20 get away from fetal calf serum as much as we could to try
21 to be able to move into the clinic quickly.

22 So, we used low glucose DMEM, PDGF, beta-beta,
23 EGF, and then a number of nutrients in the culture system.
24 And 7 to 21 days after we started depleting these cells in
25 culture, we see small clusters, some of them spindle-like

1 and some of them almost star-like cells that grow out of
2 these cultures.

3 The frequency in an average college student at
4 the University of Minnesota is about 1 in 2,000 to 1 in
5 5,000 of the 45 negative glyco-4 neo-negative cells. If
6 you take small children, the frequency is actually higher.
7 If you take people that are 50 years old, the frequency is
8 lower. So, it's anywhere between 1 in 1,000 to 1 in
9 probably 8,000 to 10,000.

10 We now know that what we grow out of these
11 initial cultures is a very much of a mixture of cells of a
12 large population of cells that are truly mesenchymal stem
13 cells, which are cells that can make cartilage, bone, and
14 fibroblasts and adipocytes, and then we believe a very
15 small subpopulation of these cells which has much more
16 multipotent capabilities. So, we actually called this cell
17 a multipotent stem cell, or actually being a hematologist,
18 usually we call it a multipotent progenitor cell because
19 stem cell has a bad connotation to it.

20 We don't know the phenotype of the cells up
21 front. We've done extensive phenotypic characterization of
22 the cells once we have sufficient cells to actually find
23 out what they are. The multipotent progenitor cell
24 phenotype -- again, it's not a homogeneous population, but
25 if we have these cells in the population, the cells usually

1 don't express CD44. They don't express HLA-DR type 2.
2 They express little or no HLA-ABC, the beta-2-microglobulin
3 negative. They express a number of characteristics down
4 here. They obviously respond to PDGF, and so they have the
5 PDGF receptor. They have an FGF receptor, EGF receptor.
6 Interestingly, a small subpopulation of the cells expresses
7 the LIF receptor, and there's gp130 present but not any
8 other members of the gp130 family, such as IL-6 or 11 or
9 CNTF receptor. A small subpopulation of the cells is
10 stained with SSEA4, which is an antibody that recognizes ES
11 cells and EG cells.

12 Once the cells are grown to confluency, they
13 actually only have mesenchymal cell capacity anymore. And
14 at that point in time, the phenotype switches. They lose
15 this SSEA4 expression and they actually become 44 positive.
16 They remain HLA-DR negative but become HLA-ABC positive and
17 beta-2-microglobulin positive. They also lose expression
18 of the LIF receptor.

19 If we take these cells and culture them, we can
20 generate up to -- we have now made 70, 80 cell doublings,
21 and that's not shown here.

22 Initially if we grow the cells and we don't
23 subclone cells, we have a cell doubling of the majority of
24 the cells every 40 to 48 hours. If we go beyond here, and
25 actually over time, we actually grow out a cell population

1 that grows much, much slower, and the cell doubling looks
2 almost like 96 to 100 hours. At this point in time the
3 cells are actually much more homogeneous. Actually the
4 markers that I showed you on the previous slide that would
5 recognize cells with more multipotent potential become
6 expressed significantly more.

7 What I didn't bring, we actually have molecular
8 markers in cells that are grown out here. They're very low
9 expressed here, but are expressed forms cells that are
10 maintained for very long periods of time in culture. There
11 are markers that would be found in germ cells just like
12 OCT4, SOC-2, and then obviously the LIF receptor. So, you
13 actually can enrich for these markers if we culture the
14 cells for very long periods of time. We actually get a
15 much more homogeneous cell population.

16 One of the things we've started to look at is
17 whether these cells have extensive self-renewal potential
18 or extensive growth potential. So, the cells express
19 telomerase. If we culture the cells under the right
20 conditions, which means very low density, and split them on
21 a very regular basis, you can see here that we don't use
22 telomere length after 35 cell doublings. We haven't really
23 gone any further than that yet.

24 This is a 52-year-old donor. We compared
25 telomere lengths at 10 cell doublings and 35 cell doublings

1 compared to telomere lengths that we found in lymphocytes.
2 in the same donor. You can see that the telomere length is
3 much longer in these multipotent-containing cell
4 populations compared to lymphocytes. These is just a cell
5 line with short telomeres and long telomeres as a control.

6 Now, how do we need to do this to get these
7 multipotent cells?

8 First of all, we can't grow them at very high
9 cell density. So, they have to remain under 4 to 5 times
10 10 to the third cells per square centimeter if we grow
11 them.

12 We have tried to clone them singly, and we have
13 had a very, very difficult time. So, we actually have been
14 able to get down to 10 cells per row and 1 or 2 cells per
15 row, but we can't do this from fresh bone marrow. So, we
16 actually have to grow the initial cell population and then
17 subclone it at that point in time. I think we can now do
18 it at 2 cells per row, and we're trying to get down to 1
19 cell per row.

20 If they become confluent they actually lose
21 this multipotentiality and they lose the longevity. They
22 start losing telomere length. They do no longer express
23 telomerase. As I will show you, they actually don't have
24 the ability anymore to differentiate into even endothelium,
25 which is also a mesodermal cell type.

1 We tried to repeat this in mouse. Actually in
2 mouse it's even more interesting because we cannot even
3 start with CD45 negative cells. There is something in the
4 rest of the bone marrow that supports the initial growth of
5 the cells. But after you start using full bone marrow, you
6 can actually come up with the same cells that actually have
7 the same cell surface markers and obviously have
8 significant cell expansion. We actually haven't been able
9 to show all the differentiations quite yet.

10 So, it looks like these cells are present in
11 bone marrow from humans anywhere from the age of 2 to 55,
12 as well as in mouse. In mouse, there is suggestive
13 evidence that they may actually be present in other organs
14 as well and that they may be present in organs outside of
15 the bone marrow.

16 As I mentioned, if we take these cells, 1 and 2
17 to up to 10,000 cells, depending on the age of the cells,
18 will be mesenchymal cells mainly and a small population of
19 multipotent cells. If we subclone and actually try to come
20 up with the frequency of multipotent cells, we think 1 in
21 the initial 1,000 cells is a multipotent cell. And by
22 doing sequential subcloning, you can actually increase the
23 frequency. So, we're really working hard to come up with
24 populations that are much purer so we can come up with
25 positive selectable markers, whether it's cell surface or

1 | whether is by genetic markers.

2 | The other interesting thing that we found is
3 | that we found that these cells express the LIF receptor.
4 | If we now sort up front from fresh bone marrow, based on
5 | the LIF receptor, we actually enrich significantly for
6 | cells with this characteristic. However, LIF doesn't seem
7 | to be required in the culture of the human cells even
8 | though it is required in the culture of mouse cells.

9 | I'm not going to go through all the different
10 | lineages. I just listed them here. The mesoderm we can
11 | divide into splanchnic mesoderm and visceral mesoderm. And
12 | mesenchymal cells have been defined as cells that can
13 | differentiate in most cell types of the splanchnic
14 | mesoderm, so fibroblasts, adipocytes, osteoblasts,
15 | cartilage, and skeletal muscle.

16 | The cell populations that we have, whether they
17 | are containing the multipotent cells or not, can be induced
18 | to differentiate along these lineages. We can get
19 | homogeneous cultures of bone, and we can get homogeneous
20 | cultures of cartilage, which is articular cartilage, but
21 | there is also hypertrophic cartilage in there. So, we're
22 | actually, aside from looking at some other cell types,
23 | trying to understand why we differentiate the cells too far
24 | and actually get hypertrophic cartilage rather than regular
25 | cartilage.

1 If you try to induce muscle differentiation,
2 this does not go spontaneous. So, none of these
3 differentiations do happen spontaneously, except that if
4 you let the cultures become confluent, you get fat and
5 fibroblasts. So, in contrast to embryoid bodies where you
6 get spontaneous differentiation with removal of leukemia
7 inhibitory factor of certain cell types, we do not see
8 that. So, to induce skeletal muscle differentiation, we
9 can either actually use 5-azacytidine or induce the cells
10 short term with retinoic acid and maintain them in the same
11 culture, and we get cells that are myoblastic in
12 characteristics. They form myotubes, so they actually have
13 some of the contraction characteristics of skeletal muscle
14 cells.

15 Cardiomyocytes. We also don't see spontaneous
16 differentiation into this direction. If we treat the cells
17 with retinoic acid and then FGF and BMP-4, we do get cells
18 that express markers of cardiomyocytes. We have
19 spontaneous areas of contraction in the dish, but
20 significantly less so than what you see when you have
21 embryoid bodies that differentiate. And we actually do not
22 have full proof that we get cardiomyocytes at the end.

23 If we treat the cells -- and I didn't point
24 this out -- the undifferentiated cells express one of the
25 receptors for VEGF, the Flk1 positive, and so if we treat

1 | the cells simply with vascular endothelial growth factor,
2 | we can make it differentiate into cells that express von
3 | Willebrand factor and a number of other markers consistent
4 | with endothelial cells. If we grow them onto collagen
5 | gels, they actually make vascular tubes, and so it looks
6 | like we can make these cells differentiate into endothelial
7 | cells.

8 | For the lineages listed here, we have data,
9 | using retroviral marking, that a single cell can give rise
10 | to all of the cells with protein characteristics consistent
11 | with the different cell types using clonal insertion site
12 | analysis of cells that were cultured initially transduced,
13 | and then we can find the same insertion location in all the
14 | differentiated progeny.

15 | So, compared to mesenchymal cells that have
16 | been described, which make usually these types of cells and
17 | possibly cardiomyocytes and smooth muscle cells, if we have
18 | more undifferentiated cells present, we definitely can make
19 | endothelial cells. And I will get back to that too. There
20 | is quite a bit of evidence in the literature that there's,
21 | indeed, bone marrow-derived cells that can make
22 | endothelium, that can make skeletal muscle, and it can make
23 | all of these cell types.

24 | Being a hematologist, if we think about
25 | mesoderm, the other mesodermal cell type is obviously

1 blood. So, based on the data from a number of
2 investigators showing the possible existence of
3 hemangioblasts, cells that are initially Flk1 positive but
4 don't express any markers of endothelium or blood, but
5 depending on how you culture them, you can make them become
6 endothelial cells, meaning CD34 positive that then express
7 von Willebrand factor or hematopoietic CD34 positive cells
8 that do not express von Willebrand factor, we thought that
9 we should at least give it a try and see if we could take
10 the same cultures and induce them to differentiate into
11 something that would have hematopoietic characteristics.

12 To try to do that, we used a couple fetal
13 feeder layers that were from fetal liver and tried a number
14 of different cytokine combinations. Initially we just
15 analyzed the cultures by molecular markers and looked for
16 things that were present in early hematopoietic cells like
17 GATA-1, cKit, and a number of other things. And we were
18 all excited when we saw that these markers came up, but we
19 haven't really made hematopoietic cells out of these cells
20 in any way, shape, or form.

21 But when we tried to do that, we kept seeing
22 cells that had morphology that didn't look at all like
23 hematopoietic cells to me. I'm not a neuroscientist but
24 even from back in medical school, some of these cells
25 looked like they had at least the morphology of neural type

1 cells.

2 So, in this particular culture, we took these
3 mesodermal progenitor cells, which again weren't
4 homogeneous at all -- this was a mixture of cell types --
5 and cultured them with a number of hematopoietic cytokines,
6 stem cell factor, vascular endothelial growth factor on
7 this fetal liver feeder that supports murine and human
8 hematopoietic stem cells, AFT024. And these cultures also
9 had EGF. So, that's what we kept coming up with.

10 We had to go to the literature because, as I
11 said, my lab is not at all neuroscience oriented. So, we
12 actually looked in the literature and found out that EGF
13 and basic FGF are two growth factors that are important in
14 neurogenesis. We know that this feeder makes a large
15 amount of basic FGF.

16 So, we've repeated some of these studies in
17 more purified conditions and actually used basic FGF
18 together with EGF and then looked over time whether we
19 would again be able to induce differentiation to cells with
20 morphology and markers that would be consistent with
21 neuroectodermal cells. If you do this under defined
22 conditions, 80 to 90 percent of the cells probably died
23 during the initial phases of the culture, and then we get
24 cells to differentiate into cells that express markers that
25 are consistent with neuroectodermal cells, like tubulin-

1 | beta-3, neurofilaments, NSE, and MAP-2. So, the vast
2 | majority of the cells under these conditions have neuronal
3 | markers, although there's a small subpopulation of cells,
4 | usually about 10 to 20 percent of the cells that survive,
5 | that have markers consistent with astrocytes and
6 | oligodendrocytes. We don't have any functional data on the
7 | cells at this point in time.

8 | We confirmed this by PCR and Western Blot and
9 | showed again that you find myelin basic protein, GFAP, and
10 | neurofilament-200 in the cells that are induced to
11 | differentiate, again here with EGF and basic FGF. And we
12 | can find at the protein level the same markers to be
13 | expressed.

14 | In collaboration with a person at the
15 | Neurosurgery Department at our institution, we have started
16 | to take some of these cells and implant them into the brain
17 | of immunosuppressed rats. We've implanted them mainly as
18 | undifferentiated cells. We've taken some of the basic FGF
19 | induced cells and implanted them, and they do survive in
20 | vivo, but we don't really have much more data than that.

21 | We've put them in undifferentiated. We're not
22 | sure what we actually see. We see that cells survive, and
23 | these were GFAP-marked. Unfortunately, the way they
24 | treated the brain, we've had a hard time getting around the
25 | problems with autofluorescence, and so we actually haven't

1 really been able to use the green fluorescent protein and
2 have had to come in with a secondary antibody.

3 So, you can see here, these are the cells that
4 were implanted 2 weeks before. These undifferentiated
5 multipotent cells are very large and the cells that you see
6 here are very large too. Interestingly, some of these
7 cells express markers consistent with neural
8 differentiation even though at 2 weeks of time in the brain
9 they're very large still, and we're actually not sure
10 whether this is differentiation or whether this is
11 nonspecific staining at this point in time.

12 This is 2 weeks after transplantation into the
13 rat brain, nestin staining, and this is 6 weeks after.
14 This is the area where we put the graft. You can see cells
15 with a much more elongated morphology than the cells that
16 you saw on the previous slide. They're nestin positive,
17 but we couldn't prove at this point that these are not rat
18 in origin and cells that were recruited from the normal rat
19 brain into the area where we put the cells in.

20 Interestingly, though, if you looked at an
21 animal in whom we had caused a stroke and actually asked
22 the question whether implantation of these undifferentiated
23 cells in the brain would have a functional effect, this is
24 shown here. So, this is a sham animal, and this is just
25 one test that was done 6 weeks after implantation of the

1 multipotent cells. This is limb placement in a sham
2 animal. This is an animal in whom we caused a parietal
3 infarct 2 weeks prior to implantation of the cells infused
4 with saline. This is the medium that comes from the
5 cultured cells. So, there's just a medium from the
6 multipotent cell culture. And this is where we implant the
7 multipotent cells in the brain.

8 Again, this shows that there is improvement
9 functionally, but this doesn't prove in any way, shape, or
10 form that the improvement is due to neural connections or
11 actually recruitment of cells present in the rat brain to
12 the area because of the implantation of multipotent cells
13 here.

14 Now, do we have proof that any of these cells
15 are stem cells? In the previous discussion, there were a
16 lot of questions about how long self-renewal has to be.
17 Does it have to be unlimited? Does it have to be for a
18 certain period of time? We have evidence that in the most
19 undifferentiated cells telomerase is present. We don't see
20 shortening of telomeres, so we've only looked really at 35
21 to 40 cell doublings, but we can grow the cells to 70, 80,
22 or more cell doublings if we grow them under the right
23 conditions, low density and with subcloning.

24 We have evidence that these cells remain
25 cytogenetically stable at least in 3 of the donors that

1 we've tested currently.

2 We have shown multi-lineage differentiation,
3 but to prove that it was a stem cell, we would have to
4 really be able to show this at the clonal level. We
5 haven't been able to sort them singly, but using retroviral
6 markers, we've at least shown that the mesodermal
7 differentiation is single cell-derived. And I can't prove
8 to you today that actually we can get some of these
9 neuroectodermal marking cells also from the same cell
10 derived.

11 We have no data currently on if we implant
12 these systemically in an animal what will happen to this.
13 We know that they survive and you can find them in
14 different tissues, but we do not know currently whether
15 they will differentiate into the right phenotype.
16 Obviously, that's why we're working on the mouse model, to
17 try to be able to do this in a more rigorous fashion than
18 trying to do human into an immune deficient animal.

19 So, how does this fit with what we all thought?
20 We all have thought about tissue-specific stem cells
21 present in postnatal individuals, whether it's humans or
22 nonhumans. We've always thought that hematopoietic stem
23 cells were hematopoietic stem cells and mesenchymal stem
24 cells were mesenchymal stem cells and neural stem cells
25 were neural stem cells, and that there wasn't really this

1 ability within this population to take on other fates.

2 But over the last two or three years, there is
3 a very significant number of papers that have come out
4 suggesting that there is more plasticity in stem cells than
5 we thought to be present beforehand. What I don't know is
6 whether these cells are committed, dedifferentiate, and
7 redifferentiate, or if there is really a subpopulation of
8 cells that will have this more multipotent potential that
9 would be more similar to that of the multipotent ES cell or
10 embryonic germ cell.

11 There is the paper that came out two or three
12 years ago now by Ferrari, showing that at least in a model
13 where they cause damage in the muscle, bone marrow cells
14 could contribute to muscle regeneration in the animal.

15 Richard Mulligan's group has shown that a
16 population of cells that is very quiescent in the bone
17 marrow that contains hematopoietic stem cells, which he
18 calls side population cells, if that is infused in animals
19 that have muscular dystrophy, that these very rare side
20 population cells from the bone marrow, which have
21 hematopoietic potential, can contribute to muscle formation
22 in these animals. It can actually improve the muscular
23 dystrophy in these animals.

24 The Pittsburgh group a couple of years ago has
25 shown that in a mouse transplant model, that cells that

1 | were transplanted with bone marrow into an animal can
2 | contribute to liver regeneration. This has been confirmed
3 | by a group from Yale and New York both in mouse and
4 | possibly in the humans.

5 | Irv Weissman and Marcus Grompe have the same
6 | data. If they use a, quote, hematopoietic stem cell
7 | population for mouse that is fairly well phenotypically
8 | defined, that these cells not only can reconstitute the
9 | hematopoietic system, but may also be able to reconstitute
10 | the liver.

11 | There's a number of groups that have shown that
12 | bone marrow can contribute to endothelium. As I mentioned
13 | in the beginning, Dr. Prockop's group, Dr. Kopen and his
14 | group have shown that if you implant mesenchymal cells in
15 | the brain of a rat, that you have cells with astrocytic
16 | characteristics.

17 | And my lab has shown that, again, the cells at
18 | least have the phenotype of neuroectodermal cells. Whether
19 | they function as neuroectodermal cells is still a question.

20 | The other cell source that has been looked at
21 | is Peggy Goodell who has shown that SP cells from muscle
22 | can actually differentiate in blood.

23 | Then there is a number of groups who have
24 | suggestive evidence that neural stem cells might actually
25 | have the ability to differentiate into hematopoietic cells.

1 So, the question really will be is there
 2 plasticity, and can bone marrow become endothelium? And is
 3 it actually a cell that switches its genetic makeup and
 4 becomes another cell, or is there a small subpopulation of
 5 cells that can be identified phenotypically and by genetic
 6 markers that is a multipotent cell that is a descendent
 7 somehow from ES cells or embryonic germ cells that is
 8 present in multiple different organs, and if you are able
 9 to select the mouse and put them back into the right
 10 environment, can take up the fate of that environment? So,
 11 it goes it round and round that it is indeed a
 12 subpopulation of cells and most organs that have this
 13 pluripotent potential is still a question.

14 So, since this is a neural stem cell oriented
 15 meeting, what is the evidence that marrow cells can become
 16 neural cells? There is, I would say, currently soft
 17 evidence that that might be possible. As I mentioned, Dr.
 18 Prockop's group has shown cells with astrocyte morphology
 19 and astrocyte markers and possibly even neural markers that
 20 can be derived from mesenchymal cells derived from humans.
 21 And our lab has the same evidence possibly in vitro as well
 22 as in vivo.

23 But I don't think there is any data currently
 24 to indicate that we go through the regular neural cell
 25 development. We have cells that stain positive with

1 | nestin, but we don't know whether there is a neural stem
2 | cell-like cell derived from multipotent cells or whether
3 | the differentiation is actually correct.

4 | We obviously have no data whatsoever at this
5 | point in time that these neuroectodermal-like cells have
6 | functioned as such cells.

7 | So, I think in this area the biggest question
8 | really is going to be to try to, first of all, come up with
9 | a much more better defined cell population. Currently a
10 | lot of laboratories are using plastic adhesion, which is a
11 | fairly crude method to purify the cells. What we did
12 | initially was CD45 depletion, and that is a very crude
13 | method too. The frequency of the cell that has this
14 | multipotentiality is extremely low in there.

15 | So, a major effort in multiple labs is ongoing
16 | to try to come with positive selectable markers, because
17 | they're cell surface markers or gene trap methods to try to
18 | come up with a more purified cell population such that we
19 | can actually evaluate the cells much better and actually
20 | define exactly whether a single cell has this
21 | differentiation potential.

22 | So, there is really very little known about
23 | cell surface markers. There's very little known about the
24 | expressed gene profile. Currently we really are depending
25 | on functional definitions, which means that you have to

1 | grow the cells for 6 months in the laboratory and be able.
2 | to show that you get lineage differentiation from a single
3 | cell. But actually coming up with these markers will be of
4 | extreme importance to try to really nail down what we have.

5 | There is some suggestive evidence that cells
6 | might be able to differentiate into neuroectodermal cells,
7 | but that will need to be nailed down much more extensively
8 | before these cells could be used in a clinical setting.

9 | The studies that I showed you on the functional
10 | recovery of some of these animals are consistent with some
11 | of the studies that Dr. Prockop has where he also shows
12 | functional improvement after implantation of these cells in
13 | the brain, but it could essentially be that you implant the
14 | cell that produces certain cytokines that recruit local
15 | stem cells or progenitor cells in the brain itself that
16 | then ultimately make functional improvements.

17 | Once we have nailed down the cell, then the
18 | same questions will come up as what came up with the
19 | embryonic stem cells and embryonic germ cells: At which
20 | point do you then use these cells?

21 | We have given mixtures of cells to now SCID
22 | mice and looked at them 6 to 8 weeks later and haven't seen
23 | teratomas. The cells we injected, though, knowing what we
24 | know, back then had very few of these multipotent cells
25 | present. So, I think if we get better at purifying the

1 multipotent cells, we may see incorrect differentiation as
2 has been shown for embryonic stem cells, although currently
3 we don't really have any data to suggest that. We haven't
4 really seen any teratomas being formed.

5 But if you think about a cell that has the
6 potential to make bone and cartilage and to use that cell
7 and take it undifferentiated and implant it in the brain or
8 any other organ, it may not be something that you want to
9 do. There may be some problems with even more primitive
10 cells like ES cells and embryonic germ cells to do that.

11 So, I think these questions will only be able
12 to be answered once we have a better handle on what these
13 multipotent cells that are present in individuals or
14 animals have as characteristics.

15 I think that's it. I'll stop there.

16 (Applause.)

17 DR. SALOMON: Yes, please.

18 DR. KOLIATSOS: Thank you.

19 Dr. Verfaillie, your approach raises acutely
20 the issue of who is what and who becomes what in this
21 field. In particular, the first point I would like to
22 raise is that I would be very careful, very cautious before
23 I characterize any type of immunoreactivity in the brain,
24 be it GFAP or nestin or what have you, as originating from
25 the cells you put in the brain, unless if you use dual

1 | labeling procedures or have wonderful cytological and
2 | morphological evidence. I don't know if these are the
3 | cells you put or the reactions of the brain to the cells
4 | you put or, more importantly and perhaps more
5 | interestingly, induction of indigenous neurogenetic
6 | gradients and other processes by the cells you put in the
7 | brain. We have a tendency to focus too much on what our
8 | cells do and we don't forget that we may change
9 | fundamentally neurobiology by putting these cells, be they
10 | hematopoietic or neural stem cells, in the brain.

11 | DR. VERFAILLIE: Yes, I fully agree with that.
12 | I think I was trying to be very cautious in trying to say
13 | what we have at this point in time. We have cells that
14 | have these markers, but we don't have dual labeling. The
15 | main reason is we're actually doing everything over with
16 | cells that haven't been GFAP-labeled because that seems to
17 | be a major problem in us trying to find out. So, we're
18 | planning to either use BrdU-labeled cells or specific human
19 | antibodies to try to double label and prove that the
20 | transplanted cells are indeed the cells that have these
21 | markers.

22 | The cells I showed you were 2 weeks after
23 | transplant.

24 | DR. KOLIATSOS: Which is not trivial to do, by
25 | the way.

1 DR. VERFAILLIE: Right, I know. So, that's one
2 of the reasons why we don't have it yet.

3 (Laughter.)

4 DR. KOLIATSOS: The second point I'd like to
5 say is that here we tend to be very particular about what
6 constitutes a germinal cell or an embryonic stem cell.
7 Having a neuroscience background, I would like not to be
8 less strict in how I define a neuron. Neurons are cells
9 that make all these wonderful phenotypic markers and at the
10 same time make connections in the afferent and efferent
11 sense and also generate, propagate potentials and transduce
12 electrical into chemical in the synapse. And for our
13 patients in particular, I would like nothing less but our
14 cells, whatever we put into brain, to eventually become, if
15 they are going to be used as neurons, to become neurons
16 with all the features of neurons.

17 DR. VERFAILLIE: Correct. That's right. I
18 fully agree there too. I think we have data to suggest
19 that proteins get turned on that are consistent with T-
20 cells, but we have no functional data. Even though we saw
21 functional improvement, I would be the last one to say that
22 this is due to a nexus between the new cells and the host
23 brain cells. It might well be cytokines being produced by
24 the undifferentiated cells you place into the brain.

25 DR. SAUSVILLE: So, to pursue that thought from

1 | our questioner, do you therefore recommend or do you think
2 | we should consider actual assays of function for particular
3 | uses? Because if you pursue your argument, a substantia
4 | nigra neuron is not the same as a caudate neuron is not the
5 | same as a spinal cord neuron. So, how would you address
6 | the uses, or do you think we should be addressing potential
7 | uses with respect to the functions we would assay?

8 | DR. KOLIATSOS: The answer is in some form,
9 | yes. It depends on the clinical question. It depends on
10 | the context. But you need certainly more information, and
11 | certainly you need to have an idea what generates your
12 | functional advantage or benefit. Is it the cells you put
13 | or what you've done to the host tissue? You have to have
14 | some measures to figure those things out because I believe
15 | they have clear implications in terms of longevity of the
16 | treatment approach, complications, et cetera, et cetera.

17 | The argument here again is that I believe I
18 | have the sense that here we're facing a dark area, a new
19 | biology which needs to be defined in a very fundamental,
20 | basic way, not what the cells are, but what also they do to
21 | the entire circuitry and whatever is going on in the brain.
22 | It's a totally new area and needs to be treated as such.

23 | DR. MACKLIS: If I could simply reinforce that
24 | comment, I would argue not just in some cases yes, but I'd
25 | say absolutely yes, that we have to figure out the disease

1 | process we'd like to reverse and whether that can be
2 | reversed simply by a neurotransmitter production locally as
3 | a mini pump versus rebuilding circuitry, and that we need
4 | to look at that anatomically and functionally. Also,
5 | individual markers may not at all define a neuron. In
6 | fact, a cell may express a neuronal marker and might
7 | express a glial marker at the same time.

8 | DR. GAGE: Did you say that your cells make
9 | FGF?

10 | DR. VERFAILLIE: No, they do not make FGF.
11 | They're responsive to FGF, but they do not make FGF.
12 | Actually we looked at it. When they are treated with FGF
13 | on the date and start making it, I don't really know that.

14 | DR. GAGE: Do you know what other cytokines are
15 | made by --

16 | DR. VERFAILLIE: In the undifferentiated cells,
17 | we find KGF, VEGF.

18 | DR. GAGE: Is VEGF secreted? Do you know if
19 | any of these are secreted from the cell?

20 | DR. VERFAILLIE: We don't know if it's
21 | secreted, but they do make it. They make keratocyte growth
22 | factor. They vascular endothelial growth factor, and I'm
23 | blanking on the third one that we've actually found to made
24 | at the RNA level and protein level. We don't know the
25 | amount that is there. We haven't looked whether they make

1 BDNF or GDNF or any of the other neuronal growth factors
2 and cytokines. So, we haven't really looked at that.

3 DR. SALOMON: Have you looked at angiopoietin,
4 by the way? That came up in a gene screen in Science a
5 couple months ago in CD34 stem cells.

6 DR. VERFAILLIE: We've done several arrays and
7 I can't remember whether angiopoietin is on there. I would
8 have to look that up. I don't know. But they definitely
9 do make VEGF.

10 DR. GAGE: So, in a general sense, ideally in
11 neurobiology we'd like the cells to differentiate into
12 competent cells for the local environment and reconstitute
13 the area. But maybe another goal we should be at least
14 aware of or attuned to is that some of these cells might in
15 and of themselves provide local factors which could have
16 some reparative properties locally. We're sort of casting
17 this off as an artifact of the cell and, in fact, maybe in
18 some cases that's exactly what we'd like the cells to do.
19 If we can learn more about what the cells do and
20 differentiate them down lineages where they persist,
21 perhaps in an undifferentiated state, but secret factors
22 which can induce repair, that should be or could be a
23 target for utilization as much as a completely
24 differentiated cell which may be even a more difficult task
25 to achieve.

1 DR. MACKLIS: I didn't mean in any way to cast
2 that aside. It was more that I think we should have that
3 as the goal. Oh, in this circumstance, we'd like these
4 cells to make X and Y factor and prove that they do that.
5 Or maybe it will be in the upper left. It will be --
6 whatever it was -- unknowing competence, that maybe we'll
7 just find it out serendipitously and then we'll figure that
8 out.

9 DR. SALOMON: Dr. Prockop?

10 DR. PROCKOP: I'd like to congratulate
11 Catherine on summarizing a huge amount of data very
12 beautifully for us here. This is a new area. There are
13 many very big questions that we really can't speak to.

14 But on one point, I think the data are quite
15 good that at least some of these cells take on the
16 characteristics of astrocytes in the central nervous
17 system. Don Phinney and Ausim Azizi have been able to do
18 experiments with double labeling with BrdU and markers.
19 Ausim Azizi, who is here, has actually been able to grow
20 out astrocytes and infusing human astrocytes in rat brain
21 and grow out human cells that have the morphology of
22 astrocytes and stained for GFAP.

23 That doesn't prove functionality, but coming
24 back to Fred's point there, our thinking about these cells
25 is, yes, maybe as wild type cells in the brain, they may do

1 nice things. They may repair the brain. We don't know
2 that. There are many big questions to be answered. But I
3 would submit that they really last a long time and they
4 migrate and integrate in the brain.

5 So, one appeal to us is just vectors for the
6 kinds of growth factors that might be helpful in one
7 condition or another. They do last long periods of time.
8 Ausim Azizi has been able to recover them after 6 months,
9 even human cells in rat brain, but we of course prefer to
10 stay with rat cells in rats and so on.

11 But I think the potential is very broad with a
12 lot of big questions still unanswered.

13 DR. DRACHMAN: The other side of that is where
14 we put those cells. Why did the, say, hippocampal neurons
15 die to begin with? We are hoping or making the assumption
16 that all we need to do is put in at least pluripotential
17 cells. The circuitry will be regenerated, but we're
18 putting it into a microenvironment that no longer supported
19 the cells that were there to begin with. What is our
20 belief or what is our faith that whatever is needed to
21 redirected even wonderfully defined, really pluripotential
22 cells or cells that have gone down a further pathway that
23 whatever microenvironment it is needed in order to
24 reestablish circuitry exists in the host?

25 DR. VERFAILLIE: Well, I would like to answer.

1 I don't know anything about circuitry in the host. I think
2 in other organs, for instance, the data on bone marrow
3 cells repopulating endothelial cells happens in areas where
4 there is damage to the endothelial cells. So, there is
5 obviously the cues locally to make primitive cells from
6 bone marrow become endothelial cells. The data that is out
7 there on bone marrow cells differentiating into skeletal
8 muscle would suggest the same thing.

9 So, there's something about a damaged
10 microenvironment, at least inflammatory damage, that causes
11 that. Well, muscular dystrophy that might be degenerative
12 -- you can maybe that under the same category. But that
13 wasn't in a transplant setting. So, there was, again,
14 probably inflammatory damage done first for the cells to
15 get there.

16 DR. DRACHMAN: Yes. That makes perfect sense,
17 but we're talking about things like Alzheimer's disease
18 where something has gone wrong that has enabled cells to
19 die. So, that's a little bit different.

20 DR. CHAMPLIN: There's precedence for other
21 organ systems in hematopoietic transplants where we
22 transplant stem cells to treat hematopoietic diseases.
23 Aplastic anemia is the classic example of a disease we can
24 treat by just giving more stem cells, at least in some
25 patients. Some patients have stem cell injury from a virus

1 | or something, and if that virus is then gone, you can then
2 | reconstitute hematopoiesis with stem cells. On the other
3 | hand, if they have an autoimmune disease that's ongoing,
4 | just giving stem cells will not work.

5 | So, in the analogous situation, if you have a
6 | neural disease where there is an active disease process
7 | that will affect the new stem cells, of course they
8 | wouldn't work unless you could overcome that underlying
9 | process. If it is a degenerative disease or if it is a
10 | toxic injury that is no longer present, then perhaps stem
11 | cells would be successful in and of themselves.

12 | DR. RAO: I think there are two reasons to be
13 | hopeful. One is that there's been a lot of recent data
14 | which suggests that there's ongoing neurogenesis. We also
15 | know from a lot of data in the past, there's ongoing
16 | synaptogenesis. So, we know that there is remodeling of
17 | circuits and connections which are being made and that
18 | these are being made all stages of development. So, cues
19 | exist.

20 | The other, I think, positive note to remember
21 | is that a lot of the neurodegenerative diseases are very
22 | slow and ongoing processes which take decades to appear.
23 | So, if you could provide a reasonable number of cells, even
24 | if they responded the same in cells, the likelihood of
25 | seeing symptoms would be long enough that there would be

1 therapeutic value. Does that make --

2 DR. DRACHMAN: Not really.

3 (Laughter.)

4 DR. DRACHMAN: That's a little bit different.

5 That's not quite the point. The point isn't whether we
6 supply viable cells, but whether the microenvironment to
7 redirect them exists at that point. That's different.
8 Those are host factors that are really important where
9 circuitry is key. That may not be so with Parkinson's
10 disease where really you're looking for a dopamine pump, to
11 be crude.

12 DR. RAO: No. What I was pointing out is that
13 there is ongoing synaptogenesis and ongoing circuitry
14 involvement or control. So, in that normal environment,
15 irrespective of whether you put in cells or not, there are
16 signals which are directing synaptogenesis and growth of
17 cells, and that's been clearly evident.

18 DR. DRACHMAN: Synapse is really one of the
19 keys of things like Alzheimer's disease.

20 DR. RAO: And that's a slow, ongoing process
21 which takes over 25 years perhaps to happen. Therefore,
22 even if you transplanted cells and they integrated, it
23 might take 25 years before you get Alzheimer's again.

24 DR. DRACHMAN: It's worth a try.

25 DR. KOLIATSOS: With all due respect, I

1 | disagree. I really disagree. I think this point actually
2 | is probably irrelevant because at the time you're called to
3 | do the treatment, the patient already has reached a
4 | threshold. Unless if you have biological markers to
5 | predict when somebody starts having Alzheimer's or ALS 10
6 | or 20 years ahead of time, this point is irrelevant.
7 | Unfortunately, when we're going to be called upon to put
8 | the cells, the patient is already symptomatic. So, there
9 | are plaques forming. There is cell death going on. The
10 | whole environment is totally different and has been
11 | different for decades.

12 | DR. MULLIGAN: I just wanted to get back to the
13 | question of mechanism. It was reminiscent of a lot of the
14 | gene transfer work in the past where there has been, I
15 | think, the continued argument about how much do you need to
16 | know about mechanism. If it works, go for it. I think
17 | that has led to some difficulties.

18 | Here I think the FDA has asked us to think
19 | about the question of how much preclinical work is
20 | necessary, and I think we're going to get right back to
21 | that very issue of when you implant neural stem cells, is
22 | it injury, is it really cytokines, is it forming the right
23 | connections? I think that we're going to have to think
24 | very, very carefully about how much we do want to know.

25 | A good case in point is, I'm reminded by, the

1 TK suicide gene therapy that people worked on where there
2 is very clear mechanism thought to be accounting for the
3 preclinical success, which I think in time proved clearly
4 not to be the mechanism. And this led to a very large,
5 costly pursuit that is of questionable ultimate value.

6 In this case here, I think you really have to
7 ask the question how useful would it be to know that your
8 neural stem cells are releasing several growth factors, and
9 that's the only thing that accounts for the success because
10 then you might find there are better vehicles, there are
11 better cellular vehicles to release those growth factors.

12 Therefore, I'd like to hear, as a non-expert at
13 some point during the day, in any case where there is
14 therapeutic effect, how much do we actually really know
15 about whether connections were made, what cytokine is
16 released, and whether you can get the same effect by poking
17 the needle in another direction or just poking a cytokine.

18 DR. DRACHMAN: One other question. You
19 mentioned that VEGF is produced by these cells. Will they
20 grow as well without VEGF, do you know? Have you blocked
21 it all? That's a question that one might really wonder,
22 the need for endothelial growth factors in order to
23 maintain this sort of function. Do you have any thoughts
24 on that?

25 DR. VERFAILLIE: We haven't really looked at

1 | trying to block that. So, this data is the last month's
2 | worth of data, that the VEGF is there. So, we haven't
3 | really been able to get around to trying to block whatever
4 | is being made. So, they have the receptor for Flk1 on the
5 | cell surface. Theoretically they can respond to the growth
6 | factor they make themselves.

7 | We don't get endothelial differentiation unless
8 | we take away the other two growth factors, EGF and PDGF,
9 | and add large quantities of VEGF. But it might well be
10 | that they are maintained based on an autocrine route almost
11 | of lower levels of VEGF. I don't really have an answer.

12 | DR. SAUSVILLE: So, one question I have -- and
13 | I guess this would be to Dr. Drachman. One clearly
14 | appreciates the concern that the microenvironment into
15 | which these cells are being introduced might be damaged as
16 | a basis for the original pathology. Nonetheless, I'd be
17 | interested in your thoughts and a comment as to one use of
18 | a safe product that we are called upon to advise the FDA in
19 | the definition of as actually defining some of these
20 | pathophysiologic states as a result of clinical trials.
21 | So, would you require that we actually know this
22 | microenvironment before we actually cut off the potential
23 | use of a tool to define the disease mechanism?

24 | DR. DRACHMAN: No way you're going to know
25 | that. You're hoping that you will know about thousands of

1 guidance factors that might be critical for forming the
2 circuitry. The answer is no. We're wondering here
3 whether, if we put clay into this setting, we'll get a nice
4 sculpture.

5 (Laughter.)

6 DR. DRACHMAN: That's one way of thinking about
7 it. We're hoping the sculptor remains there to do the job.
8 Otherwise, it's just clay.

9 DR. SAUSVILLE: I hope we could do that control
10 somewhere.

11 (Laughter.)

12 DR. KOLIATSOS: Actually can I make a comment
13 on that? This can have a little bit more specific answer.
14 For example, there could be a legitimate concern that if
15 you put cells in an Alzheimer's brain, you can have more
16 amyloidosis either via the cells themselves or via the
17 secondary inflammatory processes. You can use animal
18 models to test that. Some of these questions can be
19 tested. You can take the familial Alzheimer's disease
20 transgenic mice. It would be a wonderful model to test the
21 amyloidogenic potential, but many other issues of guidance
22 and recapitulation of development probably will not be
23 addressed.

24 DR. SALOMON: Can we have one brief question
25 and then I think we have to go on to the break.

1 DR. AZIZI: I'd like to make a couple of
2 comments. Ausim Azizi from MCP Hahnemann.

3 I sort of heard the fact of how much we don't
4 know about these cells, and that's very true. But we don't
5 know much about neural stem cells either. We can sit and
6 take pot shots for things that experimentally have not been
7 proved. But the thing that has been forgotten throughout
8 the whole thing is an important issue of how useful these
9 cells could be.

10 For example, these could be aspirated from the
11 patient's own bone marrow. It can be grown, as Dr. Prockop
12 pointed out, to multitudes of 10 to the 14 in 8 weeks. You
13 don't have to go to pig, you don't have to go to transgenic
14 animals, you don't have to go to embryos. And it comes
15 from the patient's own marrow. If it could be even the
16 slightest bit useful for treatment of any of the neurologic
17 diseases, I think we still have positive things.

18 So, those are the two comments I would make.
19 Thanks.

20 DR. SALOMON: Well, then my notes of this very
21 interesting discussion, which I'm artificially cutting
22 short just because I think it's time to go to a break and
23 we've got some more time to talk about it, three sort of
24 defining things came out that I got.

25 One was that defining the lineage and function

1 is going to be critical before concluding a cell is a
2 neuronal cell or a neural stem cell for a clinical trial
3 and that simple marker analysis may not be enough.

4 The second was that transplanting a cell that
5 produces reparative factors, such as growth factors, but
6 possibly what we consider now pro-inflammatory cytokines,
7 could be another benefit in addition to just becoming
8 neural stem cells. I think that came out quite clearly.

9 Third, I think this whole issue of
10 microenvironment in the transplant site has been very
11 clearly articulated. The idea I think stands that if
12 there's enough injury in a site proposed to be a transplant
13 site, due to the primary illness, you may not have the
14 signals any longer. I think that is an important question
15 that needs to be addressed perhaps for each setting and may
16 have a lot to do with at what point in a disease
17 progression you should be doing these sort of clinical
18 trials and maybe points where you have gone too far and
19 shouldn't be doing it. So, I thought that was really
20 excellent.

21 There's one other theme here that I hope we'll
22 come back to and that is if it's in the bone marrow, is it
23 just the fact that, hey, it was easy, I could find it there
24 and we tend to think about stem cells in the bone marrow,
25 but there's no really overwhelming, total physiologic