

TRANSCRIPT OF PROCEEDINGS

5158 '00 OCT -2 A9:43

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

67th MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Pages 1 thru 283

Gaithersburg, Maryland
September 15, 2000

MILLER REPORTING COMPANY, INC.

735 8th Street, S.E.

Washington, D.C. 20003

(202) 546-6666

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
67th MEETING

Friday, September 15, 2000
8:00 a.m.

Hilton Gaithersburg
620 Perry Parkway
Gaithersburg, Maryland

PARTICIPANTS

Blaine F. Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Mary E. Chamberland, M.D.
Richard J. Kagan, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Daniel L. McGee, Ph.D.
Mark A. Mitchell, M.D.
Terry V. Rice
Paul J. Schmidt, M.D.
David F. Stroncek, M.D.
Sherri O. Stuver, Sc.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Toby L. Simon, M.D.

TEMPORARY VOTING MEMBERS

Paul R. McCurdy, M.D.
Kenrad E. Nelson, M.D.
Carmelita U. Tuazon, M.D.

C O N T E N T S

Welcome and Opening Remarks 5

COMMITTEE UPDATES

Summary of Workshop on Recruiting Blood Donors,
Successful Practices:
Gilliam Conley 6

Summary of Workshop on Hemopoietic Cells from Cord Blood:
Ellen Lazarus, M.D. 20

Summary of Public Meeting on Regulation of Bone Products:
Ruth Solomon, M.D. 28

Summary of Joint Transmissible Spongiform Encephalopathies
and Vaccines and Related Biological Products Advisory
Committees Meeting:
David Asher, M.D. 37

Update on Rapid HIV Test Approval Requirements and
Standards:
Kimber L. Poffenberger, Ph.D. 47

**III. Current Utility of Screening Blood Donors
for Antibody to Syphilis**

FDA Framework:
Chiang Syin, Ph.D. 56

Background on Clinical Syphilis:
Lauri Markowitz, M.D. 66

Background on Diagnostic Testing for Syphilis:
Roger Y. Dodd, Ph.D. 79

Syphilis Surveillance and Blood-Borne Transmission:
Lauri Markowitz, M.D. 91

American Red Cross NAT Donor Study:
Sharyn Orton, Ph.D. 116

REDS Study of Syphilis Screening as a Surrogate Test:
Alan Williams, Ph.D. 132

Maricopa County STD Study:
Lauri Markowitz, M.D. 152

CDC Proposed Studies:
Dr. Hsi Liu 164

C O N T E N T S (Continued)

Open Public Hearing	
Presentations	
AABB, Louis Katz, M.D.	178
ABC, Celso Bianco, M.D.	182
Questions for the Committee:	
Martin Ruta, J.D., Ph.D.	185
Committee Discussion and Recommendations	186
IV. Classification of HLA Devices	
FDA Presentation of the Issue:	
Sheryl Kochman	209
Third-Party Review Program:	
Eric Rechen	220
Open Public Hearing	235
Charge to the Committee	235
Questions for the Committee:	
Sheryl Kochman	236
Committee Discussion and Recommendations	238
V. Report of Intramural Site Visit: Laboratory of Molecular Virology, Division of Emerging and Transfusion Transmitted Diseases	
Introduction and Overview:	
Hira Nakhasi, Ph.D.	252
Indira Hewlett, Ph.D.	257
Subhash Dhawan, Ph.D.	267
(Committee Discussion and Recommendations in Closed Session)	

P R O C E E D I N G S

Welcome and Opening Remarks

1
2
3 DR. SMALLWOOD: Welcome to the 67th Meeting of the
4 Blood Products Advisory Committee. This is the second day
5 of our meeting here. I am Linda Smallwood, the Executive
6 Secretary. Yesterday, I read the conflict of interest
7 statement that applies to both days of this meeting.

8 At this time, if there is anyone who needs to make
9 any declarations regarding any affiliation that may be
10 perceived as a conflict of interest regarding this meeting,
11 please do so at this time. If not, then we will proceed. I
12 would just like to remind anyone that is speaking, when you
13 go to the mike, please give your name and your affiliation
14 so that it can be recorded appropriately in the transcript.

15 At this time, I will turn the proceedings of this
16 meeting over to the Chairman of the Blood Products Advisory
17 Committee, Dr. Blaine Hollinger.

18 Dr. Hollinger?

19 DR. HOLLINGER: Thank you, Dr. Smallwood. We have
20 two items, basically, this morning. We first start out with
21 some committee updates on several workshops that have been
22 held and several other issues from advisory committees and
23 updates. Then we go into a session on utility of screening
24 blood donors for antibodies to syphilis. That will take
25 this morning.

1 This afternoon, we then have something on
2 classification of HLA devices and finally a report of an
3 intramural site visit on the Laboratory of Molecular
4 Virology.

5 So, having said that, we will start off, then,
6 with a summary of the Workshop on Recruiting Blood Donors,
7 Successful Practices, that was held July 6 to 7, 2000.

8 Gilliam Conley?

9 **COMMITTEE UPDATES**

10 **Summary of Workshop on Recruiting Blood Donors**

11 **Successful Practices**

12 MR. CONLEY: Good morning. It is nice to be part
13 of the opening act for the main events to follow later
14 today.

15 [Slide.]

16 In talking about the workshop that we held in
17 Rockville, it was difficult to fit all of the issues into
18 two days, but we pressed a lot of information in a very
19 tight time frame. So it is even more difficult to do a ten-
20 minute or so recap of that.

21 I was alarmed, as I was making bullet points, that
22 flying past some of these issues in a bullet point almost
23 makes them seem insignificant. Just keep in mind, please,
24 that each of the bullet points would all warrant a lot more
25 lengthy discussion so, if everybody will regard my

1 presentation this morning as the Readers Digest Condensed
2 Book that was made from the cliff notes of the meeting, then
3 we will all be in the right perspective for what we are
4 working with.

5 The committee has got a handout of the summary. I
6 will have a correction to that as I go through--a
7 clarification, really--as I go through the presentation.
8 So, even trying to condense it to a three-page summary, I
9 also made mistakes.

10 [Slide.]

11 There were a lot of people, when FDA announced
12 that they were going to have this workshop, wanted to know
13 why the FDA was having a workshop about donor recruitment.
14 I have to say that FDA has always been in support,
15 certainly, of donor-recruitment issues but the Public Health
16 Service at large became much more interested when, in 1999,
17 the National Blood Data Resource Center published a report
18 where they predicted significant blood shortages sometime
19 this year.

20 In defining why we would be involved, certainly,
21 as a member of the Public Health Service Group, the mission
22 to enhance the wealth and well-being of the public is part
23 of our mission and this workshop fits easily into that. The
24 FDA mission of guarding the safety and efficacy of the blood
25 supply in the U.S., likewise, low supplies certainly will

1 mean that there are safety issues to be concerned about.

2 But the most important part of the workshop was
3 really to share proven donor-recruitment strategies.

4 [Slide.]

5 These are just the basic facts about the meeting,
6 when it was held, where it was held. It was important to a
7 lot of our participants that, being a government-sponsored
8 workshop, they didn't have to worry about registration fees.
9 So some people on tight budgets could afford to come.

10 We advertised, as best we could, in the short time
11 frame that we had. It was published in the Federal Register
12 and our colleagues at ABC, AABB and the ADRP--and if you are
13 not familiar with that group, donor-recruitment
14 professionals group, all did the best they could to get the
15 information out.

16 We especially were appealing for donor recruiters
17 or even donor groups to participate in the workshop.
18 Indeed, about half of our participants at the workshop were
19 donor recruiters and we did have a few representatives from
20 donor groups.

21 We deliberately limited the time for the speakers
22 in the first day. We really put the pressure on them to
23 bring the most important facts out very quickly and in a
24 short time frame. In our second day, we had discussion
25 groups and we used facilitators for our discussion groups,

1 again to keep them on track. Each group has a focus task to
2 bring back to the main meeting.

3 I think this time pressure and this focus and this
4 facilitation kept things on track very well for the meeting.
5 Our speakers, for the most part, all rose to the challenge
6 and put a lot of information in a short period of time.

7 We also asked all of our speakers to be as fact-
8 based as they could. We wanted people who had observations
9 that showed that what they were reporting on was successful
10 over time. In the donor-recruitment literature, it is easy
11 to find anecdotal stories and we did not want to hear that
12 if we have a donor drive that has a luau theme that we get
13 more donors, unless you had done it repeatedly over a number
14 of years and could show that it made a significant
15 difference in how you were recruiting donors.

16 So that is what we were looking for. In a way,
17 the two-day session gave us both a set of fact-based
18 presentation and then a conventional wisdom because the
19 people who were there, many of whom were donor recruiters,
20 were in our discussion groups. So we compare, in some ways,
21 the things that have been proven versus the things that
22 people at a gut level think work.

23 You will see, when I present later, places where
24 there was not clear agreement. Mostly, it was because we
25 could see a difference in some of the presentations and what

1 people wanted to continue doing.

2 Ultimately, when we started planning this
3 workshop, our goal was to have a guidance document on donor-
4 recruitment. Again, this seemed to set a lot of people's
5 teeth on edge, the thought that the FDA might start setting
6 regulatory guidance about donor recruitment.

7 In fact, Dr. Epstein clarified at the opening of
8 the meeting that that was not the ultimate goal, that we
9 don't want to get into this in a regulatory way but in a way
10 to encourage blood donation. So we will get into areas
11 where there was clear agreement between the two days and
12 between virtually all the presenters that are key aspects
13 about blood donation and then we will cover where there is
14 not clear agreement.

15 [Slide.]

16 This is the area where I am very nervous because a
17 lot of these bullet points look very simple and succinct,
18 but there is a lot of detail and a lot of information that
19 goes behind them. Successful donor-recruitment programs are
20 multifaceted with demonstrated expertise in customer
21 relations, advertising and marketing, public relations and
22 in management issues. It is a wide spectrum of business and
23 marketing practices that have to be applied well to be
24 successful.

25 Successful programs exhibit a culture of hard

1 work, innovation and cooperation, at least the speakers who
2 came forward for us and talked about their program. These
3 seemed to be aspects of their programs.

4 [Slide.]

5 Successful programs have all the employees in
6 their organization focussed on blood donation and they are
7 all thanking and encouraging donors. This is especially
8 true among the collection staff, the people who have the
9 most face-to-face contact with the donors. Successful
10 programs emphasize panel recognition and there is a
11 difference between recognition and giving incentives and
12 gifts.

13 We heard, over and over again, that the long-term
14 donor who is the altruistic donor who is donating for
15 internalized reasons really can be turned off by incentives.
16 But what they are not turned off by are those constant
17 "thank you"s, the encouragement, the celebration dinner for
18 your multi-gallon donors once a year, public recognition of
19 the importance of their altruism and those kinds of issues.
20 That is what we mean by donor recognition.

21 So it is important that their altruistic behavior
22 be reinforced at each donation and at notable milestones in
23 their donation history.

24 [Slide.]

25 When it comes to advertising, successful programs

1 are keyed to an emotional appeal. They put a human face on
2 both donors and transfusion recipients. Pictures of empty
3 blood shelves don't really cut it. They don't do anything.
4 Those that tell the story of a transfusion recipient or tell
5 the story of a long-term donor, those advertising appeals
6 and campaigns do have an impact.

7 Advertising campaigns can definitely benefit from
8 partnerships. And we heard about partnerships with sports
9 teams, t.v. and radio stations that have been very
10 successful. Paid advertising, especially, also seems to
11 bring other benefits in that the donor centers establish a
12 relationship with the local t.v. and radio stations. We
13 were told that then, when they have to go on appeal and
14 there are public-service announcements made, they seem to
15 appear in better time slots when you are already also a paid
16 customer. So there is a spinoff benefit.

17 It was also noted, though, that advertising,
18 although it increases awareness, it does not put a donor in
19 the chair. People don't come flooding to your donor center
20 because they have seen your latest well-conceived, high-
21 impact ad, no matter how much of a human face you put on it.
22 To put a donor in the chair, you still need to ask them to
23 donate and preferably one-on-one face-to-face.

24 Successful corporate campaigns are those campaigns
25 that are run usually by a large corporation and they work

1 when you have buy-in and support from the top management of
2 the organization that you are working with. They also seem
3 to have a natural growth pattern in that once a corporate
4 campaign has been successful, the executive officers of that
5 company like to challenge similar companies to do the same
6 thing. And so there is a natural growth pattern to
7 corporate campaigns.

8 [Slide.]

9 Successful telemarketing, and telemarketing
10 includes those annoying people that always call you at
11 dinner time to try to sell you something. But blood-donor
12 centers have also used it successfully to call their donors
13 to schedule them for new appointments or to remind them of
14 existing appointments.

15 These seem to work well when they are linked to a
16 real-time donor database. By real-time donor database, I
17 mean that when I am sitting down as a telemarketer to make
18 my calls, I am not calling you when you just donated at 1:00
19 p.m. this afternoon and annoying you with an evening phone
20 call.

21 Instead, I have got real-time data that tells me
22 what your donor history is. Similarly in my database, when
23 I call you, I know, based on your donor history, when you
24 like to donate, where you like to donate. I know your blood
25 group so I know if it is one of the things my inventory

1 needs.

2 Not just picking up the phone and calling donors.
3 That is not telemarketing, but a system that is linked to a
4 database where things are tied together with real and
5 important information.

6 Successful donor education definitely belongs in
7 the schools as early as possible as part of the science and
8 health curriculum. We heard reports on Puget Sound's
9 successful educational program, My Blood Your Blood, that
10 was originally put together with an NHLBI grant and is now
11 being revamped and continuing under the auspices of AABC,
12 but, again, a program that has shown to be very successful
13 and, in the long run, if we want to have donors in the
14 future, we have got to get out there and educate our donors.

15 Pretty much the feeling of the group was that
16 community education is not as successful. It is nice to get
17 that information out, but where you get the real bang for
18 the bucks is educating the younger potential donor, the
19 child of potential donors, using that education and that
20 recruitment technique where you can.

21 [Slide.]

22 We heard heart-felt concern from virtually
23 everybody at the meeting that it is difficult in pressing
24 financial times and competitive times when the reimbursement
25 issues in the hospitals and healthcare have a trickle-down

1 effect to the blood centers that it is very difficult to put
2 together good programs and maintain good donor-recruitment
3 programs in that situation.

4 It was very clear that successful programs
5 recognize that donor recruitment is essential. They put it
6 up front and you see the difference in that belief in the
7 funding, staffing and involvement of donor-recruitment
8 people in organizational planning. You know, maybe this
9 should have been my first bullet point because that is the
10 overarching, recognize how important it is, put it up front,
11 support it, integrate it into your entire blood center and
12 you will see a difference.

13 So the successful programs are the ones that keep
14 the donor and the recipient as the focal point of their
15 purpose and what they are trying to accomplish.

16 Now into the area where there were discussions and
17 not clear agreement. So if I can see the next slide,
18 please.

19 [Slide.]

20 Incentives were clearly an area of concern,
21 confusion and disagreement. First of all, there was data
22 and evidence presented that incentives, and probably the
23 larger the incentive the more the impact, have an adverse
24 impact especially on long-term donation. You may get more
25 first-time donors but for building a cadre of long-term

1 repeat donors, incentives really don't work.

2 Yet, despite some of the evidence that was
3 presented at the meeting in the discussions, it was clear
4 that people are reluctant to give up their incentives. Here
5 I come to, in my summary, where you need to make a
6 correction because I mentioned that some states have
7 apparently outlawed incentives. Based on the remarks, as I
8 initially heard them, I said that New York was one of those
9 states, Dr. Linden has clarified for me that, indeed, all
10 that New York State really does is enforce FDA's incentive
11 issues as they understand them.

12 What the gentleman, Mark Thornhill, who reported
13 said was that they do not use incentives at their Red Cross
14 Center and it was supported by a very conservative approach
15 in New York. I think it is a matter of interpretation but
16 it also illustrates the confusion about incentive issues.
17 There was a strong request that FDA clarify where they stand
18 on incentives and, indeed, work is in progress. It will
19 probably be a guidance for field inspectors that will be
20 publicly available on exactly how to interpret the FDA's
21 stance on incentives coming out later this year.

22 Incentives are further clouded by the fact that
23 some of the things that we call incentives are also
24 advertising; t-shirts. The gentleman from Florida Blood
25 Services said, "If you are in the Tampa Bay area at any

1 sporting event, if you look around, you will see our t-
2 shirts."

3 Indeed, he described the efforts that they do to
4 come up with new and attractive designs, and t-shirts are
5 very important. Again, they bring in the first-time donors.
6 For them, they are also free advertising. So I guess you
7 have to balance those kinds of issues, too, in incentives.

8 Another area where there is not clear agreement
9 was all about advertising. While some centers reported
10 great success in using paid advertising and recruiting and
11 maintaining donors, there were still many that felt that
12 advertising was either inappropriate or unaffordable for
13 their centers. And so an ongoing debate there.

14 I recognize that some people are having great
15 success. I recognize that others still have problems with
16 the issue.

17 [Slide.]

18 As a spinoff of our discussion about the success
19 of corporate donor-recruitment programs, there was some
20 discussion about whether corporations should be able to
21 realize tax breaks for the expenses associated with those
22 programs. Again, there was a lot of skepticism about that
23 as an issue and, certainly, it is not within FDA purview to
24 say anything about the tax schedule, especially the business
25 tax schedule, but it is not a cheap affair to sponsor a

1 corporate donor program because usually a lot of the donor-
2 recruitment personnel are coming from your own center.

3 There may be dinners. There may be awards. There
4 may be incentives that are given out usually which the
5 corporation pays for. Of course, once you open that door,
6 then you get into issues about should they be able to
7 somehow expense the time off for donors to donate and so it
8 was seen as quite a quagmire. I think that is why a lot of
9 the skepticism on that issue.

10 [Slide.]

11 Those are kind of the issue-related things. I
12 wanted to present to this group, on the next slide, at least
13 one more issue just because we heard it spontaneously from
14 many different people that, in their blood centers, one of
15 their problems is a conflict between their donor recruiters
16 and their donor operations staff.

17 What it come down to is if I am bringing in more
18 and more people and then I want you to handle them
19 competently, but you have limited resources to do that, and
20 now, because you have limited resources, you have offended
21 one of the people I recruit so they won't come back again,
22 there is a natural tug here between the two.

23 What it comes down to is the most successful
24 organizations have integrated the recruiting and encouraging
25 of donors so that permeates the entire organization and it

1 is part of everyone's job. So, together, they find
2 solutions to resolve the problems while remaining focused on
3 their primary goals.

4 Some organizations have made this shift to a new
5 approach to an integrated system of recruiting donors. It
6 sounds like many blood centers are still struggling with
7 that change and many of them said that they needed to
8 institute some kind of a culture shift in their own
9 organization.

10 A lot of information in two days and where do we
11 go next.

12 [Slide.]

13 Again, recognizing that donor recruitment is not
14 the area of FDA's expertise, the people at the America's
15 Blood Center have agreed to spearhead the writing of a
16 document about best practices or successful practices in
17 donor recruitment and contact person is on this slide, Susan
18 Parkinson, at ABC.

19 The reviews that we got from the participants at
20 our workshop were some of the best we have seen at any FDA
21 workshop.

22 [Slide.]

23 So I have to, on the next slide, at least
24 acknowledge the speakers. My time is brief so I am not
25 going to read all the names, but these were the people who

1 came and spoke for us.

2 [Slide.]

3 In this slide, you will see the people who
4 facilitated the discussions on Day 2.

5 [Slide.]

6 On the last slide, I just have to thank the people
7 that were on the planning committee for the workshop and a
8 special thank you to Susan Parkinson at ABC who was very
9 helpful in identifying people who had successful practices
10 so that we could contact them and invite them to speak at
11 our workshop.

12 I will be happy to answer any questions.

13 DR. HOLLINGER: The next item is a summary on
14 Hemopoietic Cells from Cord Blood, Dr. Lazarus.

15 **Summary of Workshop on Hemopoietic Cells from Cord Blood**

16 DR. LAZARUS: Good morning.

17 [Slide.]

18 The Cord Blood Meeting was sponsored by the
19 National Heart, Lung and Blood Institute and CBER. It was
20 held at the Mazur Auditorium of the Clinical Center of NIH
21 on August 14 and 15. This was predominantly a scientific
22 meeting as opposed to a regulatory meeting.

23 It consisted of cord blood bank, clinical
24 transplantation and basic scientific presentations followed
25 by panel discussions which summarized the main points of

1 each session and gave the audience to ask questions and
2 express opinions.

3 Several of these presentations included clinical
4 and nonclinical data that were submitted to the docket in
5 response to the FDA requirement for data for proposed
6 Hemopoietic Stem Progenitor Cell Standard that was published
7 in the Federal Register and an unedited transcript of this
8 meeting will soon be up on the web.

9 [Slide.]

10 The objectives of the two-day meeting were first
11 to discuss the current status of unrelated allogenic,
12 placental in local blood banking and transplantation and
13 secondly to discuss the scientific issues regarding the
14 characterization of placental and local cord blood grafts
15 and the data supporting development of cord blood-product
16 standards and then, finally, to identify future research
17 directions

18 [Slide.]

19 The first session consisted of presentations by
20 representatives of public cord blood banks in the U.S.,
21 Canada and an international organization, NETCORD. There
22 was data presented addressing the major issues in cord
23 blood-bank collection, procedures, processing and frozen
24 storage and infectious disease testing, HLA testing, product
25 characterization, shipping and thawing.

1 [Slide.]

2 And then the panel discussion at the end of this
3 first session focused on the similarities and differences in
4 cord blood-bank practice among the centers. One of the
5 major differences that was apparent is in the way the
6 various centers perform and report cord blood-product cell
7 counts and by ability and other assays used to characterize
8 the product.

9 Some of the donorists suggested the development of
10 uniform criteria for product cell counting possibly
11 supported by voluntary laboratory certification programs.
12 Another major area of nonuniformity is in criteria for donor
13 exclusion. All of the panelists were able to agree that, as
14 a minimum requirement, all cord blood units should be
15 characterized with respect to total nucleated cell counts,
16 ABO/Rh type. They should all undergo hemoglobinopathy
17 screening and, of course, HLA typing.

18 However, a recurrent issue, the optimum level of
19 resolution for the HLA typing remains unresolved.

20 [Slide.]

21 The second session addressed essential issues for
22 communication between cord blood banks and transplant
23 centers. The presenters provided information regarding
24 search algorithms used to select the optimal cord blood
25 product for each patient. Among the most important criteria

1 that fell out were HLA match, cell dose and confirmation
2 that the transplant will be performed under an IRB-approved
3 protocol.

4 Most of the current systems that were described
5 were automated on-line systems. Web-based systems are being
6 developed and then, interestingly, several multibank
7 cooperation levels were presented.

8 [Slide.]

9 In the next session, transplant outcome data were
10 presented describing the effects of HLA disparity, cell
11 dose, recipient age and underlying disease on engraftment
12 and survival outcomes. There was a comparison of pediatric
13 bone-marrow transplants and umbilical-cord transplants from
14 HLA-identical sibling donors. And then data were presented
15 from the New York Blood Center, St. Louis, consolidated data
16 from Duke and University of Minnesota.

17 Transplant outcomes in 68 high-risk adult
18 recipients were presented and some Japanese data.
19 Basically, the data showed that umbilical-cord blood has
20 been successfully transplanted in hundreds of pediatric
21 patients and a much smaller of adult patients, and that cell
22 dose is the major determinant of transplant outcome while
23 extensive HLA disparity is also very important.

24 [Slide.]

25 The next session consisted of other scientific

1 presentations. Among the topics presented were ex vivo
2 expansion of cord blood and several talks on different
3 approaches to identify and characterize hemopoietic stem
4 cells and their possible correlation with engraftment
5 potential.

6 [Slide.]

7 Then, at the end of the meeting, a list of
8 possible standards, standards for cord-blood products, was
9 derived based on the presentations and discussions that had
10 occurred during the two-day forum. The general attitude
11 expressed at the meeting was not resistant to the
12 development of cord-blood products and transplantation and
13 the suggestions that were derived are as follows.

14 The products should be processed and stored in an
15 accredited lab setting or cord-blood bank and should be
16 collected in accordance with some standards. The donors
17 should undergo infectious-disease screening and testing.
18 Maternal and family history should be obtained and maternal
19 informed consent should be obtained.

20 The minimum product volume was recommended to be
21 30 milliliters and the product should be sterile or free of
22 bacterial contamination. The product should be
23 characterized with respect to ABO/Rh, HLA typed at the A, B
24 and DR beta 1 loci. It should be characterized with respect
25 to the post-processing, cell counts and possible viability.

1 The transplant unit should be selected to be a
2 minimum of three out of six locus HLA match and the
3 suggested cell dose for small recipients, under
4 50 kilograms, was 2 times 10^7 per kilogram and, for larger
5 recipients, over 50 kilograms one times 10^7 per kilogram
6 recipient body weight.

7 [Slide.]

8 Finally, the future research directions were
9 presented. This lists for you some of the suggestions that
10 were made. One is development of voluntary certification
11 programs for cord blood banks. There is interest, of
12 course, in techniques to increase cell dosing and these can
13 include ex vivo expansion combining units and perhaps other
14 strategies that are yet to be developed.

15 There is interest, of course, in development of
16 DNA-based technologies for infectious-disease and sterility
17 testing and for development of laboratory markers to detect
18 the true hemopoietic stem progenitor cells.

19 There is interest in pursuing prospective
20 comparative studies of cord blood versus bone-marrow
21 transplantation in pediatric patients and well as consuming
22 and expanding ongoing studies of the efficacy of cord blood
23 for transplantation in adults.

24 And then, finally, it would be important to
25 investigate the possible multipotentialities of cord blood

1 cells.

2 Thank you.

3 DR. HOLLINGER: Thank you, Dr. Lazarus. Dr.
4 Linden?

5 DR. LINDEN: On your second-to-the-last slide, you
6 mentioned recommending or saying that products should be
7 stored and collected by an accredited bank. My question is
8 accredited by whom and, if you are referring to private
9 organizations such as FACT and AABB, is FDA considering
10 recognizing private organization and accreditation? Could
11 you please elaborate that issue?

12 DR. LAZARUS: As I said, the meeting was basically
13 a scientific meeting so, at the end of the meeting, when
14 discussion occurred, there really wasn't a discussion of who
15 should be making the standards and enforcing the standards
16 but rather there was an acceptance of the concept of
17 standards and accrediting organizations.

18 So who would do it wasn't really discussed.

19 DR. HOLLINGER: Dr. Stroncek?

20 DR. STRONCEK: There has been national activity
21 around stem-cell donations and collections for about fifteen
22 years as far as unrelated donors. Cord blood activity
23 really goes back almost ten years. It is probably about
24 five years it has been really popular. I commend the FDA in
25 the battle you fought to get this under some kind of

1 organization around it. I know it has been a difficult
2 battle, so I would encourage you to go ahead and set up your
3 standards.

4 We have heard a lot about FACT. I am Chairman of
5 the NMDP Membership Committee and all these organizations
6 are trying, but there is kind of a consensus. They have a
7 consensus on the areas you pointed out, but as far as the
8 tightness of the regulation, it is not there unless the FDA
9 moves forward and comes up with their own standards and
10 starts to handle it like a blood product.

11 For example, you mentioned cell counts. Cell
12 counts is one area where, as you pointed out, there is huge
13 disagreement on even how to do a cell count, what a cell
14 count means, where that is in stark contrast to the
15 regulation and the care we must use for the reagents we use
16 for ABO typing or for viral testing. These results are very
17 comparable from one center to another across the country.

18 I can tell you these cell counts are not
19 comparable across the country. As the data suggest, cell
20 count is a critical measure in these outcomes. So I applaud
21 the FDA's efforts and encourage them to move forward and to
22 bring up the basic aspects such as cell counting in cord
23 blood banking up to the same standards we have in blood
24 banking.

25 DR. HOLLINGER: Thank you. Thank you, Dr.

1 Lazarus.

2 The next presentation is on a summary of the
3 Public Meeting on the Regulation of Bone Products. Dr.
4 Solomon.

5 **Summary of Public Meeting on Regulation of Bond Products**

6 DR. SOLOMON: Good morning.

7 [Slide.]

8 I am going to be summarizing an open public
9 meeting held on August 2 of this year.

10 [Slide.]

11 The meeting was announced in the Federal Register
12 on July 18 and the title of the meeting was Human Bone
13 Allograft Manipulation and Homologous Use in Spine and Other
14 Orthopedic Reconstruction and Repair. The meeting was held
15 in Bethesda and a docket was set up.

16 The purpose of the meeting was to provide
17 information to help FDA in clarifying the regulation of
18 human bone allografts under the proposed approach to the
19 regulation of cellular and tissue-based products.

20 To give you some background, in February of '97,
21 FDA announced a risk-based approach to the regulation of a
22 broad spectrum of cells and tissues where the degree of
23 regulation would be proportional to the risk. Since '97,
24 FDA has published two proposed rules to implement the
25 proposed approach.

1 They are the registration proposed rule and the
2 donor suitability proposed rule. A third rule on good
3 tissue practice will be published shortly.

4 [Slide.]

5 Criteria for determining risk is set forth in the
6 proposed rules. For some products with low risk, there
7 would be no premarket submission, to review and approval of
8 an application and the concerns would be focused on
9 preventing communicable disease transmission, the legal
10 authority being Section 361 of the Public Health Service
11 Act.

12 In order for a human cellular and tissue-based
13 product to fit into this category, all four of the following
14 criteria would need to be met. The product would need to be
15 minimally manipulated, and I will define some of these terms
16 a little later, not promoted or labeled for any use other
17 than a homologous use, not combined with or modified by the
18 addition of any component that is a drug or device, and does
19 not have a systemic effect, with certain exceptions.

20 Other products which do not meet all four of these
21 criteria would be more rigorously regulated as a biologic,
22 drug or device requiring a premarket submission to FDA.

23 [Slide.]

24 The meeting focused on the first two criteria,
25 manipulation and homologous use. Many comments to the

1 docket of the proposed rules had expressed concern that
2 bone allografts would be regulated as medical devices rather
3 than as 361 tissues. FDA asked five questions to focus the
4 discussion. The first asked which processing procedures
5 apply to human bone allograft fall within or outside of
6 FDA's proposed definition for minimal manipulation.

7 [Slide.]

8 The definition, as proposed, for minimal
9 manipulation for structural tissue such as bone meant
10 processing that does not alter the original relevant
11 characteristics of the tissue relating to the tissue's
12 utility for reconstruction, repair or replacement.

13 Examples of such minimal manipulation procedures
14 were given in the preamble to the proposed rules.

15 [Slide.]

16 Public discussion and comments on manipulation
17 included the following. Some people felt the criterion
18 should be eliminated. Some felt, because the definition was
19 vague, we should repropose it with more specificity and
20 examples. Others felt that the definition should be
21 pertinent for each product type.

22 Other comments said that preshaping or threading
23 bone, whether in the tissue bank or in the operating room,
24 does not alter its original relevant characteristics. And
25 this was really the main focus of the meeting in that there

1 is a product called a bone dowel which is a cylindrical
2 segment of bone machined to have threads, like a screw, and
3 used in spinal fusion.

4 They perform a similar function to metal
5 prostheses and the metal prosthesis are regulated as medical
6 devices, so the concern was that the bone dowels might also
7 be regulated as medical devices. But this comment mentioned
8 that threading, which is done to a bone dowel, should be
9 considered minimal manipulation.

10 [Slide.]

11 Others suggested that any process which does not
12 alter the essential microstructural elements of the
13 allograft is a minimal process. Still others felt that the
14 result of manipulation should be more important than the
15 fact of manipulation, that validation to insure that
16 processing does not affect the product was the way to go
17 and, as an example of more than minimal bone products
18 modified by genes, genetic therapy, was felt to be more than
19 minimal but anything less severe than that would be
20 considered minimal.

21 The second question focused on homologous use,
22 which use of human bone allograft fall within or outside of
23 FDA's proposed definition of homologous use which appears on
24 the next slide.

25 [Slide.]

1 By homologous use, we meant the use of a cellular
2 or tissue-based product for replacement or supplementation.
3 And for structural products, such as bone, it occurs when
4 the tissue is used for the same basic function that it
5 fulfills in its native state in a location where such
6 structural function normally occurs.

7 In other words, the function of the bone in the
8 donor and the function in the recipient were the same,
9 essentially the same. The proposed rule focused on whether
10 the product was promoted or labeled for any use other than
11 homologous use. Examples were given in the preambles.

12 [Slide.]

13 Again, the public discussion on this point varied.
14 Some people, again, said, "This is not a good criterion.
15 Eliminate it." Others said it was vague, we should
16 repropose the definition with more specificity and examples,
17 and the definition should be pertinent to each product.

18 Others pointed out that when an allograft is used
19 in the same manner as an autograft, it should be considered
20 homologous use.

21 [Slide.]

22 Others wanted us to tweak the definition a little
23 and say "same basic characteristics" instead of "same basic
24 functions." But the main points that were made were that
25 fusing bone to bone should be considered homologous use. We

1 are not trying to replace the disc but rather to fuse bone.

2 These bone dowels, for instance, had a long
3 history of safe and effective use in spinal fusion and there
4 was a lot of literature on them. Finally, the use of bone
5 anywhere in the skeleton or in any orthopedic procedure
6 should be considered homologous use.

7 [Slide.]

8 Then we asked what risks to health have been
9 identified and characterized for human bone allograft
10 products. The comments ranged from, "There are no risks,"
11 to other comments that said, "We should evaluate risk by
12 looking at what alternatives the surgeon had." The
13 alternatives were either to use an autograft which had much
14 more morbidity than an allograft or to use a metal
15 prosthesis which weakened over time.

16 However, some people did point out that there were
17 certain risks to using bone in spine, and those included
18 infectious-disease transmission, possible collapse of the
19 bone dowel, non-fusion in the spine, a graft versus host
20 response. One comment suggested that we would do a risk
21 assessment for each product and if significant new risk
22 exists, then we should promulgate additional regulations.

23 [Slide.]

24 The fourth and fifth questions were similar. We
25 asked what controls have been identified to adequately

1 address the risk to health in bone allograft products.

2 [Slide.]

3 The discussion pointed out that there are industry
4 standards, the AATP, American Association of Tissue Banks,
5 has industry standards and, also, the ASTM, the American
6 Society for Testing Materials, has standards for the metal
7 prostheses.

8 Also, some tissue banks have elected to become ISO
9 9001 certified. Other controls in place are peer review of
10 the literature articles and the large body of experience
11 that we have with the products and no reports of collapse.

12 [Slide.]

13 Finally, the last question said, "What industry
14 standards are available and what standards will be needed in
15 the future?" Again, the existing standards that were
16 pointed out were those of the ATB. Those are really
17 processing standards and standards aimed at infectious-
18 disease control. Not all tissue banks are members of ATB
19 and those that are not, some follow the standards but others
20 don't.

21 The ASTM standards, again as I mentioned, are for
22 metal implants. It is not certain whether tissue banks that
23 make allografts hold to these same standards as the metal
24 implants. However, the several orthopedic surgeons and
25 neurosurgeons did point out that they felt that standards

1 were needed in this area, standards aimed at performance,
2 standards for biologic activity and potency, standards for
3 mechanical performance, standards for processing and
4 determination of bone density.

5 [Slide.]

6 Lastly, the perceived advantages of regulation as
7 a medical device were pointed out and the concerns were
8 decreased patient access to treatment, decreased
9 availability and supply, increased cost, increased reliance
10 on autografts or metal prostheses, slowing of ongoing
11 industry standards, development and interference with the
12 practice of medicine.

13 [Slide.]

14 Finally, the FDA will take all comments under
15 serious consideration. We are moving forward with the
16 finalization of the first proposed rule, the Registration
17 and Listing Rule, and it is possible that the definitions
18 and criteria would be modified in that final rule based upon
19 the comments that we have heard, both at the meeting and to
20 the previous dockets, and that additional examples would be
21 given in the preamble.

22 [Slide.]

23 Next I would like to read a statement into the
24 public report on another matter pertaining to tissues.

25 DR. HOLLINGER: We really are getting a little

1 far--I am trying to limit everyone to about eight minutes.
2 We have been going over every time. So could you maybe
3 summarize, then, what you have to say and then we will move
4 on.

5 DR. SOLOMON: Okay. It is just a one-page
6 statement.

7 [Slide.]

8 It has to do with U.K. deferral as it relates to
9 cell and tissue products. Basically, this might be hard to
10 read, but, "The regulations currently in effect for human
11 tissue and transplantation require donor screening and
12 testing for HIV-1 and 2, HBV and HCV. The proposed
13 regulations for suitability of donors of these products
14 would include the above and, in addition, donor screening
15 for the transmissible spongiform encephalopathies including
16 CJD.

17 "As you know, in November of '99, as a
18 precautionary measure for variant CJD risk, FDA recommended
19 that blood donors who have resided or traveled to the United
20 Kingdom for a cumulative period of six months or more from
21 1980 through 1986 be deferred. This recommendation was made
22 after a pilot study of the effect on the blood supply and
23 with ongoing monitoring of the blood supply.

24 "To date, no parallel recommendation has been made
25 for cell and tissue donors. FDA is looking at the issue of

1 donor deferral based on U.K. travel and residency for cell
2 and tissue donors. FDA will address the issue in future
3 guidance developed through the process of notice and
4 comment.

5 "FDA is soliciting data or information from the
6 cell and tissue industry and the public in order to help us
7 make a better informed decision on this matter. Such
8 information could include the likelihood of transmission of
9 variant CDJ by cells and tissues, the differences in risk
10 among different cells and tissues.

11 "We know that classical CJD has been transmitted
12 by cornea and dura mater. These tissues, as well as cells
13 and tissues rich in leukocytes and vascularized organs may
14 have a higher theoretical risk of variant CJD. Finally, and
15 probably quite important, is we are soliciting data or
16 information on the impact, on the supply, of cell and
17 tissues that such a deferral might have."

18 Thank you.

19 DR. HOLLINGER: Thank you, Dr. Solomon.

20 I think we are going to move on then. Our next
21 one is on the summary of Joint Transmissible Spongiform
22 Encephalopathies and Vaccines and Related Biological
23 Products Advisory Committee. Dr. Asher? You have eight
24 minutes.

25 **Summary of Joint Spongiform Encephalopathies and Vaccines**

1 **and Related Biological Products Advisory Committee**

2 DR. ASHER: Good morning.

3 [Slide.]

4 I apologize for not having a slide set available
5 to Dr. Smallwood until this morning.

6 [Slide.]

7 On July 27, the FDA Advisory Committees on
8 Transmissible Spongiform Encephalopathies and Vaccines and
9 Related Biological Products met jointly to consider the
10 recent discovery by the agency that, in spite of FDA
11 recommendations dating back to 1991 not to do so,
12 manufacturers had used materials from cattle in BSE
13 countries to prepare several important childhood vaccines.

14 The term BSE country refers to a country that has
15 diagnosed bovine spongiform encephalopathy in a native-born
16 cow or a country that USDA concludes may have BSE and
17 prohibits importation into the United States of its animals'
18 meat, et cetera.

19 [Slide.]

20 The committee has reviewed regulatory history
21 relevant to vaccines and then the risk associated with the
22 various bovine-derived materials used, risks that depend on
23 the tissues used, when and where they were obtained as well
24 as the manufacturing process. Model risk assessments were
25 presented by the FDA and the manufacturer.

1 Although the committee did not vote, they offered
2 suggestions to CBER. The bovine-derived materials of chief
3 concern were fetal-calf serum obtained from animals in the
4 U.K. during years in which BSE was prevalent and used to
5 prepare a viral vaccine and a gelatin-derivative pancreatic
6 extract and meat broth used to prepare a bacterial vaccine.
7 Those materials were all from moderate-risk BSE countries.
8 The identities of the vaccines, none of which has been
9 withdrawn from the market, have been kept confidential so
10 far.

11 [Slide.]

12 FDA regulations require biological products to be
13 free of extraneous microbial agents. Conscious of that in
14 1991, CBER first expressed concern about spongiform
15 encephalopathies and asked manufacturers to send information
16 on sources of bovine and ovine materials used to
17 manufacturer biologics.

18 [Slide.]

19 In 1993, CBER revised a points-to-consider
20 document asking that serum used in the cell culture be free
21 of the BSE agent and sent letters to manufacturers asking
22 them to review the document. In December of that year, FDA
23 requested, in letters published the following year, that
24 most bovine materials used to manufacture FDA-regulated
25 products intended for humans not be sourced from BSE

1 countries and noted that the USDA maintains the list of such
2 countries.

3 Following recognition of new-variant CJD in young
4 people in the United Kingdom and France and its probable
5 connection with exposure to the BSE agent, FDA reminded
6 manufacturers of its previous requests and, again, noted
7 that the BSE list is kept by the USDA.

8 [Slide.]

9 A temporary exemption from recommended BSE-free
10 sourcing that had been extended to bovine gelatin in 1994
11 was rescinded for injectable and implantable products in
12 September, 1997.

13 [Slide.]

14 Nevertheless, earlier this year, CBER learned that
15 the four bovine-derived materials had been used in the
16 manufacture of vaccines.

17 [Slide.]

18 The previous guidance was then repeated in a
19 letter from the CBER Director and points that had apparently
20 been misunderstood were clarified, particularly emphasizing
21 that CBER had recommended BSE-free sources of bovine-derived
22 materials at all stages of manufacture and that the USDA was
23 to determine the BSE countries.

24 FDA had not previously addressed the situation in
25 which a country, once considered BSE free, was later place

1 on the USDA's BSE list while products produced with the
2 bovine materials were still in inventory.

3 [Slide.]

4 That became an issue early in 1998 after USDA
5 included all of Europe on the BSE list. Ruminant products
6 prohibited by the USDA include gelatin for human consumption
7 but the prohibitions include bovine serum. In practice,
8 however, if ruminant serum is not identified on an export
9 manifest as a component of a product, the USDA would
10 probably not stop its importation.

11 [Slide.]

12 Ruminant serum, itself, can be imported into the
13 United States under USDA permit for uses unlikely to bring
14 it into contact with animals of any kind, not just
15 ruminants.

16 [Slide.]

17 Knowing that bovine-derived materials from BSE
18 countries were used to manufacture vaccines, can we estimate
19 the risk. At the moment, there are ten BSE countries listed
20 here, ten countries known to have BSE, in chronological
21 order. The vast majority of cases still occur in the United
22 Kingdom where more than 750 have been registered so far this
23 year.

24 Let me say, first, that there is no
25 epidemiological evidence, and that study in the U.K.

1 addressed that hypothesis and it was recently published, no
2 evidence to suggest that any vaccine has been a risk factor
3 for new-variant CJD.

4 [Slide.]

5 Temporal and geographic risk. Although BSE has
6 been found in U.K. cows born in the late 1970s, it is
7 thought that 1980 marks the probable beginning of the
8 epidemic followed soon afterwards by Ireland, France,
9 Portugal, Switzerland and, more recently, in the Benelux
10 countries and Denmark.

11 [Slide.]

12 BSE countries peaked in the United Kingdom at the
13 end of 1992. It has probably peaked in Switzerland but the
14 situation in other countries is not clear.

15 [Slide.]

16 The USDA simply considers various countries either
17 to be BSE-free or to be unacceptable. The European
18 Commission's Scientific Steering Committee has attempted to
19 estimate the probable occurrence and prevalence of BSE in
20 twenty-five countries that voluntarily submitted
21 information.

22 The two countries with the highest risk are the
23 United Kingdom and Portugal followed by the eight other
24 countries that have found cases in native cattle. The EC
25 also suspects that at least three other European Union

1 countries, Germany, Italy, France and Spain probably have
2 cases and the USDA agrees with that.

3 [Slide.]

4 The EC classification of the United States and a
5 number of other countries is category II--that is,
6 provisionally free of BSE--because we imported cattle from
7 the U.K. during early years of the outbreak, rendered some
8 of them into meat and bone meal and instituted a ban
9 prohibiting its feeding to ruminants only in 1997.

10 [Slide.]

11 Only a small number of countries are considered to
12 be definitely BSE-free by the EC.

13 [Slide.]

14 As for tissue risk, thus far only neural tissues
15 and intestinal tracts of cattle with BSE have been
16 demonstrated to contain the infectious agent although
17 studies are limited in number and sensitivity. Both the EC
18 World Health Organization and the OIE, the counterpart of
19 WHO for animal diseases, have accepted four risk categories
20 for bovine tissues based largely on studies of other animal
21 TSE's, especially scrapie in ruminants.

22 Higher-risk tissues are listed here.

23 [Slide.]

24 The materials used to prepare the vaccines, shown
25 here bolded, are all from tissues in the two lower-risk

1 categories. However, as have our FDA advisory committee,
2 the EC Steering Committee concluded that ruminant blood and,
3 presumably, other lower-risk tissues, have a theoretical
4 potential to transmit disease.

5 [Slide.]

6 Not only is there a risk that the tissue, itself,
7 may sometimes carry small amounts of infectivity, there is
8 also a risk that it may become contaminated with high-risk
9 material during slaughter.

10 [Slide.]

11 Vaccine-manufacturing processes have a substantial
12 potential to reduce the amount of BSE agent by should any
13 agent be present in the final product, administration by the
14 intramuscular route would be much more likely to result in
15 infection than the oral route, which is thought to be the
16 most likely route by which humans have acquired new-variant
17 Creutzfeld-Jacov disease.

18 [Slide.]

19 FDA used a risk-assessment model proposed by
20 PHARMA, the Pharmaceutical Trade Association, to estimate,
21 in a general way, what the risks might be that the BSE agent
22 could enter some stage of the vaccine production and
23 expressed that as infectious doses of agent per number of
24 doses of vaccines produced.

25 Some estimates are listed on this slide. Although

1 such assessments must be considered unreliable because of
2 the uncertainties and the assumptions on which they are
3 based, nobody disagreed that the risk seems to be very small
4 and, in some scenarios, negligible.

5 [Slide.]

6 Considering the small theoretical risk of
7 transmitting CDJ by BSE-implicated vaccines and the
8 importance of the products which cannot be replaced in the
9 short run, but not ignoring our special obligation to afford
10 every possible protection to children, the committees offer
11 advice that I summarize in the final eight points.

12 Theoretical risk of transmitting vCJD by fetal-
13 calf serum and presumably other low-risk materials from BSE
14 countries in vaccine seems very small but should not be
15 ignored. Fetal-calf serum and other bovine materials from
16 animals in BSE countries currently used to prepare working
17 viral and bacterial seeds in cell banks should be replaced
18 as soon as possible with serum and other bovine materials
19 from BSE-free sources.

20 The risk of BSE from fetal-calf serum used to
21 prepare master seeds is negligible and probably exceeded by
22 the risk of deriving new master seeds, the biological
23 properties of which cannot be accurately predicted.

24 [Slide.]

25 Bovine products obtained from any country before

1 1980, the probable start of the BSE outbreak in the U.K.
2 should be of no concern. The benefit of immunizing children
3 with the implicated vaccines outweighs the remote
4 theoretical risk of CJD. Vaccine should not be withdrawn
5 from the market. There should be additional public
6 disclosure that bovine components from BSE countries were
7 used to manufacture vaccines and that disclosure should
8 include changes in the package insert, announcement in a
9 journal article or a joint statement by the Department of
10 Health and Human Services and possibly by letters to
11 healthcare providers.

12 [Slide.]

13 Because they do not have the same proven benefit
14 as licensed vaccines, investigational vaccines should be
15 considered separately and participants in clinical trials
16 should receive all relevant information concerning
17 theoretical risk of new-variant CJD associated with bovine-
18 derived materials from BSE countries used to prepare
19 investigational vaccines and that information should be
20 included in the informed-consent document.

21 Thank you.

22 DR. HOLLINGER: Thank you, David.

23 Any questions? Dr. Nelson? One question.

24 DR. NELSON: What to tell the public is really a
25 knotty issue. I am not sure that you can adequately inform-

1 -what are you going to do about it? You say that there
2 should be public disclosure, but what are you going to say?

3 DR. ASHER: In the first place, the advisory
4 committee joint meeting, itself, constituted, to my mind, a
5 form of public disclosure. It was an open public meeting
6 and representatives of the press were there.

7 I think that if the remote nature of the risk is
8 presented along with a reminder of the high benefit of the
9 vaccine, I would hope that the public would be prepared to
10 accept it without panic. There are precedents for that.
11 Recall when retroviral activity was discovered in certain
12 cell cultures, the fact was disclosed. It was presented as
13 a remote risk, which it is.

14 As in this case, there was no disease attributable
15 to the exposure and the public accepted it without reaction.
16 The alternative of keeping the information secret, I
17 believe, in today's world is unacceptable.

18 DR. HOLLINGER: Thank you, David.

19 The final presentation is an update on rapid HIV
20 test approval requirements and standards. Dr. Poffenberger?

21 **Update on Rapid Test HIV Test Approval**
22 **Requirements and Standards**

23 DR. POFFENBERGER: Given that I have eight minutes
24 and there were copies of the slides available to everyone on
25 the table outside, I am going to try and trot through these

1 slides instead of walk through them.

2 [Slide.]

3 Switching topics for your last time before the
4 main session starts, I am going to tell you something about
5 FDA's requirements and standards for approval for rapid HIV
6 test.

7 [Slide.]

8 First, I want to emphasize that these extended
9 standards and revised requirements apply exclusively to
10 rapid HIV tests intended for diagnosis and they do not apply
11 to tests for blood screening.

12 [Slide.]

13 At the previous Blood Products Advisory Committee
14 Meeting held June 15, the public-health needs for rapid
15 tests were discussed and data for some of the tests was
16 presented. The FDA actions to facilitate approval of rapid
17 tests were reviewed.

18 Among these actions were reduction in the sample
19 size for specificity determination and, in March of 1999,
20 the postponement of the requirement to include Group O
21 antigen in rapid HIV tests. FDA also made a commitment in
22 the June meeting to revisit the sample sizes needed to
23 demonstrate clinical sensitivity and specificity of the
24 test.

25 At the end of that session, this committee

1 concurred with standards for approval of rapid tests that
2 are separate and different from the standard for approval of
3 blood-screening tests.

4 [Slide.]

5 The standard for sensitivity concurred with on
6 June 15 is 100 percent sensitivity on the FDA HIV-1 panel;
7 that is 11 out of 11 positives. The lower bound for the
8 95 percent confidence interval must be at least 98 percent
9 for studies that include all confirmed positive serum or
10 plasma samples from a study of positive individuals with a
11 sample size of 1,000 and for positive samples from a high-
12 risk population study whose sample size is at least 500.

13 [Slide.]

14 The standard for specificity concurred with on
15 June 15 is the lower bound for the 95 percent confidence
16 interval must be at least 98 percent for serum or plasma
17 samples from individuals in low-risk populations in a study
18 of 6,000 individuals.

19 [Slide.]

20 Today, we are extending those standards to apply
21 to all sample types; that is, the 98 percent minimum
22 acceptable performance standard for sensitivity and
23 specificity is being extended to all sample types;
24 venipuncture whole blood, finger-stick whole blood, oral
25 fluid as well as for serum and plasma samples.

1 [Slide.]

2 In addition to extending the standards, we are
3 revising the clinical-trial requirements. Since June, FDA
4 has followed through on its commitment to revisit the trial-
5 size requirements for rapid tests. As part of this process,
6 we sought additional input from statisticians and met with
7 our public-health partners at the CDC and the NIH.

8 The result is that the requirements which have
9 historically been based on blood-screening intended uses are
10 being revised to reflect the distinctly different intended
11 uses for the rapid test; that is, for a test that will
12 primarily be used on fresh specimens in populations with
13 unknown HIV prevalence.

14 [Slide.]

15 The next few slides are going to show you first
16 what the previous standard was and what the revised
17 requirement is. HIV-1 sensitivity was previously defined in
18 a study of 1,000 samples from individuals known to be HIV-
19 positive. These samples could be repository and/or fresh
20 with at least 200 samples coming from individuals with AIDS.

21 In the revised sensitivity-study requirement, the
22 manufacturer may propose a trial size of sufficient power to
23 demonstrate that the test meets the 98 percent standard.
24 However, the FDA is requiring that a minimum of 500 fresh
25 samples be tested for each specimen type that the

1 manufacturer wishes to claim.

2 Although 500 is the minimum acceptable number, FDA
3 strongly recommends that 1,000 samples be tested in order to
4 increase the chance that performance in the study will meet
5 the 98 percent standard. AIDS samples are no longer
6 required since the predominant use of these tests is
7 expected to be with populations of unknown serostatus.

8 Samples with recent seroconverters are desirable
9 in this study.

10 [Slide.]

11 The previous requirement for a prospective study
12 in a high-risk population has not been changed. A study of
13 at least 500 samples should be conducted in sites of
14 intended use in an HIV-1 endemic population. The change is
15 in the way that the results from this study will be used.
16 The rapid test results with confirmed positive samples from
17 this study will be combined with the results with the known
18 positive samples from the sensitivity study described on the
19 previous slide to make the determination of sensitivity.

20 The results with negative samples from this study
21 will be used to report specificity of the test in high-risk
22 populations.

23 [Slide.]

24 The requirements for specificity are being
25 changed. Previously, 6,000 samples from a low-risk

1 population were required. Under the revised requirements,
2 the manufacturers are free to propose a trial size of
3 sufficient power to demonstrate that the test meets the
4 98 percent standard.

5 The FDA is requiring a minimum of 500 fresh
6 samples from a low-risk population for each specimen type.
7 The FDA is also requiring a minimum of 500 fresh samples
8 from a high-risk population for each specimen type. Again,
9 the FDA strongly recommends 1,000 samples be run in each
10 population.

11 Please note that only a single prospective study
12 in a high-risk population is required. As noted in the
13 previous slide, the results from this trial will contribute
14 to both sensitivity and to specificity determinations.

15 [Slide.]

16 In making the choice of study size, the
17 manufacturer should consider these points, that the absolute
18 minimum size for each study is 500 fresh samples for each
19 sample type. The risk that the study will not meet the 98-
20 percent standard for the lower bound of the 95 percent
21 confidence interval increases as the sample size decreases.

22 This is why the FDA is recommending that 1,000
23 samples be tested. If the manufacturer chooses a small
24 sample size and the study fails to meet the standard, the
25 manufacturer should have a plan in place for conducting a

1 second trial or for extending the trial to an increased
2 sample size using an appropriate p-value correction.

3 A valid plan for handling this failure should be
4 in place before the initial study begins.

5 [Slide.]

6 In addition to revising the trial-size
7 requirements, FDA is taking other action to facilitate
8 approval of the rapid HIV tests. As was mentioned earlier,
9 FDA announced in March of 1999, that rapid-test
10 manufacturers would not be required to add group O antigen
11 to their test immediately but could postpone that addition
12 for two years.

13 Today, FDA is taking the further step to drop the
14 requirement for addition of group O antigen to rapid HIV
15 tests. Although this is not a revision, FDA is advising
16 rapid-test manufacturers that a claim for HIV-2 detection is
17 optional.

18 If manufacturers that wish to pursue an HIV-2
19 claim are having difficulty completing their HIV-2
20 prospective study, they should apply for approval for an
21 HIV-1 claim and may amend their product to include HIV-2
22 when the studies are complete.

23 Labeling for diagnostic tests for HIV does not
24 typically distinguish fresh and stored sample studies.
25 Because the primary use of these tests is expected to be

1 with fresh samples and because data has shown there may be
2 differences in sensitivity and specificity performance with
3 stored versus fresh samples, the data will be listed
4 separately in the labeling. Any study to be reported should
5 include a minimum of 500 samples.

6 [Slide.]

7 This and the next two slides describe data
8 requirements for rapid HIV tests that have not changed.
9 Given the time constraint, I may just pass through those.

10 [Slide.]

11 And the next slide.

12 [Slide.]

13 One more please.

14 [Slide.]

15 The actions described today are aimed at
16 facilitating approval of rapid tests while assuring the
17 safety and effectiveness of those tests in the settings and
18 with the sample types of intended use. The 98 percent
19 standards for sensitivity and specificity apply to all rapid
20 tests. The revised trial requirements apply to all
21 manufacturers that have not completed their studies.

22 Those manufacturers with completed studies that
23 meet previous trial requirements may be labeled differently.
24 They would also have the option of conducting new trials
25 that meet the revised requirements.

1 [Slide.]

2 FDA has contacted all rapid-test manufacturers
3 with whom we had previously discussed clinical-trial plans.
4 These revised requirements are designed to assure the safety
5 and effectiveness of these rapid tests as they will actually
6 be used. The requirements are meant to facilitate approval
7 and not to delay it.

8 Manufacturers or sponsors with questions or
9 concerns should contact FDA to request a teleconference or
10 meeting. The point of contact is the Division of Blood
11 Applications at 301 827-3524.

12 Thanks.

13 DR. HOLLINGER: Thank you, Dr. Poffenberger.

14 Any questions? That concludes the updates for
15 this morning. We appreciate those updates. I wish we had,
16 really, more time to discuss them and go through them.
17 These are very important issues here.

18 **III. Current Utility of Screening Blood Donors**
19 **for Antibodies to Syphilis**

20 DR. HOLLINGER: We are going to move then to the
21 first discussion today on the screening on blood donors for
22 antibodies to syphilis. We have eight speakers in the first
23 part before the break. We are going to try to make sure
24 everybody has about fifteen minutes. We are going to try to
25 keep everybody to that. There may be some leeway if someone

1 has a little less and the other a little bit more, but I
2 would like you to sort of all try to do this so we can get
3 through this in a reasonable time. Then we will take a
4 break and go on with our open public hearing.

5 I am going to turn this over to Dr. Chiang Syin.

6 **FDA Framework**

7 DR. SYIN: Good morning.

8 [Slide.]

9 It is my pleasure to be here presenting the FDA
10 framework on donor screening for syphilis. Serological test
11 for syphilis is the first test instituted for donor
12 screening against communicable disease markers. It
13 fulfilled an obvious need, as shown in the next slide, to
14 protect the public health over half a century ago.

15 Today, we face a completely different picture in
16 which no case of transfusion-transmitted syphilis has been
17 reported in the U.S. in recent years. The utility of
18 screening donors for antibody to syphilis is being raised
19 again.

20 [Slide.]

21 This is right after World War II as the effort by
22 the PHS to combat the syphilis problem. You could show that
23 at that time we had close to a million cases a year of
24 syphilis identified in the U.S. But before we get into more
25 of the specifics of the syphilis testing, let me briefly

1 outline the various aspect of FDA's approach to testing.

2 Since you are quite aware of the FDA authority and
3 our role in regulating blood and blood products, I will not
4 get into the first two parts.

5 For the third item, for the decision-making
6 process, as a part of the regulatory process, the FDA
7 established standards and provided guidance, as you know.
8 Considering approval and the recommendation of donor-
9 screening tests depends on the sponsor's demonstration of
10 safety, efficacy and manufacturing consistency.

11 Policies are developed in cooperation with other
12 PHS agencies with public input, including BPAC. This is
13 exactly the reason that we are here today. For the next
14 point, our current policy on testing. Like HIV and
15 hepatitis testing, serological test for syphilis is required
16 by regulation since 1958.

17 For other tests now amended by the regulation, FDA
18 may put forth recommendations for their use. We can also
19 look at the perspective on the surrogate tests. Medical
20 history is important to address conditions for which testing
21 is not available or impractical and to address risk in the
22 window period.

23 Medical, including behavior, history is used as a
24 surrogate marker for transmissible diseases, including HIV,
25 hepatitis, and CJD. Many consider that the continuation of

1 requiring syphilis testing for blood-donor screening was
2 based solely on its unsubstantiated potential for a
3 surrogate marker for HIV.

4 [Slide.]

5 Currently, in the U.S., serological tests for
6 syphilis are considered as a class II device and cleared by
7 FDA under the 510(k) mechanism. This test could be
8 generally divided into two groups. The first one is the
9 non-treponemal test and the second one is the treponemal
10 test.

11 The two groups have distinctive characteristics
12 that make them useful for different purposes. Non-
13 treponemal tests detect nonspecific cardiolipin antibodies.
14 Non-treponemal tests are useful for monitoring the
15 progression of disease and the response for therapy. The
16 most commonly used test today that has been used is the
17 Venereal Disease Research Laboratory test, so-called VDRL
18 test, and the rapid-plasma reagent, RPR test.

19 The problem with those tests is obviously the
20 biological false-positives which may occur in persons with a
21 variety of bacterial and viral diseases and those with
22 noninfectious conditions such as autoimmune diseases. The
23 low sensitivity of non-treponemal tests in early and late
24 syphilis and the potential for biological false-positives
25 may require confirmation of non-treponemal test results with

1 a treponemal test to establish a diagnosis of syphilis.

2 On the other hand, the treponemal test
3 incorporates specifically the treponemal antigen into the
4 system. The traditional gold standard was the Treponema
5 pallidum immobilization test, to so-called TPI. It was
6 replaced by the fluorescence treponemal antibody with
7 absorption test and it was called FTA ABS, as you know.

8 Later on, Treponema pallidum hemoagglutination
9 test, the TPHA, was developed. The Olympus TKPT system for
10 automating syphilis testing cleared by FDA in 1990 is a
11 modified microhemagglutination test. The treponemal test
12 has a higher sensitivity, I believe, in the primary and in
13 the late syphilis.

14 [Slide.]

15 As you can see on this slide, the treponemal test,
16 in general, has a sensitivity of 70 percent to 99 percent.
17 If you see only the first two items in the last column, it
18 shows only 1 and 0 percent. That actually was not shown in
19 the package that I sent to you. I overlooked putting this
20 as a result of after treatment. You can see those non-
21 treponemal tests would have difficulty to pick up previously
22 infected syphilis patients.

23 Anyway, early in primary syphilis, the antibody
24 level may be too low to detect and the test result may be
25 nonreactive. The test cannot be used to diagnose late

1 syphilis, especially if treated as shown on the slide,
2 because the titer or antibody will eventually decline to
3 undetectable levels.

4 The treponemal tests have a higher sensitivity in
5 the primary and late syphilis as I indicated earlier.
6 Unlike non-treponemal tests, once the reactive treponemal-
7 based antibody test will remain reactive regardless of
8 whether the individual has been successfully treated or not.
9 Biological false-positives are also common for this type of
10 test, even though some studies indicated may be slightly
11 lower than the non-treponemal-based tests.

12 In general, the specificity of both types of tests
13 are comparable and neither test could detect the so-called
14 window period infection. This is a point which you have to
15 be careful at later discussion.

16 [Slide.]

17 This lists some of the advantages and the
18 disadvantages of the value of the syphilis testing for donor
19 screening. This is a table I adopted from the 1996 review
20 written by Dr. Richard Cable on the evaluations of syphilis
21 testing of blood donors which has been included in your
22 package.

23 The disadvantage, as listed, is, as you can see,
24 obviously there will be an extra financial cost for whoever
25 is footing and bill and the false-positives and associated

1 consequences, like, obviously people understand the stigma
2 associated with a positive result for syphilis. Also, the
3 consequences of discarding a useful unit due to a false-
4 positive result.

5 On the other hand, the testing may have value in
6 contributing to the elimination of transfusion-transmitted
7 syphilis, or at least preventing an introduction of this
8 pathogen in transfusion patients.

9 [Slide.]

10 To put the FDA framework in perspective, let me
11 briefly review our policy on syphilis testing in the
12 historical context. Statutory requirements of syphilis
13 testing of blood and blood products are clearly stated in
14 Title 21 of the Code of Federal Regulations under 640.5(a)
15 and 640.65.

16 Syphilis testing, at a national level, was
17 instituted in 1938 and required by regulation in 1958. The
18 most current memo from FDA was issued on December 21, 1991.
19 It was the recommendation for donor deferral and product
20 distribution.

21 [Slide.]

22 Since 1950, transfusion-transmitted syphilis was
23 almost nonexistent in the U.S. There was only a single case
24 reported in 1968 by NIH and, along with the fact that the
25 low prevalence of syphilis in the general population since

1 the mid-50's, and other contributing factors I will touch
2 upon in the next slide, the FDA Advisory Panel of Blood and
3 Blood Derivatives, in 1978, recommended elimination of
4 syphilis testing due to a lack the public-health values.

5 The recommendation was published in 1985 and it
6 was accepted by PHS. But, as you recall, 1985,
7 unfortunately, was the year that we are embroiled in the
8 heat of the AIDS epidemic. FDA decides to withhold the
9 proposed rule to revoke the testing requirement because of
10 the potential surrogate value as a marker for AIDS risk.

11 Since then, the validity, or the surrogate value,
12 of syphilis testing in blood donors for HIV has often been
13 challenged.

14 [Slide.]

15 As I previously mentioned in the pros and cons of
16 syphilis testing for donor screening, the lack of documented
17 case of transfusion-transmitted diseases was often--
18 transfusion-transmitted syphilis was often cited as one of
19 the major reasons for the elimination of syphilis testing.

20 These are several major factors that we could
21 identify. The first one, obviously, is a low or lower
22 prevalence in the U.S. population since the '50's and the
23 practice of refrigerated blood storage. I believe this is a
24 practice generally adopted in the '50's as well. And
25 improved donor-selection process; this is accompanied by the

1 emerging of modern blood bank practices.

2 The other one we cannot overlook today is that
3 maybe a uniform application of screening tests may also
4 contributed to it. Last, but not least, transfusion
5 recipients were often under antibiotic therapy. I believe
6 the discovery of penicillin to cure syphilis and has been
7 adopted since the '40's may also contribute to the lower
8 prevalence of syphilis in the U.S. population.

9 Although we believe those have contributed to the
10 disappearance of transfusion-transmitted syphilis, we have
11 problems to pinpoint the precise nature of each factor. I
12 hope today's presentation by other groups may shed some
13 light on those factors.

14 [Slide.]

15 In 1995, a Consensus Development Conference on
16 Infectious Disease Testing for Blood Transfusions was held
17 in NIH. Elimination of syphilis testing was one of the
18 issues under extensive discussion. However, at the end of
19 meeting, it was concluded that the testing of donors for
20 syphilis should continue despite little surrogate value.
21 The major reasons cited were that many blood components,
22 especially platelets, are stored at room temperature,
23 conditions that will not inactivate *Treponema pallidum* and
24 also because of lack of definitive laboratory data to
25 invalidate the value of the syphilis test.

1 [Slide.]

2 The slide I downloaded from the CDC web-site, just
3 trying to show you the low prevalence of the syphilis cases.
4 But you have to keep in mind, this is 1990 to the current
5 data. If you look at the 30's, and the 40's and the 50's
6 data, you can see this is a drastic upswing at the
7 beginning, in the 30's and the 40's.

8 I mentioned earlier, this is just to show you we
9 are anticipating to see a continual decline of syphilis in
10 the general population in the U.S.

11 [Slide.]

12 With the poor predictive value and the high false-
13 positives from either treponemal or the non-treponemal-based
14 tests, and the lack of a surrogate value for other
15 infectious diseases, FDA decided to solicit public comment
16 on whether we should eliminate the testing as part of the
17 proposed rule, updating the requirement for testing human
18 blood donors for evidence of infection due to communicable
19 disease agents.

20 The proposed rule was published in the Federal
21 Register in 1999.

22 [Slide.]

23 Our primary concern is to insure blood safety and
24 an adequate blood supply. As I remember in the last BPAC
25 meeting in June, I believe Dr. McCurdy made an interesting

1 comment. He said--this is probably difficult to see how we
2 keep adding a new test, how could we ever consider dropping
3 any tests. At this point, I think we will only expect to
4 see more scientific data to support either the elimination
5 or retention of donor screening for syphilis. I hope that
6 will be the only criteria and that no other factor will be
7 involved.

8 [Slide.]

9 As Dr. Hollinger has pointed out, we have a lot of
10 presentations today. Following my presentation will be Dr.
11 Markowitz of CDC. She will touch upon the background of
12 clinical syphilis. Also, from the American Red Cross, Dr.
13 Roger Dodd will go over the background on diagnostic testing
14 for syphilis. He will be followed by Dr. Markowitz, again,
15 on syphilis surveillance and blood-borne transfusion.

16 After her presentation, Sharyn Orton from the
17 American Red Cross would present the American Red Cross's
18 new data using the PCR method to evaluate the serologically
19 confirmed positive serum to show whether any *Treponema*
20 *pallidum* could be detected.

21 [Slide.]

22 Her presentation will be followed by Dr. Alan
23 Williams going over the REDS study of syphilis screening as
24 a surrogate test. We hope that his presentation will
25 clearly show the lack of surrogate tests for other

1 infectious disease markers.

2 Dr. Markowitz will present their investigating
3 report in the Maricopa County SDT study. CDC today will
4 also present a proposed study to try to evaluate the
5 syphilis testing, its value. This will be presented by Drs.
6 Hsi Liu and Stephen Morse.

7 Finally, I believe Dr. Ruta is going to present a
8 set of questions for you to evaluate. Thank you.

9 DR. HOLLINGER: Thank you.

10 We will start, then--the next presenter will be
11 the background on clinical syphilis. Dr. Markowitz?

12 **Background on Clinical Syphilis**

13 DR. MARKOWITZ: Good morning.

14 [Slide.]

15 I think I can be fairly brief with this first
16 presentation because what I would really like to do is just
17 provide some background that will be relevant for the
18 subsequent presentations this morning. So I am just going
19 to highlight some of the main features but not be
20 comprehensive. I have also snuck in a few slides on the
21 epidemiology of syphilis in the U.S. which I think will be
22 relevant for our discussion today.

23 [Slide.]

24 Syphilis is a sexually transmitted disease caused
25 by T. pallidum which is a spirochete, as I am sure everyone

1 knows. Syphilis has a highly variable clinical course and
2 is characterized by episodes of active clinical disease
3 interrupted by periods of latent infection.

4 It is quite well known that symptoms and signs of
5 early syphilis are often missed or confused with
6 manifestations of other diseases.

7 [Slide.]

8 Syphilis is classified into sequential clinical
9 stages to guide patient management and management of sexual
10 partners and, in the case of pregnant women, to guide
11 newborn care. However, despite their clinical and public-
12 health utility, these stages are not precise and, in
13 individual patients, they often overlap.

14 This slide shows the general time course of the
15 different stages, and they are quite variable, that about
16 ten to 90 days after infection, signs and symptoms of
17 primary syphilis are manifest. These include the classic
18 ulcer and regional lymphadenopathy.

19 Signs and symptoms of secondary syphilis occur
20 between one and six months after primary syphilis. But one
21 to three months later, the symptoms of secondary syphilis
22 resolve and the patient enters what is considered a latent
23 phase. About 70 percent of persons with untreated syphilis
24 will remain latent while 30 percent will develop tertiary
25 disease which can include cardiac and neurologic

1 manifestations or benign late syphilis.

2 [Slide.]

3 Just some basic features of primary syphilis. The
4 classic manifestation, of course, is the ulcer. The classic
5 ulcer is single, painless and appears at the site of
6 inoculation. The location can be quite variable and the
7 specific location and the degree of discomfort are important
8 determinants of whether and when the ulcer is detected by
9 the patient or the clinician. In women, the ulcers are
10 often cervical and, as a result, often go unnoticed.

11 Not all the chancres have a classic appearance and
12 they are traditionally single and painless. But they can be
13 multiple and, as a result, can be misdiagnosed as other
14 ulcerative STDs. As I mentioned before, they can be
15 painless and may be completely missed. The untreated
16 lesions will heal spontaneously in a few weeks.

17 [Slide.]

18 Secondary syphilis is manifested by variable skin
19 and mucous-membrane lesions and constitutional signs and
20 symptoms. Patients will often have persistent healing
21 chancres and the rash, which is commonly macular, can evolve
22 to a papular, even pustular, rash and patients can have a
23 combination of these different rashes.

24 It is classically present on the trunk and the
25 rash is the most common manifestation of secondary syphilis.

1 Other manifestations are generalized lymphadenopathy,
2 headache, fever, condyloma. Of note, and of importance for
3 this discussion, is that some patients with secondary
4 syphilis will not report any systemic illness at all at the
5 time when they are diagnosed.

6 [Slide.]

7 After primary and secondary stages, most patients,
8 for a variable period of time, will become completely
9 asymptomatic. In this stage, the only evidence of infection
10 is serologic. This is considered the latent syphilis.

11 About 25 percent of patients with latent syphilis
12 will develop relapses to the secondary stage, demonstrating
13 the very characteristic waxing and waning part of syphilis.
14 Latent syphilis is somewhat arbitrarily divided into two
15 stages; early, latent and late-latent. In light of the data
16 indicating that about 25 percent of patients will develop
17 relapses into secondary syphilis, and that most of these
18 relapses occur within the first year of latency, latent
19 syphilis of less than one year duration is considered by CDC
20 as early-latent. These patients are considered potentially
21 infectious.

22 Patients who have latent syphilis of more than one
23 year duration are considered late-latent and are deemed
24 relatively noninfectious. However, as I will mention a
25 little bit later, congenital syphilis does occur with late-

1 latent disease and transfusion-transmitted cases have also
2 been reported.

3 [Slide.]

4 Just briefly, to review these stages from the
5 pathogenesis point of view, after sexual exposure, T.
6 pallidum invades the body through the mucous membranes and
7 it attaches to the host cell and it begins to multiply.
8 Within hours, the organisms appear in the regional lymph
9 nodes and disseminate to multiple organs and tissues.

10 The chancre develops at the site of inoculation
11 and is considered to be teeming, and is teeming, with
12 treponemes. Secondary syphilis is considered the
13 disseminated stage with treponemes throughout the body and
14 the condyloma is also teeming with treponemes.

15 In the latent stage, treponemes are in the spleen
16 and lymph nodes and intermittently seed the blood stream.
17 As shown in the slide, the latent stage can revert to the
18 secondary stage.

19 [Slide.]

20 This just reviews the stages, primary, secondary,
21 latent divided into early and late, and then the tertiary
22 which I am not going to really touch on for this
23 presentation this morning.

24 [Slide.]

25 Just a few words about congenital syphilis. I

1 think it is relevant for our discussion. It is one of the
2 major causes of morbidity and mortality from syphilis. It
3 does demonstrate blood-borne transmission. Congenital
4 syphilis can result in several outcomes which I have listed
5 on the slide and the risk of maternal-fetal transmission
6 changes with stage of disease.

7 However, a vertical transmission can occur at any
8 stage. The risk is highest in primary and secondary disease
9 where vertical transmission has been reported about 70 to
10 100 percent of pregnancies. In about 40 percent of early-
11 latent, there is transmission and in about 20 percent of
12 late-latent.

13 [Slide.]

14 Just very briefly, I think most people know this,
15 but penicillin is the treatment of choice for syphilis.
16 There are different regimens for the different stages but it
17 is very effective. There is no resistance to penicillin
18 that has been reported for syphilis. There are alternatives,
19 also, available for people with penicillin allergy.

20 [Slide.]

21 I am not going to go into this because I see that
22 both the presenter before me and after me will go over the
23 diagnostic tests. So I think I will just skip over this.

24 [Slide.]

25 I am also not going to go over this to save time,

1 but I wanted to point out that we do have a variety of case
2 definitions for surveillance at CDC and they have used both
3 clinical criteria and the laboratory criteria that are going
4 to be discussed this morning. Both probable confirmed cases
5 for each of the stages have been developed.

6 [Slide.]

7 I am not going to go over this, either. This is
8 for the early-latent clarification.

9 [Slide.]

10 I do want to touch on some epidemiology very
11 briefly again. This slide shows the number of cases from
12 1941 to 1998 reported to CDC. We normally follow primary
13 and secondary cases because these represent incident cases
14 in the time period which is being studied.

15 This slide shows primary and secondary in the
16 yellow, early latent in the red and then total cases in the
17 blue line. As you can see, we have decreased to very low
18 rates in the U.S. In 1998, which is the last year for which
19 we have really completed our data, we have 6,993. It is
20 slightly less in 1999.

21 You can see that syphilis in the U.S. declined
22 dramatically in the '50's with the widespread use of
23 penicillin in U.S. programs that were implemented. There
24 was an epidemic in the 1990's. That was a nationwide
25 epidemic and since that time, rates have decreased really

1 throughout the country. Last year, because of these really
2 remarkably low rates, the Public Health Service announced a
3 national syphilis elimination goal. The goal is decrease
4 syphilis to a rate below 0.4 per 100,000 by the Year 2005
5 and also to have 90 percent of the counties in the U.S. free
6 of syphilis.

7 So that initiative is one-year old now and the
8 goal is, again, 2005.

9 [Slide.]

10 This shows the rates by gender in the U.S. The
11 rates have been greater in men than in women, but the
12 difference between the genders is narrowing. Both of the
13 rates are below the Year 2000 objective which is the dotted
14 line. The overall rate in the U.S. is 2.6 per 100,000.

15 [Slide.]

16 This slide just shows the age-specific rates for
17 men and women showing that the rate for women is highest in
18 20 to 24-year-old women with a rate of 6.1 per 100,000 and,
19 for men, it is slightly older; it is the 30 to 39-year-old
20 age group has the highest rate for men of 6 per 100,000.

21 [Slide.]

22 Despite the overall decline in syphilis, it
23 remains an important problem in the South and it affects
24 predominantly African-Americans with the rates of about 20
25 to 30 times higher in African-Americans than non-African-

1 Americans.

2 This slide shows the rates around the country. We
3 had 40 states that were below the 2000 objective which is in
4 yellow. You can see that all of the high-rate states are in
5 the South. There were five states that had rates greater 8
6 per 100,000 and seven states with rates 4 to 6 per 100,000
7 in all these except Arizona and Maryland, I think, are in
8 the South.

9 [Slide.]

10 The geographic focus is even more striking if you
11 look at the county map. 78 percent of the over 3,000
12 counties in the U.S. reported no cases of syphilis in 1998.
13 There were only 310 counties that reported rates above the
14 2000 objective. Another way to look at this is the counties
15 in red, here. There are 28 counties that reported half of
16 all cases in 1998 so it really is quite a focal disease now
17 in the U.S.

18 [Slide.]

19 I think I am going to skip this. It might be
20 touched later but this is just to mention that there is--I
21 think most people know an association between syphilis and
22 HIV. Just briefly, I will mention this. There was a review
23 of 30 studies that look at the prevalence of HIV in syphilis
24 patients. These were 30 outbreaks that were investigated.

25 In male syphilis patients, the mean HIV prevalence

1 was 27.5 percent. In women, the median HIV prevalence was
2 12 percent. So these diseases often travel together and,
3 even this year, we have several outbreaks of syphilis among
4 HIV-infected persons.

5 [Slide.]

6 This is my last slide, just to say that even
7 though syphilis is a very focal disease, we do have
8 outbreaks and now the outbreaks will become more sporadic
9 and, perhaps, more unpredictable in different cities. In
10 2000, these are four of our largest outbreaks and, by no
11 means, the only outbreaks. There is an outbreak in Miami
12 with 81 primary and secondary cases. Los Angeles, which is
13 having a large outbreak of syphilis among HIV-infected men,
14 112 cases. Indianapolis, this is mainly heterosexually
15 transmitted in Indianapolis, 228 cases. In Detroit,
16 163 cases.

17 So it still is a disease which we are seeing
18 outbreaks in the U.S.

19 That's all I have.

20 DR. HOLLINGER: Thank you. The outbreak in
21 Indianapolis didn't occur after the Bobby Knight firing, did
22 it?

23 Any questions of Dr. Markowitz in general? Just
24 one question; does everyone that is infected with syphilis
25 develop clinical symptoms? That is one question. The other

1 question is at what stage or stages is the blood considered
2 to be infectious for transmission? If it is going to be
3 covered by somebody, I can deal with it later. But, if not,
4 could you answer that?

5 DR. MARKOWITZ: I would say that probably not
6 everyone does develop--well, the issue is do they develop
7 symptoms or do they recognize symptoms. I think some people
8 don't recognize symptoms so they are picked up and they
9 report never having symptoms.

10 So, in that situation, the primary stage can be
11 totally missed. People may not report any symptoms and they
12 can be picked up only on the basis of the serologic tests.
13 So that does happen.

14 When the blood is infectious, I tried to
15 illustrate that. Maybe I wasn't so clear on my pathogenesis
16 slide. The feeling is that in primary and secondary,
17 certainly, the patients are bacteremic and, in latent
18 syphilis, early-latent syphilis, there is intermittent
19 seeding of the blood stream so there would be bacteremia
20 during early-latent.

21 My understanding of the old literature is that it
22 is in late-latent as well, because there are cases of
23 congenital syphilis that occur in late-latent and because
24 there are some transfusion-transmitted cases that have
25 occurred in those stages. So I think the risk decreases.

1 And I think the data from congenital syphilis would
2 illustrate this best.

3 DR. HOLLINGER: Questions? If not, we will move
4 on. Thank you, Dr. Markowitz. The next presenter, Roger
5 Dodd, is going to talk on--oh; I'm sorry. I did promise Dr.
6 Schmidt that he could show two slides. But you don't get
7 fifteen minutes, Paul.

8 DR. SCHMIDT: Part of this is a going-away gift to
9 Blaine. He can say, "I was at a committee in Washington and
10 they showed slides of patients," or at least parts of
11 patients.

12 [Slide.]

13 This patient is the case that you keep hearing
14 referred to which is the last case of transfusion-associated
15 transmitted syphilis in the United States. This was a
16 patient we had in 1966 at the Clinical Center of NIH who had
17 a lymphoma. Among other treatments, he had 25 units of very
18 fresh platelets, all negative by the VDRL and, several
19 months later, he came back to the clinic like this.

20 He was well treated, but we were able to retest
21 all but three of those donors. All the ones retested were
22 still VDRL-negative. We were not able to retest three of
23 the donors.

24 [Slide.]

25 This next slide, there was classic secondary

1 syphilis. The old literature describes it as this way and
2 commonly seen on the extremities. I had never seen a case
3 before or, obviously, since. We mentioned in our report we
4 ought to be seeing more of these with the introduction of
5 fresh platelet transfusion, but we haven't seen them. Of
6 course, in my thinking, it was always that post-transfusion
7 syphilis was a disease of direct transfusion, direct donor-
8 to-patient transfusion.

9 Also combined with the fact that in that era which
10 was pre-World-War II, we did not have penicillin. So this
11 died out pretty rapidly after World War II.

12 But, anyway, thank you for the opportunity to show
13 a patient.

14 DR. HOLLINGER: Paul, just one question. You said
15 the VDRL was negative in this case.

16 DR. SCHMIDT: I'm sorry; in all the donors, and
17 negative in the patient when admitted to the hospital but
18 strongly positive in the other tests as well when he was
19 retested at this stage.

20 DR. HOLLINGER: But it was never proven that any
21 of the other donors were positive; is that right?

22 DR. SCHMIDT: That's correct, but there were three
23 who avoided us and--

24 DR. HOLLINGER: David?

25 DR. STRONCEK: I have kind of an obscure question.

1 Do you know anything--if we are given more apheresis
2 platelets now and if a donor was infected, would the
3 bacteria be more or less concentrated in apheresis platelets
4 than in peripheral blood?

5 DR. SCHMIDT: I hope we are going to talk about
6 the studies to find out why platelets don't transmit. The
7 number of platelets given is enormous. I do have a copy
8 here of the first NIH minimum requirements for whole blood
9 which are 1945. In there, it says, "The random selection of
10 donors should yield only one bleeding containing viable S.
11 pallidum in approximately 9,000 bleedings."

12 So you can make the calculations at the much
13 greater frequency of it in donors at that time, but still,
14 with all the platelets we are giving, we should be seeing
15 it.

16 DR. HOLLINGER: Thank you, Paul.

17 Roger? Dr. Dodd on diagnostic testing for
18 syphilis.

19 **Background on Diagnostic Testing for Syphilis**

20 DR. DODD: Thank you very much, Blaine.

21 [Slide.]

22 I would like to follow Mike Busch's lead and offer
23 my tribute and thanks for your service as chairman of this
24 committee. I would like to point out that I have known
25 Blaine for many, many years and his hair turned white long

1 before he joined the committee.

2 [Slide.]

3 My job, really, is to try and guide you through
4 the morass of the diagnostic tests for syphilis and to
5 indicate how they are used in the transfusion environment or
6 the blood-screening environment. I think my primary message
7 is that you really have to kind of dissociate the two
8 components; that is, you have to not think exclusively about
9 the diagnostic data that you have heard to date because this
10 is all founded on some clinical expectation that there is
11 going to be a disease and checking out that expectation vis
12 a vis screening where you really have no other information
13 at all about the individuals who are tested.

14 [Slide.]

15 I wanted to make three key points before
16 proceeding. The first one I have already made and this is a
17 commonality for all aspects of blood-donor screening, that
18 screening asymptomatic populations is very different in
19 context from performing diagnostic tests.

20 Secondly, and this is a message you have already
21 heard, in this particular case, syphilis, the sensitivity,
22 the clinical sensitivity of any test varies with the phase
23 of disease and the nature of the test, itself. Finally, I
24 shouldn't need to remind this audience, but the positive
25 predictive value of any test--that is, the proportion of

1 reactive results that turn out to be true positive--is
2 inversely proportional to the prevalence of infection in the
3 population.

4 So, if you have a very low prevalence of
5 infection, you may have a--did I get this right way around?
6 If you have a low prevalence, in any case, you will have a
7 very low predictive value, positive predictive value.

8 [Slide.]

9 You have just heard about the key phases of
10 syphilis, clinical syphilis, primary, secondary, latent and
11 late and/or tertiary disease. We would also point out that
12 we need to have in our minds what happens after successful
13 treatment. That may not be the right place to put it in
14 this particular sequence. And I would also comment that
15 clearly there is a pre-seroconversion phase that we do not
16 know a great deal about but we might want to recognize that
17 there is some potential for infectivity before what is
18 classically termed the primary syphilis.

19 [Slide.]

20 As you have already heard, and I am going to
21 choose to look at these in three different categories, there
22 are really different categories of tests, diagnostic tests,
23 for syphilis. The non-treponemal tests are, in fact, tests
24 for antibodies that react with a relatively non-specific
25 antigen.

1 In the early days of syphilis work, there were
2 attempts to develop a syphilis antigen from which to develop
3 tests and these were developed by various treatments of
4 organs from infected individuals. These tests worked and it
5 wasn't until much later that it was determined that the
6 antigens which were putatively syphilis antigens actually
7 came from the organs, themselves.

8 Quite a lot of effort was put towards developing a
9 standardized cardiolipin antigen which is not a treponemal
10 antigen although some believe that the agent, itself, really
11 may be some of these sorts of antigens. Typically, and I am
12 not trying to be exhaustive here, the tests that fall now
13 into this category are VDRL and RPR, which you already heard
14 about.

15 Treponemal tests, really there are two sets of
16 these. The first is some way of directly observing the
17 organism, itself, the spirochete, and this is done on
18 exudates from lesions and does not really relate to our
19 discussion right now.

20 But conventionally there are really three
21 techniques that are in use right now. The first is the
22 fluorescent treponemal antibody test so you are really doing
23 a standard fluorescence assay. The ABS refers to an
24 absorption step which removes a number of the antibodies to
25 nonpathogenic treponemes before the test is undertaken.

1 And there are a number of agglutination tests,
2 red-cell and now even particle agglutination tests in which
3 particles are coated with treponemal antigens and are used
4 to detect antibodies. There is at least one EIA test which
5 is also designed to detect antibodies to treponemal
6 antigens.

7 You will hear a little bit later what is really an
8 investigational test at this time, nucleic-acid testing,
9 either for treponemal DNA and/or for RNA. I will only
10 mention that briefly as I go through the rest of this
11 presentation.

12 [Slide.]

13 This is a chart that I stole from a very nice
14 review by Sandra Larsen dealing with diagnostic testing for
15 syphilis. I think quite a lot of points can be made from
16 this graph. Here you see the various phases of disease,
17 primary, secondary and the late stages of disease. Late in
18 this case actually starting on this graph at ten years and
19 going out to 40 years.

20 The first thing is that both treponemal and non-
21 treponemal tests come up relatively rapidly at the early
22 phases of primary disease. As I pointed out, we really
23 don't know what is happening here but I suspect that there
24 might be some potential for dissemination of the organism
25 although that is not really relevant to the rest of the

1 discussion, I think, other than what you will hear from
2 blood banking organizations.

3 I think the key thing to recognize here is that,
4 on this chart, are two treponemal tests, a
5 microhemagglutination and the fluorescent treponemal
6 antibody test. Both of these come up, although at slightly
7 different periods, and are maintained throughout the
8 disease, itself, or throughout the course of syphilis.

9 On the other hand, here RPR or non-treponemal test
10 drops off over the years. But I would point out again, on
11 this diagnostic chart, that it never really--the sensitivity
12 of this test never really drops to zero in terms of
13 untreated disease.

14 [Slide.]

15 So just commenting, again, briefly on the non-
16 treponemal tests, I have already discussed the fact that
17 they detect antibodies to a nonspecific antigen. The
18 specificity of the non-treponemal tests is considered to be
19 100 percent in secondary disease; that is, 100 percent of
20 cases will be detected by non-treponemal tests. But
21 subsequently there is a decline in both the levels of
22 antibody and the proportion of individuals who react and
23 this decline is very marked with treatment.

24 If you look at the specificity of these non-
25 treponemal tests, they are quoted as 97 to 99 percent. That

1 means that in a nonsyphilitic population, you might expect 1
2 to 3 percent of all tests to be false positive. Not very
3 impressive.

4 [Slide.]

5 This, again, from Larsen's paper, represents the
6 changes in titers of results from non-treponemal tests
7 attendant on treatment with penicillin. You can see the
8 titers drop very rapidly into essentially undetectable
9 levels within three months of initiation of treatment. So
10 this is a key component of the non-treponemal test site.

11 [Slide.]

12 Treponemal tests, as I discussed, detect
13 antibodies directly. The sensitivity of the treponemal
14 tests is quoted as 100 percent in secondary and latent, so
15 the point here is that the treponemal tests remain high
16 throughout the course of disease. So the decline with
17 disease is not so apparent and reactivity is maintained
18 after treatment.

19 Although the treponemal tests generally have
20 higher levels of specificity, it is not 100 percent so you
21 will still get false-positives and these will represent a
22 fairly high proportion of all reactives in, for example, a
23 donor population.

24 [Slide.]

25 I don't think that we need to spend much time on

1 what is sensitivity, what is specificity and what is
2 predictive value other than to point out that predictive
3 value will vary with prevalence. These are inherent
4 characteristics of the test, itself.

5 [Slide.]

6 Having said all that, here are some data taken
7 primarily from the Larsen paper and also from one of the
8 manufacturer's product inserts. The points to be made on
9 this particular chart which represents diagnostic
10 performance is that in primary syphilis, none of the tests
11 is 100 percent sensitive. In fact, all of them are in the
12 83, 84, 85 percent range.

13 So even in primary syphilis, the sorts of tests
14 that are used for screening or diagnosis may not always be
15 reactive. Secondary syphilis, however, when, in many cases,
16 the individual is symptomatic, these tests are all
17 100 percent sensitive so it should pick all of those up.
18 The other point is that the non-treponemal test listed here
19 drops but only to about 73 percent in late or post-latent
20 syphilis whereas the two treponemal tests maintain their
21 levels throughout this disease.

22 [Slide.]

23 Let's see how this pans out in the blood-center
24 environment. This represents primarily the American Red
25 Cross algorithm for syphilis screening of donors. Other

1 major blood organizations have rather similar algorithms.
2 We screen each donation by an agglutination test, the PK-TP
3 test which runs on an automated blood typing machine and
4 reactive samples are repeated in duplicate.

5 The repeatedly reactive samples are then further
6 tested for confirmation by FT-ABS fluorescent treponemal
7 antibody ABS or, in some cases, by treponemal enzyme
8 immunoassay test. Certainly, in our case, from this group,
9 the positive and minimally reactive samples are further
10 evaluated by a non-treponemal test, RPR. This is used for
11 counseling only and the concept is that the an RPR reactive
12 is more likely to represent active disease.

13 [Slide.]

14 This represents 22 months worth of data from the
15 American Red Cross system. So this is the number of
16 repeatedly reactive donors that turned up in our testing on
17 a monthly basis round about 800 with a peak when we moved
18 from a PK 7100 to a PK 7200 system generating, if you like,
19 a new population of what must be false-positive results
20 because the FTA ABS confirmed and the RPR rates did not vary
21 very significantly here although I think you can see a
22 little peak here.

23 [Slide.]

24 If we look at that in terms of numbers, those data
25 averaged out on a monthly basis so our system collects about

1 500,000 units each month. Amongst those, almost 900, or
2 0.18 percent are repeatedly reactive by the screening test,
3 the treponemal screening test.

4 Of those, 424, or only 43 percent, are actually
5 confirmed by FTA ABS representing 0.08 percent of our donor
6 population or our donations, to be more accurate. As you
7 will hear later, if you take these kinds of samples that are
8 PK-positive, FDA-positive, or PK-reactive, FDA-positive, and
9 you do PCR on them, whether for DNA or RNA, Sharyn Orton
10 found that none of them had detectable levels of treponemal
11 nucleic acids and the 95 percent confidence interval of that
12 observation would not exclude the possibility that some
13 3 percent of this group could potentially be at least
14 circulating detectable RNA or DNA.

15 Within this whole group, only 23 percent are
16 actually RPR-reactive. You will remember from the
17 diagnostic category, you would not expect to find this at
18 any stage. You would expect all of these to be true-
19 positives and probably 60 or 70 percent of them should be
20 RPR-positive if you didn't have treated individuals in this
21 group.

22 [Slide.]

23 This is not really critical, but these are bulk
24 data. What I really wanted to point out is that we
25 categorize the fluorescent antibody testing into two groups,

1 minimally reactive which represents 9 percent of the total
2 here tested and, of those, only 6 percent are RPR-reactive,
3 leaving one to wonder if there isn't some form of false-
4 positivity for both markers.

5 Of the 9,300 or so that were confirmed as greater
6 than plus, 29.4 percent were RPR-reactive, again a very low
7 number and, again, overall, a similar figure, 24.5 percent
8 of FTA ABS reactives were RPR-reactive.

9 [Slide.]

10 So I don't want to draw any strong conclusions
11 from this. My comments about these kinds of observations
12 would be first of all that in a pre-screened donor
13 population--that is, many of them have been tested time and
14 time again, the positive predictive value of the PK test is
15 less than 50 percent for antibody. We don't know what it is
16 for disease but, clearly in terms of its relationship to
17 detectable nucleic acid, it is much lower than 50 percent.

18 The frequency of reactive test results among PK-TP
19 reactives, so you take these screening-reactive individuals
20 and you confirm them is actually inconsistent with the
21 diagnostic model, so this is the real message; don't equate
22 diagnostic models with screening models.

23 I think that a small to zero proportion of STS
24 confirmed positives have evidence of active TP infection in
25 studies to date.

1 Thank you very much.

2 DR. HOLLINGER: Thank you.

3 Questions? Carmelita?

4 DR. TUAZON: Later on, the PCR, are the 97 RPR
5 reactive?

6 DR. DODD: Dr. Sharyn Orton will be discussing
7 that along the way.

8 DR. HOLLINGER: Thank you.

9 Ken?

10 DR. NELSON: Of these that are repeatedly
11 reactive, you then counsel or have histories on the donors.
12 How many of those have had a history of syphilis in the past
13 that was treated?

14 DR. DODD: I don't know if Sharyn is going to show
15 this data, but when we get down to this confirmed group, on
16 the basis of case-control evaluations, and correct me if I
17 am wrong, Sharyn, about 50 percent of them report having a
18 past history of syphilis, in many cases treated syphilis.

19 So, certainly, we are picking up some individuals
20 with a history of prior syphilis. I heard your question and
21 I don't know the answer to how that distributes by RPR but,
22 perhaps, Dr. Orton can comment on that.

23 DR. NELSON: And those that don't have a history,
24 then they are referred for medical evaluation, I guess?

25 DR. DODD: They are notified and the results are

1 interpreted to the best of our ability and they are advised
2 to seek medical support. But this is generally a hands-off
3 process rather than a personal interaction.

4 DR. HOLLINGER: Roger, you seem to suggest that
5 the difference between the RPR-reactives and the FDA-
6 positives, that 22 percent versus 43 percent, is either
7 because of post-treatment of latent syphilis? Is that the
8 assumption?

9 DR. DODD: I think the simplest explanation in my
10 mind is that if you accept that the FDA's really, truly
11 confirm, then the majority of them must represent treated or
12 are long past infection.

13 DR. HOLLINGER: Thank you, Roger.

14 Dr. Markowitz now is going to return and talk
15 about syphilis surveillance and bloodborne transmission.

16 **Syphilis Surveillance and Bloodborne Transmission**

17 DR. MARKOWITZ: Thank you.

18 [Slide.]

19 I don't know what the real correct title of this
20 talk should be. It has had different titles. But actually
21 the main thing I am going to talk about is something that we
22 tried to address at CDC and that is whether or not persons
23 with infectious syphilis, primary or secondary primarily,
24 will actually go to donate blood, will they not be sick
25 enough, will they not be picked up at the time of intended

1 donation.

2 So that was the main purpose of our analysis of
3 surveillance data. Then we did find that those cases of
4 primary and secondary syphilis do go to donate blood. So,
5 after that, we tried to obtain some estimates of the
6 potential of transfusion-transmitted syphilis. So I will
7 walk you through both of these exercises.

8 [Slide.]

9 The main question that we attempted to answer was
10 do persons with infectious syphilis donate blood and, if so,
11 how many, approximately, each year donate blood. That was
12 the main initiating objective of this exercise.

13 In order to review this, what I am going to do is
14 first briefly provide an overview of syphilis surveillance
15 in the U.S. so people can understand how we obtain these
16 data. Then I will give some information on reported cases
17 that we did identify from donor screening that were reported
18 to CDD and, finally, I will present some estimates that we
19 made on the potential of transfusion-associated cases that
20 might occur in the absence of screening.

21 [Slide.]

22 Syphilis, I think as most people know, is a
23 reportable disease in the U.S. and reporting to health
24 departments occurs through various routes. I have tried to
25 represent this schematically on the slide.

1 First of all, a symptomatic person can present to
2 a clinician and be diagnosed with syphilis and then be
3 directly reported to the health department. Also, both
4 symptomatic and asymptomatic persons could have serologic
5 screening either as a diagnostic test or because they are
6 ill or just for routine screening.

7 Those serologies are directly reported to the
8 health department, so the health department can obtain both
9 a case report and a serologic report. Once a serology or a
10 case is reported to the health department, further
11 evaluation occurs. The first thing that happens is that
12 serology and there is checked in a registry that is actually
13 kept in all health departments. This allows the health
14 department to determine which serologies may be follow-up
15 titers on previously diagnosed and treated cases.

16 These cases are not investigated further. Health
17 departments with a very high case load actually have other
18 criteria for which they use for making decisions on
19 investigation or noninvestigation of cases. If it is
20 indicated, after checking in the central registry, the
21 follow up is initiated by the health department and a team
22 of investigators would go out and investigate the cases, or
23 I should say the person.

24 The persons are interviewed, examined or their
25 medical records are reviewed and the case definitions that

1 CDC uses for surveillance are applied. At that point, the
2 disease-investigation person either makes a decision that it
3 is not a case, in which case, it is not reported, or it is a
4 case that is reported to CDC.

5 Since this is often a population that is very hard
6 to follow up, in many states, there is a sizable proportion
7 of persons with positive serologic tests for syphilis that
8 cannot be located and are lost to follow up and, therefore,
9 cannot be reported or evaluated. So only cases that
10 actually have a full evaluation get reported to CDC.

11 [Slide.]

12 The syphilis reporting system has changed in the
13 past decade as state and local health departments have moved
14 towards electronic reporting for all communicable diseases.
15 NETS, which is the National Electronic Communication System
16 for surveillance, was first implemented by CDC and state
17 health departments in 1992.

18 This has allowed collection of data that was not
19 previously available on cases. Relevant for our discussion
20 is that source of report is one of the fields that is
21 collected electronically now. So if a patient comes in and
22 was reported by a private physician, it is private
23 physician. If it is the STD clinic, STD clinic. And if it
24 is a blood bank, it will say blood bank on there.

25 Now, the previous system, which has been in place

1 for a long time, is the STD morbidity report system. This
2 will be eventually replaced by the electronic system, NETS.
3 It collects hard-copy data as aggregate data from all 50
4 states.

5 Detailed information is not available on all of
6 these cases. Since 1992, each year more states have sent
7 data electronically and, in the Year 2000, all but a very
8 few states are now reporting electronically.

9 [Slide.]

10 To estimate the donation-identified cases of early
11 syphilis, and for this exercise, we just looked at primary,
12 secondary and early-latent from 1995 to 1998, we used the
13 source of report field in the NETS data that was coded as
14 blood bank.

15 In order to estimate the number of cases that
16 occurred in the whole country, we had to make an adjustment
17 because not all states were using NETS in 1995 to 1998. So
18 we adjusted the NETS data using all the data that reported
19 from the STDMR system by multiplying by an estimation
20 factor. This was simply dividing the STDMR cases by the
21 NETS cases.

22 Then we multiplied the number of NETS cases that
23 had been identified as having blood bank as their source by
24 this estimation factor to come up with a projected total
25 cases for the U.S.

1 [Slide.]

2 This slide shows the data that we came up with
3 using this. First of all, you will note that the estimation
4 factor decreases between '95 and '98 because more states
5 were reporting electronically. So we didn't have to make as
6 large of an adjustment in the later years.

7 We also made separate adjustments for primary,
8 secondary and early-latent. In this column is the total
9 cases reported in the U.S. and these are the numbers that
10 were reported to NETS and then our estimation factor.

11 This is the number of cases that had blood bank as
12 their source of report. So, overall, in these four years,
13 we had 67 primary and secondary cases that had blood bank as
14 their source of report. And then, using our estimates, that
15 turned out to be 142 cases during that four-year period that
16 had blood bank as their source of report.

17 For early-latent, there were an actual 261 cases
18 through our electronic reporting system who had blood bank
19 as their source and that projected up to 785 estimated cases
20 that would have had blood bank as their source. Now, there
21 are problems with all this but I am just walking you through
22 to show you how we try to get these estimates.

23 So then a total of primary, secondary and early
24 latent, we had 927 estimated cases during the four-year
25 period that were reported through the blood-banking system.

1 These are people, then, that were detected, had a positive
2 serology by the blood bank and they were referred--they were
3 investigated by the health department and the health
4 department made a determination that, in fact, yes, they
5 were primary, secondary and early latent based on a review
6 of clinical records and interviewing the patient.

7 [Slide.]

8 One major limitation of the NETS data that came up
9 actually when we were doing this analysis is the fact that
10 the NETS data doesn't really distinguish between a blood
11 bank and a plasma center so that they would both be coded as
12 blood banks.

13 When we actually first saw these data, we were
14 surprised, ourselves. So what we did is we went back and we
15 called the STD program directors of four states that
16 accounted for the majority of donation-identified cases in
17 1998. This is a year-and-a-half later when we did this
18 because this is all occurring in the last year in response
19 to our discussions with FDA.

20 So this was not a real-time interview of these STD
21 program directors. But just to let you know, one director
22 said almost all the identified cases were from plasma
23 centers. One STD program director said they were all from
24 blood banks. In two other states, they said they were from
25 both and the proportion from blood banks was only slightly

1 less than the proportion from plasma centers.

2 I didn't bring this data but most of these, the
3 four states that reported the majority of the cases
4 identified through blood banks or plasma centers were all in
5 the South in areas that we know are high-incidence states.

6 [Slide.]

7 Just to put this in perspective, and if anyone got
8 an earlier slide, there was an error that I have corrected
9 but I just wanted to show you the proportion of blood-
10 donation-identified cases in relationship to all of our
11 reported cases and they actually account for a very small
12 percent.

13 So, during these four years, there were over
14 43,000 primary and secondary cases. Only 0.3 percent were
15 identified through the blood-donation system. There were
16 over 76,000 early-latent cases and 1 percent were identified
17 through this--they had source of report of the blood-bank
18 system.

19 [Slide.]

20 The next thing we tried to do was to estimate the
21 number of donors who would be potentially infectious. To do
22 this, we made a variety of assumptions. In this slide, I
23 have shown the assumptions we used to find out how many
24 infectious donors would result from these primary and
25 secondary cases.

1 So the first assumption was that all primary and
2 secondary cases were bacteremic at the time of donation.
3 The second assumption was that early-latent cases, 5 percent
4 of them, would be bacteremic. We could pick different
5 numbers and I am mainly walking you through so you could see
6 our thought process that we used.

7 So, therefore, we had 102 primary and secondary
8 cases divided by 4 to get the yearly number times 100
9 percent is 36 of those, 36 primary and secondary per year,
10 would be bacteremic at the time of donation. For early-
11 latent, 785 divided by 4 times 0.0510, so we came up with
12 possibly 46 donors per year would be bacteremic at the time
13 of donation.

14 [Slide.]

15 The next part of this I even had more difficulty I
16 think getting some real data. I have had some discussions
17 with a variety of people at the American Red Cross and the
18 FDA after we made our initial assumptions, and I will
19 present two different ways we looked at this.

20 The more we thought about this, we realized we
21 didn't have a lot of good data to estimate the risk posed by
22 these 46 bacteremic donors. The reasons for that are the
23 following, and I am sure other people here will come up with
24 other reasons.

25 First of all, we didn't really know what we should