

1 keep in mind that there are certain criteria that  
2 you'd really want to worry about in terms of  
3 characteristics of cells.

4 Dr. Harlan, and then Dr.--

5 DR. HARLAN: Well, my only comment with  
6 regard to Dr. Lederman's question was: implicit in  
7 your scenario as you presented it was that here was  
8 no animal model to test the viability of these  
9 cells in. So I think what the FDA continually--my  
10 read of it is that they say, "Do all the testing  
11 that's reasonable to expect." If there is no way  
12 to test the hypothetical cell that you're talking  
13 about, then I think the FDA would be reasonable, if  
14 you identified the patient population  
15 appropriately.

16 DR. KURTZBERG: I was going to just comment  
17 on the tripan blue question.

18 I don't think tripan blue is enough of a  
19 measure to tell you that your cells will preserve  
20 function and viability later. If you see a lot of  
21 cell death that's important. But you can have  
22 cells that will not exclude tripan blue five  
23 minutes later, but who will still die, you know,  
24 several days later.

25 So if you have a functional assay like a

1 colony assay or something like that, that would be  
2 a better measure.

3 CHAIRMAN RAO: Dr. Borer.

4 DR. BORER: Yes, I would like to agree with  
5 what Dr. Simons said, that you do need--I think you  
6 need an in vivo assay. I don't think it's a heart  
7 prep, because there is a difference--an important  
8 physical difference, I think--or there may  
9 be--between the outcome, in terms of the adequacy  
10 of delivery and the state of what's delivered, if  
11 you place the delivery device within turbulent  
12 flowing blood and a beating heart, than in a  
13 preparation that's external to the body, that's not  
14 subjected to those same mechanical stresses--even  
15 if it were a beating heart on a Langendorf  
16 apparatus or something--or something analogous to  
17 that.

18 So I think you do need some in vivo  
19 experience. I don't want to say how much, what  
20 model, how much in people. Those are degrees of  
21 specificity that I don't think we can get to here.  
22 But I agree with the point that Dr. Simons made  
23 that you do need in vivo experience.

24 CHAIRMAN RAO: Phillip?

25 DR. NOGUCHI: Not to comment specifically

1 on these particular catheters, but I will point  
2 out, since our device colleague is not here right  
3 at the moment, that under the device law you have  
4 something like this--this happens to be a  
5 blackberry. But as a manufacturer makes it, they  
6 are not restricted to always manufacturing  
7 everything themselves. So, for example, they may  
8 have several suppliers for the steel that's being  
9 used, or several suppliers for any of the  
10 lubricants, or for the tubing.

11 And so, from a real practical point of  
12 view, while rare, we do have experience where  
13 substitutions that are made by the manufacturer on  
14 a reasonable basis, based on their specifications  
15 and qualifications, can sometimes lead to fairly  
16 distinctive changes in the same device--let alone a  
17 comparable device--that can have severe adverse  
18 reactions.

19 And, again, we won't be talking about  
20 specifics, but let's just say that on a rare  
21 occasion, the fact that a device is made through  
22 multiple suppliers can lead to the question, and  
23 the realization, that sometimes we find it hardest  
24 to, off the shelf, just say: "This catheter is  
25 equivalent to this catheter," or "This device is

1 equivalent to this device," because it's not always  
2 quite the same supplied material.

3           CHAIRMAN RAO: We've had all of these  
4 experiences with tissue-culture plastic, and the  
5 same manufacturer changing the manufacturing  
6 protocol, and then the cells wouldn't grow. So, I  
7 mean, I completely agree with you that that's an  
8 issue to worry about.

9           Dr. Neylan?

10           DR. NEYLAN: I would love to take the  
11 opportunity to segue your comments to, I think, a  
12 closely related but perhaps still sidebar issue.  
13 And that is that just as the conversations here  
14 have demonstrated the importance of the interaction  
15 between the device and the constituents being  
16 delivered, I think there's another analogy that can  
17 be made within VDA about the importance that this  
18 instance brings up--and others like the  
19 drug-eluting stints--about perhaps finding new ways  
20 of working so that the different divisions can work  
21 more synchronously--CBER, and the devices  
22 division--so that it doesn't fall into some more  
23 prolonged review process, or step-wise review  
24 process, but perhaps could be done in a more  
25 consultative fashion.

1 DR. NOGUCHI: Just to quickly respond,  
2 that's exactly why we had Dr. Jensen throughout all  
3 the preparation for this, and he's been involved in  
4 all the reviews of all the products.

5 So--that point is well taken ,and we  
6 strongly endorse it.

7 CHAIRMAN RAO: Here's another question for  
8 the cardiologists, related to device--and I think  
9 Dr. Borer alluded to this already--is: though we  
10 can be accurate, we're not a hundred percent  
11 perfect in terms of delivering things. And with  
12 cells, then, that means if you deliver it into the  
13 cavity, or you deliver it into the epicardium, or  
14 you do that, then there's going to be a whole  
15 different effect of what you've delivered.

16 And should there be, when one does some  
17 sort of study like this, some way of monitoring  
18 that so that, you know--you're assessing a device  
19 and the cell, and should one be looking at  
20 bio-distribution after this has been done to worry  
21 about it? Or hopefully those things are  
22 discovered, because you've already looked at cells.

23 DR. BORER: Yes, I'll take the first crack  
24 at that.

25 At some point I was going to make the

1 suggestion that we should do just that. You know,  
2 nobody knows how much difference it makes.  
3 Jeremy's point is, of course, very well taken. We  
4 don't absolutely know where these things are being  
5 delivered with the best of implements. You know,  
6 there's reasonable accuracy but not total accuracy.

7           But we also don't know where they should  
8 be delivered. We don't know whether there is a  
9 difference in outcome if you deliver to the  
10 mid-wall, or whether you deliver to the endocardium  
11 or the epicardium. We don't know whether one part  
12 of the ventricle is more important than another; we  
13 don't whether the border zone or the center of an  
14 infarct--of a scar is important. We don't know any  
15 of those things. And information needs to be  
16 obtained.

17           Now, does that mean that all the  
18 information has to be available by the time a  
19 product may be ready for clinical use? I think  
20 perhaps not. It depends on the outcome from  
21 clinical studies. But information that would allow  
22 one to know these things would be very important  
23 if, for nothing else, for improvement of a  
24 product--even if a product were approved.

25           And I think that, therefore--getting back

1 to the point we all made earlier--there must be a  
2 data collection protocol set up that will allow  
3 data to be used from all the studies that are done,  
4 to allow us to answer questions like that. And I  
5 do believe that bio-distribution is very  
6 important-- knowing about it--not only throughout  
7 the whole body, but throughout the heart, so that  
8 we can somehow retrospectively relate the outcome  
9 to the location of what's been delivered. So I  
10 think it's very important.

11 CHAIRMAN RAO: Go ahead Joanne.

12 DR. KURTZBERG: I agree with everything you  
13 said. But I don't know, right now, of any safe way  
14 to label human cell and see them--on whatever you  
15 want to look at them with.

16 I mean, you can do things with iron and  
17 fluorescent dyes in animals, but those things are  
18 not safe for the cells, and there is no material  
19 that allows us to track human cells yet. We need  
20 one. It would be terrific. But I don't think it's  
21 there yet.

22 CHAIRMAN RAO: So would you say, Joanne,  
23 that this is true for animal studies when you're  
24 looking at them, or in preclinical studies, that  
25 there should be a way, but we can't necessarily

1 expect that that be done?

2 DR. KURTZBERG: I mean, it's just not ready  
3 for prime time in humans.

4 DR. BORER: Yes, I think that's a critical  
5 point. I think studies should be done in animals.  
6 But, I don't know whether the methods I'm going to  
7 describe are appropriate for the purpose.

8 But radio-nucleide based molecular imaging  
9 is becoming a reality. And it may be possible to  
10 monitor the presence and location of cells with  
11 label that can be administered after the fact to  
12 localize cells with certain characteristics. I  
13 mean, there would need to be enabling research to  
14 allow this to happen, but the imaging techniques  
15 have developed to the point where I think this may  
16 be a viable issue.

17 I think it's an important issue, so some  
18 time should be spent at some point looking at the  
19 various methods that can be used to identify cell  
20 types within the myocardium. But it may be that  
21 newer techniques for imaging could be used.

22 CHAIRMAN RAO: Dr. Simons, and then--

23 DR. SIMONS: I would like to come back to  
24 the safety issues of the needle-based material  
25 delivery.



1           Essentially, with all the needle devices  
2 now, there will be some loss--there will be some  
3 loss of the material. And, you know, depending on  
4 what the material is and what the catheter is, that  
5 it could be up to 50 or 70 percent of the dose.  
6 And it could be lost either in the left ventricular  
7 chamber, or it can be lost then through the  
8 coronary--into the myocardial vasculature and will  
9 immediately get washed out. And that happens to  
10 cells, too.

11           So I think it's something to sort of  
12 consider, because depending on the cell type used,  
13 you would clearly have a number of cells injected  
14 essentially in the left ventricular cavity.  
15 Whether that's a risk, I think, needs to be  
16 assessed. And this would be assessed, and it  
17 should be assessed, I think, in an animal model.

18           CHAIRMAN RAO: Here are a couple more  
19 questions for the cardiologists.

20           We heard that when you infuse cells in a  
21 long vessel--whether it's venous or arterial--that  
22 there are some specific complications of putting  
23 cells in; one was this idea of micro-emboli, and  
24 the other one was that you have ventricular changes  
25 in the echocardiogram.

1           Is this something that's of sufficient  
2 concern from experience, or that's something that  
3 one can learning by doing the experiment in an  
4 animal model? Or it's something that should be  
5 required or, you know, considered of urgent  
6 criteria? In any of things, in general?

7           DR. TAYLOR: Can I directly speak to that?

8           We know for years of our preclinical  
9 studies with surgical delivery, that we lose a  
10 relatively large percentage of the cells after we  
11 deliver them. And we may very well lose those both  
12 epicardially and into the left ventricular cavity.  
13 So I don't think it's that different for catheters  
14 than it is for surgical deliver in terms of the  
15 loss of cells.

16           More recently we have begun to develop  
17 some radio-nucleide labeling that lets us start to  
18 follow the bio-distribution of these cells. And I  
19 think what we're finding is size matters. The  
20 larger the cell, the more likely it is to be in the  
21 lungs; the smaller the cell, the more likely it is  
22 to be in the spleen or the liver--and that's not  
23 particularly surprising.

24           So I think--there probably is a whole lot  
25 of data already out there from surgical delivery of

1 these cells, and also from the delivery of bone  
2 marrow cells for other situations, that would  
3 directly feed into this, and we don't have to  
4 re-create that wheel.

5 CHAIRMAN RAO: Specifically, though,  
6 related to catheter delivery, though, one can't  
7 assume that it's going to be the same--right?--in  
8 terms of distribution.

9 DR. TAYLOR: No, but we do know that we've  
10 lost--we lose a significant number surgically into  
11 the ventricle as well.

12 DR. EPSTEIN: I'd like to just recall Bob  
13 Lederman's comments yesterday: we know that we're  
14 going to lose cells into the general circulation.  
15 So you could take an animal model and just inject  
16 the cells into the left atrium. You don't need a  
17 catheter. I mean, you know that cells are going to  
18 be lost into the circulation. And then, by  
19 whatever technique you may have, you could track  
20 them. But then what?

21 So, you know that the cells will be in the  
22 brain, in the spleen. But are you going to follow  
23 those animals for a year or two to see if there's  
24 an oncogenic--I mean, you know, that that's going  
25 to happen, and then you have to ask yourself, "What

1 do you do with that information?"

2 And I would have real questions as to how  
3 important that information is. Because you know  
4 what the answer is going to be.

5 Your other question is a very important  
6 one, and that is: the intra-coronary injection of  
7 cells--and I've forgotten the name of the gentleman  
8 who presented yesterday, with the dogs, showing  
9 small areas--

10 CHAIRMAN RAO: Mule.

11 DR. EPSTEIN: Yes--and we did a study like  
12 that--Dr. Unger of the FDA many years ago--where we  
13 injected endothelial cells that were harvested from  
14 the carotid arteries of dogs. These were  
15 autologous cells. And these were dogs with an  
16 amyloid constrictor around the circumflex coronary  
17 artery. We genetically altered those cells and we  
18 thought that we were going--it was really a smart  
19 experiment, injecting the genetically altered cells  
20 into the LAD to enhance collateral development.  
21 And all we did was kill dogs.

22 Because if you think about the situation,  
23 the LAD--the circumflex is totally occluded, and  
24 the LAD is feeding the entire left ventricle,  
25 essentially. And these cells embolize. I mean

1 they're too big to go through the capillaries.

2           So you probably wouldn't see any  
3 hemodynamic perturbations if you gave those cells to  
4 an animal with normal coronary arteries. But if  
5 you have a collateral dependent--if you inject them  
6 into a feeder vessel, then you'll see what, you  
7 know, you saw yesterday.

8           So the clinical studies that have been  
9 done to date--acute myocardial infarction, total  
10 occlusion of an artery, opening up the artery, and  
11 then several days later injecting cells--in that  
12 situation, I think you're okay, because you'll  
13 never be worse off than with the situation you were  
14 in five days before, with a totally occluded  
15 artery.

16           But now if you extend that and say, okay,  
17 let's take patients with chronic coronary disease,  
18 where you're injecting cells into a vessel that may  
19 feed collaterals to the rest of the heart--you  
20 know, I think you really need animal studies for  
21 that, for safety, but you have to model it very  
22 carefully.

23           And my prediction is that it would be very  
24 dangerous. So, you know, once again it depends on  
25 the clinical situation.

1 CHAIRMAN RAO: Go ahead, Dr. Lederman.

2 DR. LEDERMAN: I think that's a very good  
3 point. Alternatively, you could model this--since  
4 we have very sensitive biomarkers--of myonecrosis,  
5 both imaging-based or biochemical. And these can  
6 be testing in healthy animals.

7 And if we--as I say, again, there are  
8 fairly high-sensitive biomarkers. We could  
9 administer whatever cell prep we're interested in  
10 by intra-coronary infusion under different  
11 conditions, and if there is no myonecrosis, I think  
12 that's probably a satisfactory test.

13 Do other members of the committee agree,  
14 or do they disagree?

15 You gave us a much more  
16 difficult-to-achieve test.

17 VOICE: [Off mikel] But those are the  
18 patients [inaudible].

19 DR. LEDERMAN: I'm not disagreeing. I'm  
20 wondering if others have other opinions. It's not  
21 self-evident to me that your system, which is much  
22 more harder to accomplish, has more  
23 predictive--necessarily have more predictive value.

24 And I just wonder what other opinions  
25 might be?

1           CHAIRMAN RAO: Dr. Harlan, did you have a  
2 comment to make? Okay.

3           Let me see if I have a sense here--and  
4 nobody mentioned anything about monitoring, in  
5 terms of looking at arrhythmias of any sort. Is  
6 that something that one would consider as an  
7 important thing to do? And would that be something  
8 one would consider as a routine thing to do when  
9 one is testing?

10           DR. RUSKIN: Actually, I had mentioned  
11 something in response to one of the questions that  
12 was raised. And I would think if you were to  
13 pursue any of this work in a canine  
14 model--hopefully a relevant infarct model--that  
15 chronic monitoring with implanted telemetric  
16 devices would be appropriate, as would  
17 electrophysiologic testing--invasive testing--and  
18 just routine clinical monitoring for the kinds of  
19 adverse events that Jeff Borer described.

20           And I think those would be important to  
21 do, based on concerns about creating what may be a  
22 highly arrhythmagenic substrate. I'm not convinced  
23 that we know that happens, because of the kinds of  
24 patients in whom these procedures have been done.  
25 But at least the potential for doing that certainly

1 seems to be there, and it would have to be pursued,  
2 I think, pretty aggressively--including monitoring.

3 CHAIRMAN RAO: So--go ahead, Dr. Simons.

4 DR. SIMONS: If I can just amplify this  
5 point, because the concern of an arrhythmic even  
6 has been raised with skeletal myoblasts.

7 To my knowledge, this has not been raised  
8 with different cell types. So, do people around  
9 the table feel that this kind of monitoring is  
10 required for all cell types, or just for skeletal  
11 myoblasts?

12 CHAIRMAN RAO: I think we're just looking  
13 at delivery through a catheter, either through  
14 venous--or putting it in, not like a long-term  
15 thing--one week later monitoring, or--I'm just  
16 wondering about whether it's important, when you do  
17 the procedure--just like you would with dye or  
18 something--

19 DR. SIMONS: Oh, if you're talking about  
20 acute settings, it's standard to monitor. I mean,  
21 that's a standard of practice.

22 CHAIRMAN RAO: It would be something one  
23 would consider really important, you said.

24 DR. RUSKIN: I'd just like to respond to  
25 Dr. Simons' question.



1           My own bias would be in the beginning to  
2 be rather conservative and cautious with regard to  
3 monitoring in any of these models; my answer being,  
4 to his question: yes, I would be inclined to, even  
5 with other cell types--only because I think the  
6 potential exists for creating a substrate that is  
7 very dangerous.

8           I'm not at all convinced that happens.  
9 But introducing cells that morph into myofibers of  
10 any sort, in a situation in which we don't know how  
11 they line up, how they communicate, what the  
12 intracellular substrate looks like, what their  
13 action potential characteristics are, what their  
14 ion channel properties are--is one of the ways that  
15 I would, if you asked me to invent an  
16 arrhythmagenic substrate, that's one of the things  
17 I would think--one of the ways I would think of  
18 doing it.

19           So I think that the bar ought to be pretty  
20 high early on for some form of careful vigilance.  
21 The problem is that the sensitivity of these models  
22 is going to be low. And I would take no  
23 reassurance from the fact that nothing adverse was  
24 observed.

25           But, on the other hand, if adverse

1 outcomes are observed, it raises a very important  
2 issue, in terms of how one proceeds.

3 CHAIRMAN RAO: Go head, Dr. Schneider.

4 DR. SCHNEIDER: I'd like to follow up on  
5 Dr. Ruskin's cautionary note, and ask him how best  
6 might the FDA, or should the FDA take into account  
7 the established safety as demonstrated in Phase I  
8 trials elsewhere? I think that the nightmare  
9 scenario is appropriate, if it hasn't been done or  
10 60 or 100 patients already. But once its been done  
11 in 60 or 100 patients already, it seems to me that,  
12 without cutting corners, and without jeopardizing  
13 safety, the information has an applicability.

14 DR. RUSKIN: I think that's a very  
15 important point. And electrophysiologists have  
16 sledgehammer answer for all of that, and it's  
17 called an ICD.

18 And my own bias is that, yes, I think  
19 there is information that's quite reassuring  
20 already, and that given the nature of the patient  
21 population being studied, it would be relatively  
22 easy, I would think, to do your Phase I studies in  
23 patients who are already recipients of implantable  
24 defibrillators--because of the primary prophylaxis  
25 trials that have recently been completed and that

1 point out, I think, quite clearly that most of the  
2 patients we're talking about here are already ICD  
3 candidates.

4 So that's the ultimate protection. And I  
5 think given the data that's already available, it's  
6 quite reasonable to move ahead--with appropriate  
7 caution, and the protection of a defibrillator.

8 CHAIRMAN RAO: So this maybe gets back to  
9 what Dr. Lederman raised, then, that if that's the  
10 case, and that's how you're going to do your Phase  
11 I clinical trials, why is it necessary to worry  
12 about it in the animal model?

13 DR. RUSKIN: Because I think we can learn a  
14 great deal from animal models, and if we--my  
15 sense--and, again, I'm naive about this, but I get  
16 the sense that we don't know exactly what the right  
17 cell type is, how to deliver it, in what kind of  
18 media. There are all sorts of unanswered  
19 questions. Are the cells going to be genetically  
20 modified, and so on, and are there going to be ways  
21 of ensuring that they lay down appropriately and  
22 form connections?

23 These are all unanswered questions. And I  
24 think until they're answered, the more information  
25 you get from preclinical models, the smarter you'll

1 be.

2 CHAIRMAN RAO: Dr. Cannon, you had a point  
3 you want to make?

4 DR. CANNON: I was just thinking, listening  
5 to Steve's comments, I would take exception, Steve,  
6 to your lack of concern about cells--large cells,  
7 now, not the peripheral blood mononuclear cells, by  
8 the myoblasts, the larger cells--about whether they  
9 go to the brain or not, and will that matter,

10 I think it might matter--after looking at  
11 what happens in the coronary circulation of dogs  
12 when these cells are injected, if a similar  
13 phenomenon were to occur in the brain, I would be  
14 worried that the patient may be different  
15 cognitively after the procedure than before the  
16 procedure, even though there may be benefit to the  
17 pump function of the heart.

18 So I think it would be important to know,  
19 in an animal model, injecting cells into the left  
20 ventricle, the left atrium, if they do lodge in the  
21 brain, and for how long. And, if so, certainly  
22 that would raise concerns for cognitive monitoring  
23 in this kind of application.

24 CHAIRMAN RAO: Joanne?

25 DR. KURTZBERG: You know, if you had been

1 here for the neural stem-cell meeting, they would  
2 be so happy if you could put cells into the left  
3 ventricle and get them into the brain.

4 [Laughter.]

5 I mean, that's very hard to do unless you  
6 have some kind of connection you're not supposed to  
7 have.

8 So, I mean, realistically, that probably  
9 is the one thing you don't have to worry about.  
10 They'll go all over the place, but to go into the  
11 brain at the time you inject them into the blood is  
12 not a worry, I don't think. It's actually a  
13 challenge for the people who want to get cells into  
14 the brain.

15 CHAIRMAN RAO: But it's a general problem,  
16 right? Anything which is in artery circulation  
17 essentially--where they might be distributed might  
18 be something to worry about, right?

19 DR. TAYLOR: I actually wanted to address  
20 the point of whether--Dr, Ruskin's point about  
21 whether or not we need to deal with--when 60 or 100  
22 patients have already been treated, whether or not  
23 we need to still demand preclinical information.  
24 And I guess what we have to get back to is whether  
25 or not the cells are identical.

1           If the cells are not identical--just  
2 because one group can grow appropriate endothelial  
3 progenitor cells for three days in a dish doesn't  
4 mean if somebody else tries it they're going to get  
5 the same cells. So I think the data have to be  
6 fairly convincing that you're working with the same  
7 cell population, or it's not appropriate to base  
8 that on previous data.

9           And just calling it the same thing doesn't  
10 mean it is the same thing. The markers have to be  
11 the same.

12           CHAIRMAN RAO: Do you have a comment? Go  
13 ahead, Dr. Lederman.

14           DR. LEDERMAN: I also want to comment on  
15 Dr. Ruskin's point.

16           Certainly, it's defensible to advocate a  
17 strategy--in fact, European investigators have  
18 already sometimes applied a strategy of  
19 prophylactic defibrillator implantation before  
20 testing cell therapies for various applications.  
21 But to mandate that I think is a bit extreme in a  
22 way that would hurt the field, and patients in  
23 that, our most sensitive, surrogate markers of  
24 myocardial performance would then be unavailable  
25 for our patients. And that means MRI endpoint

1 assessment.

2 So, unfortunately, that's a very high  
3 price. And I think to mandate it is--

4 CHAIRMAN RAO: Remember, the committee  
5 doesn't mandate, and the committee's only advisory,  
6 and it's not looking at any specific applications.

7 DR. LEDERMAN: But, unfortunately--this is  
8 not a compromise.

9 DR. RUSKIN: Yes, your point's very well  
10 taken, and Mike Sunn has made the same point with  
11 regard to how it compromises imaging.

12 I didn't mean to suggest that anybody even  
13 think about mandating a population in whom this is  
14 done, or mandating the use of a prophylactic ICD.  
15 What I was suggesting was that there's a very large  
16 patient population that already exists that have  
17 ICDs implanted for appropriate clinical  
18 indications, who have severe congestive heart  
19 failure, and are at the end of the road, and have  
20 had CRT therapy, and might provide an appropriate  
21 population in which to begin to do some of these  
22 studies--if the question of arrhythmagenesis  
23 remains high on the list.

24 I understand that that involves  
25 compromises in terms of imaging. Nor do I mean to

1 suggest at all that that be the only group in whom  
2 one ought to consider appropriate trials.

3 DR. BORER: I think that several important  
4 points have been made, and I'd like to comment on  
5 three of them.

6 First of all, I agree with what Jeremy  
7 said about conservatism in doing these studies,  
8 and would just amplify by saying that  
9 arrhythmias--potentially lethal arrhythmias--could  
10 occur at several points after the administration of  
11 cell therapy, and the mechanism in each case could  
12 be different. So you have to watch at many points  
13 in time. I don't know when the watching needs to  
14 end. Again, it may be beyond the scope of this  
15 meeting, and maybe in the too-hard box.

16 But the important point is that there is  
17 the potential for problems with the initial  
18 mechanical perturbation, with the initial physical  
19 injury of the myocardium. Then, subsequently,  
20 there are problems when the cells begin to grow  
21 before they have fully defined their  
22 interconnections with the surrounding tissue. And  
23 then there are other problems that could occur when  
24 they have made those connections.

25 So one has to monitor. And I would



1 suggest that we do need that information.

2 I think that Jeremy was absolutely right  
3 in indicating that the availability of ICDs reduces  
4 the risk compared to what it might be, but there a  
5 couple of points that we have to keep in  
6 mind--without negating in any way what he said. I  
7 think Dr. Lederman's point is a good one. If you  
8 use that population, you can minimize the capacity  
9 to make certain measurements you want to make.

10 But, more importantly, the fact that an  
11 ICD is in place doesn't mean that someone has been  
12 made immortal. [Laughs.] So, if you create  
13 arrhythmias and they are sufficiently severe, they  
14 may override the ICD, and we wouldn't want to do  
15 that. So we'd want to know if that was a potential  
16 problem--number one.

17 Number two, even if the ICD was  
18 successful, people don't like to be shocked. I  
19 mean, it hurts--they tell me. So, you know, one  
20 would like to know about that problem. And, you  
21 know, I'm not saying anything different from what  
22 Jeremy said--and he could say it better than I.  
23 But I think you have to keep that in mind.

24 So we'd still like to know about the  
25 arrhythmias, their likelihood, etcetera.

1           Now, how much do we know because 60 to 100  
2 patients have been studied? I have a statistician  
3 sitting two seats to my right, and he should answer  
4 this. But I think--and you'll correct me if I'm  
5 wrong Dr. Tsiatis--that the power we have from zero  
6 out of 100 doesn't rule out a heck of a lot. There  
7 could still be a lot of events. And so we should  
8 have, I think, some preclinical data to help us in  
9 this situation.

10           So I think those are just three  
11 observations on the important points that have been  
12 made.

13           CHAIRMAN RAO: Dr. Schneider.

14           DR. SCHNEIDER: In part, to follow up with  
15 Jeff's cautionary note in terms of the numbers--I  
16 think it's either naive or disingenuous for someone  
17 to suggest, as Dr. Lederman did, that it would harm  
18 patients for any of the conservative precautions  
19 being imposed as they're being discussed here.

20           Since Phase I trials haven't been done  
21 there's no proof yet of safety in humans in the  
22 U.S., much less of efficacy in humans. So to wrap  
23 yourself in the mantle of protecting patients by  
24 speeding the trials along is preposterous.

25           CHAIRMAN RAO: Let's see if I can try and

1 summarize and see whether we have some consensus on  
2 some basic statements here.

3           So, it seemed to me from just listening to  
4 everyone was that everybody thought that one needs  
5 animal studies. And since this is with the device,  
6 it seemed very clear that one needed animal studies  
7 in a large animal--of some kind, right?

8           And that you couldn't extrapolate from one  
9 type of delivery to another, because there were  
10 issues with it. And you couldn't extrapolate from  
11 one type of catheter to another, because there are  
12 issues with doing that, or if you're using it in a  
13 different way than what it was supposed to be used.

14           And that if people in different centers  
15 used one device, they should have some sort of  
16 hands-on experience, because things change when  
17 you're using it in a different fashion--if I have  
18 paraphrased that right.

19           And that once you deliver cells, you  
20 really need to look at the function of the cells as  
21 they've been delivered, and so that they need to be  
22 delivered in vivo in some fashion because simple  
23 models will not be adequate in terms of doing it.

24           And you have to look at their behavior  
25 where they've been delivered; and that monitoring

1 has to be of a reasonable length of time, in terms  
2 of that behavior in terms of safety of what you'd  
3 look at.

4           And that during that process--especially  
5 if you're doing it into arteries and veins, that  
6 there are issues of monitoring because of what's  
7 known about micro-emboli and what's known about  
8 that; that you need studies to look at whether  
9 that's going to cause ischemia or an infarct, or  
10 it's going to cause arrhythmias, and that that  
11 needs to be monitored for at least some period of  
12 time in a critical way; and those would be unique  
13 or specific to delivery via the cardiac route.

14           Does that seem like a--have we missed  
15 something? I mean, there was some issue that we  
16 did not really look at in terms of long-term  
17 follow-up, and that wasn't absolutely clear. Dr.  
18 Borer seemed to point that you might need to worry  
19 about it on a longer basis, and one might need to.

20           DR. BORER: Can I just come back to the  
21 point Richard Cannon made? And I'm asking a  
22 question here. I don't know anything about cell  
23 delivery to the brain, or whatever.

24           But I think there may be an important  
25 difference between delivery of functional

1 progenitor cells to the brain that might cause a  
2 benefit, and delivering a bolus of something that  
3 might obstruct an artery, even though it couldn't  
4 grow into a new part of the brain.

5           So I would continue to have Richard's  
6 concern about the embolization--the importance of  
7 potential embolization to the brain, despite the  
8 fact that, apparently, the neurologists have a hard  
9 time developing a therapy by delivering cells that  
10 way.

11           Am I wrong about that? Is that--

12           DR. KURTZBERG: It can't cross the  
13 blood-brain barrier--okay?

14           DR. BORER: But you don't have to. All you  
15 have to do is block an artery.

16           DR. CANNON: I'm worried about plugging the  
17 microcirculation, much as the microcirculation of  
18 the dog heart was plugged by these cells. And  
19 these are large cells. They're not like stem cells  
20 that are small and deformable and that circulate  
21 very easily. These are large--I'm talking about  
22 the myoblasts, now, not the stem cells.

23           And, certainly, we send patients to  
24 surgery, and even to the cath lab, and they  
25 sometimes come back differently because of things

1 that are dislodged during the course of the  
2 procedure that make their way to the brain. So,  
3 certainly, the circulation can carry debris to the  
4 brain.

5 It's just a concern. And I would think an  
6 animal model, perhaps--just injecting the cells  
7 into the cavity of the left ventricle to see if  
8 they do, indeed, lodge in the brain for a period of  
9 time that might be anticipated to cause some damage  
10 would be a worthwhile thing to look at--for the  
11 large cells, not the mononuclear--the stem cells or  
12 the peripheral blood mononuclear cells. I don't  
13 think that's a concern. It's the large cells.

14 CHAIRMAN RAO: Dr. Grant, you had a  
15 comment?

16 DR. GRANT: Yes, I just want to just speak  
17 to this third point, the injection of cells into  
18 systemic circulation.

19 And the question that would be consequent  
20 to your discussion is: do you think that an animal  
21 study--that an additional animal study needs to be  
22 done in which the cells are specifically injected  
23 into the systemic circulation to see about the  
24 systemic effects? Or do you think that these kinds  
25 of effects that you're worried about would be

1 picked up in the other animal model--in other  
2 animal studies?

3 Because there would be enough systemic  
4 distribution we'd need to do additional studies?  
5 That's, I think, what that third question was  
6 about.

7 CHAIRMAN RAO: Let me see if this was  
8 summarized from what people said: is it depended on  
9 the cell type; that bone marrow cells, we have a  
10 lot of experience with in terms of putting them in  
11 systemic circulation, but that's not true for, say  
12 myoblasts, or for some of the other cells.

13 And for myoblasts, maybe we have some  
14 experience because that's been done in some of the  
15 animal models already, but that's not true for some  
16 of the other sorted cells or the passage cells.

17 DR. SCHNEIDER: Michael Schneider--but to  
18 deal with Dr. Grant's question specifically, it  
19 would be my expectation that the kinds of  
20 information that would be useful to address this  
21 point about embolic risk would come about as part  
22 of the natural dose-ranging studies that would  
23 occur. I don't envision that it would  
24 scientifically advance a protocol to inject  
25 non-physiological numbers, or non-therapeutic

1 numbers of those cells into the systemic  
2 circulation to see what happens.

3 And I share Dr. Cannon's cardiologists's  
4 view of the nervous system as a sponge that vessels  
5 go to.

6 [Laughter.]

7 DR. TAYLOR: I just want to make two quick  
8 comments--oh, I'm sorry.

9 One is that myoblasts are not the only  
10 large cells we're talking about here. Some of the  
11 mazenchymal cells are as large or larger than  
12 myoblasts, and we need to keep that in mind.

13 VOICE: [Off mike] What's--

14 DR. TAYLOR: 10 microns. Yes. Rounded.

15 But the other issue is that we did studies  
16 for different reasons, where we injected many of  
17 these different bone marrow-derived cell  
18 populations intravenously to try to treat vascular  
19 injury. And we found that there were some negative  
20 effects of some of those cells, and positive  
21 effects of other of those cells. And we didn't  
22 expect that.

23 And I think what we have to say is if  
24 intravenous is going to be your preferred route of  
25 administration, then obviously you have to do that.



1 But, otherwise, I think it's a waste.

2 CHAIRMAN RAO: Dr. Borer.

3 DR. BORER: In response to Dr. Grant's  
4 specific question, I do think it may be worth doing  
5 a specific animal study. I think Mike is right,  
6 that the information may well fall out of the  
7 studies that are done with dose-ranging in the  
8 normal course of development.

9 But the problem I see here is that we  
10 don't actually know how many cells are escaping  
11 into the systemic circulation with the various of  
12 routes of delivery we've been talking about. And,  
13 therefore, we may miss the information that we  
14 want.

15 Doing what Steve said, which is to inject  
16 some cells into the left atrium and, you know, see  
17 what happens, seems to me to be a good idea because  
18 ultimately what you wind up with is the lower bound  
19 at which problems might be begin to develop. And  
20 if, in fact, the lower bound of injectate size at  
21 which problems would develop is above the size of  
22 anything you're injecting, then it's a non-problem  
23 and you don't have to worry about it anymore. If  
24 it's not, then you have to worry about it a little  
25 bit more, and maybe the strategy would change.

1           So I think it may be worth doing a  
2 specific study to determine what happens to these  
3 large cells.

4           DR. CUNNINGHAM: I also want to comment  
5 that for when we do this in patients, that it's  
6 going to be a risk they would at least want to know  
7 about; that there was going to be a cognitive  
8 change. That's something people tend to care a lot  
9 about; either whether it's in themselves or it's in  
10 a family member, that it's not a simple thing, and  
11 you at least would want to know that was a risk,  
12 and you might not choose to have the therapy if  
13 that were going to be something you had to endure.

14           CHAIRMAN RAO: Quick comment, Dr. Lederman.

15           DR. LEDERMAN: Unfortunately, yet another  
16 question.

17           If we are administering locally cells  
18 derived from a patient by leukopheresis, for  
19 example, how important are the questions we've been  
20 discussing about systemic distribution, or  
21 mal-distribution of cells themselves recovered from  
22 the circulation?

23           CHAIRMAN RAO: I mean, I thought we tried  
24 to cover that, because we did try to point out that  
25 there might be different criteria--you can have a

1 standard criteria on the cell type. But even if  
2 it's a cell which is endogenous, you know, if you  
3 put RBCs back, there is an issue of the  
4 concentration at which you're putting it relative  
5 to the concentration at which they're circulating.  
6 And that's always been an issue.

7           And so I don't know if it would change  
8 specifically for leukopheresis versus any other  
9 method, but I would still want to know what  
10 happened when we put in cell by a particular  
11 method, and how they went, and what they did.

12           DR. KURTZBERG: I mean, there is data about  
13 the upper limit of safe cell dosing when you give  
14 leukopheresed cells. I mean, it's way, way, way  
15 above--it's logs above the doses that you're  
16 talking about for these injections--even if the  
17 whole injection escaped into the circulation. I  
18 mean, we're talking  $5 \times 10^{10}$  to  $10 \times 10^{10}$ . And there  
19 are rates per kilogram to infuse them to not have  
20 leuko-agglutination. But you're two to three logs  
21 below that in the numbers that you're talking  
22 about.

23           The other thing is that, I mean, in  
24 leukemia, people have circulating blasts that are  
25 large cells. They may be 20, 25, 30 microns in

1 diameter if they're certain kinds of blasts. And,  
2 in general, they have high numbers of those cells,  
3 and they're not clogging things--until the white  
4 count gets very high, and then they do clog--you  
5 know, decrease CNS perfusion.

6 But, I mean, you can learn some lessons  
7 from those kinds of cells that may help sort some  
8 of this out.

9 CHAIRMAN RAO: To me, the sense is that the  
10 committee's telling people that one should be  
11 cautious, and that testing is required.

12 Does that seem like a short summary?

13 And I'm going to ask the FDA--did they  
14 feel that they had a sense for the kind of issues  
15 that one needs to worry about?

16 DR. GRANT: Yes, we're ready to move on to  
17 the next questions. But Richard had something he  
18 wanted to say.

19 DR. McFARLAND: I don't want to spend a lot  
20 of time on this, but one specific question--just as  
21 a ballpark--for the studies that are to test safety  
22 of catheter administration of a cell--I don't--at  
23 this point it doesn't matter which cell--how long  
24 would one expect the studies to go out? Three  
25 hours? Weeks? Four weeks? Three weeks?--not

1 dealing with, necessarily, the biological  
2 properties of the cells, but just the safety  
3 related to administration.

4 CHAIRMAN RAO: Let me take a stab at this,  
5 and then see if the committee aggress.

6 So, there are a whole set of studies that  
7 we talked about which are related specifically to  
8 cells--right? And those are really in terms of the  
9 safety of the cells and the long-term effect after  
10 they incorporate and what happens with them. So,  
11 really, when you're thinking of a combination of  
12 cells with a device, you're looking at the  
13 short-term effect of delivering those cells, and  
14 the complications if they go to an inappropriate  
15 place.

16 My feeling would be that that's the issue  
17 that you would want to look at, which is relatively  
18 short term rather than long term, in terms of  
19 looking at it.

20 Does that seem like a reasonable--

21 DR. BORER: I think that's reasonable, but  
22 I would just--you know, you're talking specifically  
23 about device-related injury, I believe.

24 DR. McFARLAND: Correct.

25 DR. BORER: You know, my understanding of

1 this situation--and you'll correct me if I'm  
2 wrong--is that if you create a physical injury, it  
3 takes a couple of weeks for the necrosis to be  
4 maximal, and the scar to begin to form; and, you  
5 know, a little bit longer until the scar is fully  
6 mature.

7           It seems that those kinetics would define  
8 the time--the duration of the observation period,  
9 because we are talking here about creating a  
10 physically-mediated injury.

11           So, you know, just as a stab, if you  
12 looked at some set of animals, or some experimental  
13 preparation--whatever it is--for a month, I think  
14 you would encompass all the device-related  
15 problems. Probably you could do it in less time,  
16 but I would be thinking about the kinetics of  
17 injury, tissue necrosis and scar formation as the  
18 basis for making that decision.

19           DR. SIMONS: I think I would be looking at  
20 a much shorter time frame. I think the injury from  
21 the needle-based devices is minimal. We have  
22 pretty extensive experience with the devices in  
23 animals. They're really benign, all of them.

24           And after what we did--you know, to hearts  
25 with lasers, what we can do with a 27-gauge needle

1 does not even come close. I would not really be  
2 worried about the acute safety of a needle-based  
3 device.

4 DR. NEYLAN: And I'd just like to revisit  
5 my sidebar issue of the need for close  
6 communication between the divisions at FDA, because  
7 this is an example where I think we would not like  
8 to see one set of experiments go forward that  
9 describe device-related safety, and another about  
10 the delivery of the cells.

11 So I think it would be much better if we  
12 create one set of experiments that answer both  
13 questions.

14 DR. McFARLAND: No, I agree, and that was  
15 part of the impetus for asking the question, so  
16 that TDRH and CEBR can have a basis for discussion.

17 CHAIRMAN RAO: Now that we've talked this  
18 one through, shall we move on to the--I guess the  
19 clinicians have been waiting for this, I guess--in  
20 terms of the clinical aspects of these questions.

21 So I'm going to read out that question,  
22 and then I'm going to just let people make  
23 individual comments, and then see whether we can  
24 put that together.

25 So the question was: Please discuss the

1 appropriate frequency and duration of follow-up.  
2 In addition to any other events, please consider  
3 the following potential adverse pathological and  
4 clinical events in your discussion items: scar  
5 formation, left ventricular dysfunction,  
6 ventricular arrhythmias and neoplasia.

7           And I guess, here, I just want to make  
8 sure that we are clear on this, is that we're  
9 thinking about early clinical studies that will be  
10 done, rather than looking at animal models here.  
11 So this would be some kind of clinical study where  
12 you've done it, and you want to worry about whether  
13 this makes appropriate sense, and what kind of  
14 follow-up should one consider, and what are the  
15 issues related to this?

16           VOICE: [Off mike] [inaudible].

17           CHAIRMAN RAO: Yes, I think that's an  
18 important point, given what we've already heard.  
19 That's another important issue to worry about.

20           DR. CUNNINGHAM: How about cognitive  
21 function, since we just discussed that; and also  
22 stenosis.

23           CHAIRMAN RAO: Okay.

24           Go ahead, Dr. Borer.

25           DR. BORER: I'd like to focus on left



1 ventricular function or dysfunction here. I mean,  
2 we've talked about arrhythmias and the duration of  
3 observation that might be necessary for those. But  
4 I want to point out something that has an impact  
5 here.

6           If you replace an aortic valve in a person  
7 with aortic regurgitation, it takes three years  
8 until left ventricular function has maximized. If  
9 you replace a mitral valve in someone with mitral  
10 regurgitation, it takes three years for left  
11 ventricular function to maximize--systolic function  
12 to maximize.

13           Now, forgetting about the whys and  
14 wherefors, there are lot of processes--and as Steve  
15 said yesterday, we don't understand them all, but  
16 the cells do. The fact is that a great deal of  
17 remodeling goes on after you change the milieu; the  
18 exogenous hemodynamic milieu and, I would suggest,  
19 perhaps the cellular milieu in the scar, because  
20 what you do in the scar is going to impact--if it's  
21 effective, it's going to impact on what's happening  
22 in the non-scarred areas.

23           So, with that as a preamble, I would say  
24 that at some point in some studies, you've got to  
25 look for a long time to know everything that may

1 happen. Is it necessary to look that long before  
2 you approve a product? No, of course not--at least  
3 I don't think so, not if there are sufficient  
4 animal studies and early clinical experience that  
5 suggest you don't get deterioration. If you get  
6 some improvement that's clinically relevant, you  
7 know, at six months or whatever the time point  
8 you're looking at is, one might approve a product.

9           But in terms of the duration that we  
10 should make observations, ultimately, at some  
11 point, either before or after approval of a  
12 specific product, you have to look for a long time  
13 if you're going to see the effects. And we don't  
14 know the process that's going on here. We're  
15 injecting cells. The cells may be  
16 re-differentiating, transdifferentiating. They may  
17 be doing all kinds of stuff. We don't know the  
18 kinetics of those changes. We don't know what that  
19 means. We know that--I have to infer from the data  
20 I saw yesterday that important changes in the  
21 interaction between myocytes and extracellular  
22 matrix is going on during this period; the kinetics  
23 of extracellular remodeling is much slower than the  
24 remodeling of myocytes--on and on and on and on.

25           In order for us to fully understand the

1 biology here, if we're just talking about  
2 mechanical function, it's necessary to look for a  
3 long time. Again, that may not be necessarily in  
4 order for a product to be approved--there are other  
5 issues there--but to know the biology, monitoring  
6 has to go on for a while. And although it's not my  
7 field--and Steve and others may want to comment on  
8 this--I think the same thing is probably true of  
9 the angiogenesis-arteriogenesis issue.

10 CHAIRMAN RAO: Before you cede the mike can  
11 you say, well, what kind of monitoring? I mean it  
12 should be Holter monitoring for one month or, you  
13 know--

14 DR. BORER: Well, in terms of left  
15 ventricular performance, you know, there are a  
16 number of non-invasive techniques that easily can  
17 be applied periodically over time; you know,  
18 echocardiography, radio nuclide angiography, MRI if  
19 you happen to have it available and the patient can  
20 undergo MRI. There are a lot of techniques.

21 But there are global, left ventricular  
22 function assessment techniques, and that's what we  
23 really care most about. If we see improved wall  
24 thickening someplace but the heart's not putting  
25 out more blood and not pumping better, who cares?

1           So I would say that there are a variety of  
2 standard, non-invasive techniques that can be used  
3 to evaluate mechanical performance of the heart.

4           In terms of electrical performance, as  
5 long as you're looking at the mechanical  
6 performance, you may as well look at the  
7 electrophysiologic aspects of what's going on--and  
8 there, yes, I think a Holter and a standard 12-lead  
9 electrocardiogram would be the minimum.

10           In earlier studies--as Jeremy pointed out  
11 before--during the first few months after an  
12 intervention--now I'm talking about animals,  
13 because you wouldn't re-do this in people--I think  
14 standard electrophysiologic testing--invasive  
15 electrophysiologic testing--would be very  
16 important. In people, I can't imagine that you  
17 would want to do that very often. People don't  
18 like to have that done to them.

19           I don't think you'd want to do standard  
20 electrophysiologic testing very often. There might  
21 be some subset--and, you know, Jeremy should  
22 comment on this--in whom a pair of standard  
23 electrophysiologic studies might be done, separated  
24 by an interval of, you know--whatever the interval  
25 is; whatever the preclinical data and the 24-hour

1 ambulatory electrocardiogram suggest is correct;  
2 maybe a month, maybe two months--I don't know.

3 But I think you do have to look at certain  
4 aspects of the electrical function of the heart.  
5 It's simple to do that with electrocardiography,  
6 because if the electrocardiogram's okay, if you're  
7 not seeing arrhythmias, then, again, who cares  
8 about what's going on in the substrate? And as  
9 long as I was looking at mechanical function, I'd  
10 look at electrical function by these simple means.

11 CHAIRMAN RAO: Joanne?

12 DR. KURTZBERG: I would think it would be  
13 important also to, if possible, require or strongly  
14 suggest an autopsy for any patients who die--given  
15 that you're saying this is such a high-risk  
16 population. Because you may learn something about  
17 the anatomic and histologic things you find in the  
18 heart, even three years later, that will help you  
19 optimize this.

20 DR. RUSKIN: I think, with regard to  
21 follow-up, the issue of safety with regard to  
22 ventricular arrhythmias is a very difficult  
23 challenge. I think if we've learned one thing in  
24 the last 20 years it's that you don't follow  
25 ventricular arrhythmias. You either stay out in

1 front of them, or people die.

2           And Holter monitoring, I think, in this  
3 population is a waste of time because the  
4 prevalence of spontaneous arrhythmias in this  
5 patient group is somewhere between 60 and 80  
6 percent--talking about non-sustained ventricular  
7 tachycardia. And the question then arises as to  
8 what you would do about it if you saw it, because  
9 anti-arrhythmic drugs--talk about Dr. Epstein's  
10 Janus effect--you know, we might just as easily  
11 kill people with the drugs that we use to try to  
12 suppress these things as help them. And that's why  
13 it brings me back, I think, to the issue of having  
14 a group as protected as possible at the time that  
15 they get the therapy, with an implantable  
16 device--at least early on; not that it's perfect,  
17 but at least it offers a high level of protection  
18 against anything other than an incessant VT or VF.

19           And I think that's really what the  
20 follow-up is. It's having a protected patient with  
21 a monitoring device that records events 24 hours a  
22 day, seven days a week, 365 days a year. But the  
23 real lessons will be learned from outcomes and, I  
24 think, from the preclinical work that gets done.  
25 And it's not going to get answered by ECGs and

1 Holter monitoring and other simple forms of  
2 observation.

3           There are host of other risk  
4 stratifiers--like T-wave alternans, signal average  
5 DCGs, and a number of other things--all of which  
6 would be of interest. The problem, again, will be  
7 that the positivity rate is so high in this  
8 population, even without the therapy, that I think  
9 it's going to be very hard to distinguish the  
10 treatment groups from the non-treatment  
11 groups--even if there's a pro-arrhythmic effect.

12           CHAIRMAN RAO: I'll ask this in a more  
13 particular way, and it's really part of Question 7,  
14 which sort of segues into this, and you've already  
15 raised that as a point.

16           So, once you've chosen a patient  
17 population--and there's a caveat on how you choose  
18 the population from the points of the worries that  
19 one has with any kind of new therapy--you have to  
20 worry about monitoring them, and there's going to  
21 be a certain basis of monitoring which is dependent  
22 on the disease or the underlying process that they  
23 have.

24           And then you want to have some kind of  
25 additional monitoring--maybe--which is specific to

1 the therapy that you've now introduced. In this  
2 case is there anything which is new or unique that  
3 has to be added on, or can one simply say that,  
4 well, you've chosen this patient population.  
5 You're going to have to really be doing massive  
6 monitoring anyway. Do you need anything else.

7           And, you know, Joanne pointed out that  
8 even if you do all of this, one important thing one  
9 should suggest is that they also do an autopsy,  
10 which is not really monitoring on side effects but  
11 is learning after the fact; and that one should be  
12 looking at closely monitoring improvement in some  
13 fashion, or at least it's function of the cells, by  
14 looking at left ventricular ejection fraction in  
15 some fashion, or left ventricular function in some  
16 fashion.

17           Are there other sort of additional things  
18 that one can use, and which would distinguish  
19 between, say, the therapy--like you pointed  
20 out--versus the underlying disease?

21           DR. SIMONS: If I can attempt to begin to  
22 sort of address these issues--and it really takes  
23 us into, I think, Question 7.

24           As Dr. Ruskin points out, there is a very  
25 high frequency of all sorts of events in these



1 people, given what these patients are. I really  
2 think the only way you can find out how safe these  
3 kinds of therapies are, if you do double-blind  
4 randomized trials, and you have control group--not  
5 to assess efficacy but to actually assess safety,  
6 because there will be a number of adverse events in  
7 this patient group, and we will not be able to say  
8 whether that is because of therapy or because of  
9 natural history of disease if we don't have a  
10 control group.

11 CHAIRMAN RAO: Dr. Perin.

12 DR. PERIN: In talking about monitoring LV  
13 dysfunction, I think we probably should start from  
14 the beginning, which is really--obviously, we need  
15 to see these people pretty often, in terms of  
16 clinic visits because I think symptoms, even though  
17 are not completely objective are an important thing  
18 to assess in these people.

19 And in our limited clinical experience we  
20 noticed that people really had a change in  
21 improvement--we presented this at ACC--around the  
22 seventh and eighth week. So that's something that  
23 you might want to know.

24 Also, I think that echocardiographic  
25 evaluation is simple--because there is a problem of

1 doing MRI, because a lot of these people are going  
2 to have a problem with having MRIs.

3 One other thing I would like to take note  
4 is the issue of global versus regional improvement.  
5 I think that--I don't agree with what was said.  
6 You don't have to have--the meaning of global  
7 improvement, it's great to have LV global  
8 improvement, but we've seen patients that have  
9 regional improvement and this may translate in a  
10 function way into a very significant improvement.

11 And so another way of looking at LV  
12 function is really--and I had said this before--is  
13 exercise capacity. And I think we need to be  
14 evaluating these patients functionally as they go  
15 along--and this is a translation. So maybe if we  
16 injected part of the heart we don't see a global  
17 improvement in LV, but that patient may be able to  
18 walk a lot further on a treadmill, be able to  
19 exercise more, and that's important, as well.

20 DR. BORER: Yes, I think Dr. Perin's points  
21 are very well taken. I didn't mean, in what I said  
22 before, that in any way a clinical evaluation  
23 should not be done, or should be precluded.  
24 Obviously, that's the name of the game. The  
25 patient has to feel better and/or live longer, or

1 you haven't done anything useful--no matter what  
2 the ejection fraction turns out to be.

3           So I would absolutely agree that clinical  
4 evaluation has to be the key, and it's a given in  
5 the follow-up of patients getting these kinds of  
6 treatments.

7           I would also agree that there could be  
8 clinically meaningful regional improvement without  
9 much change in global left ventricular function.  
10 I'd sort of doubt that it would be very meaningful  
11 if there wasn't any change, but I was thinking more  
12 in terms of the kinds of echo studies that show  
13 that with sonomicrography--the ultrasonography, you  
14 can see thickening in one small region. That  
15 doesn't mean much to me.

16           But the point is well taken that you made,  
17 and I don't disagree with it at all.

18           There's a sort of a more overarching issue  
19 here about the various modalities that we might use  
20 to evaluate patients. And, you know, Jeremy, of  
21 course, is an expert in this area, and he's  
22 undoubtedly absolutely right that the yield from  
23 simple rhythm-monitoring studies would be pretty  
24 low in people who are as sick as these people are,  
25 and maybe that's the wrong example for me to take

1 here.

2 But, you know, in general if you don't  
3 look you don't find something. There are simple  
4 means of following patients, and I like Dr. Perin's  
5 suggestion--which I think should be part of any  
6 follow-up--clinical follow-up of people with heart  
7 failure--that is to assess exercise tolerance  
8 formally.

9 There are lot of simple things that you  
10 can do that are sort of part of a standard  
11 armamentarium of researchers and clinicians who  
12 follow patients who are very sick that I think  
13 should be done. They may not show much, but unless  
14 you look, you don't know.

15 So I just offer that. If, you know, it  
16 gets back to we-know-what-we-know,  
17 we-don't-know-what-we-don't-know, as Dr. Harlan  
18 said before. Better to look with a wide compass  
19 when our knowledge base is relatively small, then  
20 we can eliminate things as we go along.

21 CHAIRMAN RAO: Dr. Ruskin.

22 DR. RUSKIN: Just a quick comment.

23 Jeff, I agree completely, and I didn't  
24 mean to suggest that we shouldn't do the Holtering  
25 or the routine ECGs. We would certainly do those,

1 and it's possible one might see things that were  
2 very surprising.

3           The issue that I raised really related to  
4 safety; and that is that doing Holter monitoring as  
5 a safety maneuver is not productive in this patient  
6 population because, clearly, it's an icepick in  
7 time, and you may see absolutely nothing and have a  
8 dead patient 12 hours later, or see florid  
9 arrhythmias that purport nothing ill with regard to  
10 long-term outcome.

11           So the data would, I think, be necessarily  
12 obtained, but it couldn't be used to ensure safety.  
13 And that's really the reason for making the plea  
14 that early on one consider populations that have  
15 protective devices. They're not perfect, but  
16 they're a lot better than not having them.

17           CHAIRMAN RAO: How about, you know,  
18 monitoring for potential complications. I mean,  
19 should people be worried about "We are putting in  
20 cells. There might be an inflammatory response  
21 because of all the necrotic material." Should one  
22 be looking at C-reactive peptide? Is that  
23 something which should be over and above what one  
24 would normally be doing in a sick patient?

25           Are there any other such tests that you'd

1 want to do, you know, to look at--?

2 DR. BORER: I think you'd do whatever you  
3 can think of. It may not be worth anything. But,  
4 again, if you don't look you don't find out.

5 I wouldn't particularly have picked  
6 C-reactive protein, but it's fine. You know--sure.  
7 Why not look at systemic inflammatory markers?

8 Steve Epstein made the point yesterday--I  
9 have to backtrack for a moment. I've referred to  
10 Steve at least 20 times here--and as you look  
11 around the table--well, one of them just left, but  
12 there are three generations of Steve Epstein  
13 trainees or underlings sitting at this table. So  
14 it shouldn't be--and, unfortunately, I am now the  
15 most senior of those three.

16 [Laughter.]

17 Which, as Steve would say, what does that  
18 make him? But--what was I originally talking  
19 about? [Laughs.]

20 [Laughter.]

21 There was a point here. Oh, yes--about  
22 atherosclerosis. You know, the inflammatory  
23 milieu--this is important because, remember--I  
24 mean, your point is very well taken. Steve pointed  
25 out that some of these treatments we give could be

1 good for the myocardium but, depending upon how we  
2 give them, they could be atherogenic. That's very  
3 important.

4           You know, undoubtedly, that the event  
5 rate--coronary event rate--is substantially  
6 higher--two- to threefold higher among patients  
7 with rheumatoid arthritis than among patients  
8 without rheumatoid arthritis; that is, among  
9 patients with rheumatoid arthritis with positive  
10 markers of inflammation.

11           So there's some evidence that a systemic  
12 inflammatory milieu somehow potentiates the  
13 development of coronary disease.

14           Now, I don't want to talk about mechanisms  
15 because we don't know them--or at least I don't.  
16 But I think, therefore, if we believe that there is  
17 a likelihood that we're going to stimulate an  
18 inflammatory response with what we're doing, we  
19 should be looking for evidence of that so that we  
20 can relate that--even if retrospectively--to other  
21 events that occur in this population. So I think  
22 the point is very well taken and we should be doing  
23 that.

24           CHAIRMAN RAO: Given that this is a sick  
25 population that would be the first sort of

1 candidates for this, irrespective of how you select  
2 them, would be anything you'd suggest which will  
3 change the frequency of monitoring from what you'd  
4 normally do for a sick population of this sort? Or  
5 would it be more frequent? Or would it be longer,  
6 in terms of the anticipated complications? Or  
7 anything that one might imagine?

8 DR. SIMONS: I actually don't know what  
9 patients--or the population that we're talking  
10 about. Because as we discussed several times,  
11 there are really two different patient groups here.  
12 One is an acute myocardial infarction patient, and  
13 one is a patient who is chronic heart failure. And  
14 I think you would monitor differently in these two  
15 different groups because in acute MI, the  
16 patients--the risk is early. And once it's been  
17 successfully treated, that's a pretty low-risk  
18 group, with a very low mortality rate.

19 While, you know, Class IV heart failure  
20 patient, who has a 20 percent ejection fraction has  
21 a pretty high mortality rate. I think you would  
22 sort of treat those things in a very different  
23 manner.

24 CHAIRMAN RAO: Either of those groups--so,  
25 you know, you take acute MI, and you're trying to



1 treat it with, say, bone marrow cells, and you  
2 would monitor acute MI in a particular way.

3           Would it change now that you've added  
4 cells to the therapy?

5           DR. SIMONS: Probably would--you'd probably  
6 want some sort of non-invasive imaging such as  
7 echo. During the first two weeks you'd probably  
8 want it several times to see there's no pericardial  
9 effusion, and there's--if the left ventricular  
10 function is not changing in sort of adverse  
11 ways--there's some adverse left ventricular sort of  
12 remodeling.

13           But after two weeks I would go back to  
14 pretty normal schedule; three months, six months.

15           CHAIRMAN RAO: If something changed, it  
16 would change acutely.

17           And in a chronic disease model, would  
18 there be anything that you'd change?

19           DR. SIMONS: Once again, if this is a  
20 catheter-based delivery, I think you need to  
21 monitor more intensively within the first couple of  
22 weeks.

23           CHAIRMAN RAO: Dr. Borer?

24           DR. BORER: Yes, I agree with what Dr.  
25 Simons says. But I think you have to be aware--you

1 say, "Should there be a difference compared with  
2 what we usually do?" There is no "we." You know,  
3 what someone who is working in an academic  
4 institution, collecting data in a research milieu  
5 might do is very different from what one might do  
6 in private practice, or in primary care, or what  
7 have you.

8 So what I would say is that we should  
9 pre--that people who set up these protocols should  
10 pre-specify regular evaluation--by objective  
11 techniques that we've all talked about here, at  
12 some appropriate frequency, be it, you know, every  
13 month for a few months, every six months after  
14 that, every year after that--whatever it is. I  
15 don't know how the patients will live.

16 But I think that that kind of monitoring  
17 probably should be continued for many years--again,  
18 given the fact that remodeling takes a long time.

19 CHAIRMAN RAO: Dr. Cannon, and then Kathy.

20 DR. CANNON: I would second Dr. Borer's  
21 comments about long-term follow-up because,  
22 particularly in thinking of this approach for the  
23 chronic, intractable anginas, sort of an  
24 angiogenesis or neovascularization approach. It's  
25 conceivable to me that you could have a short-term

1 benefit, but not just a late failure, but maybe  
2 even a worsening of the situation over time.

3           So perhaps putting cells in, either  
4 directly or indirectly, stimulates new vessel  
5 growth. But that may not be permanent in that  
6 those cells will have to be replaced in time. The  
7 don't live forever. And if that person's own  
8 progenitor cells are very poor in function and few  
9 in number, then the growth that was stimulated by  
10 putting in a large number of perhaps activated or  
11 genetically modified cells, or what have you, that  
12 effect may go away in time, and the patient doesn'  
13 have a way of replenishing or replacing the cells  
14 that compose the new vessels. They could fail  
15 fairly quickly, perhaps.

16           It's conceivable--it's like the movie  
17 Charlie. You know, there's short-term great  
18 benefit, but then a deterioration that actually  
19 makes the individual worse off than were had  
20 nothing been done at all.

21           CHAIRMAN RAO: do you feel we have enough  
22 information to point out how long?

23           DR. CANNON: No. No. I just raise that as  
24 a possible concern, or a justification for  
25 following them longer and perhaps more closely than

1 you ordinarily would someone with chronic stable  
2 angina.

3 DR. HIGH: I just wanted to raise a  
4 question to the cardiologists about one other  
5 method of data capture, and just get your response  
6 to this.

7 But, how often are these people  
8 re-instrumented, or re-angio'ed, or whatever? And  
9 how much risk is it to do an endomyocardial biopsy  
10 if they are?

11 CHAIRMAN RAO: Dr. Epstein, do you want to  
12 take that?

13 DR. EPSTEIN: Well, my question was going  
14 to be directly related to that.

15 I would like to raise a difficult  
16 question, because it's very expensive. Given the  
17 Lancet article of two weeks ago that was called--it  
18 was a very small number of patients, and I don't  
19 know how much credibility to give it, but it raises  
20 the interesting question that infusion of cells  
21 into the coronary artery that had been harvested  
22 after GCSF stimulation seemed to be associated with  
23 a much higher incidence of re-stenosis than would  
24 have been expected.

25 Should patients receiving cell therapy at

1 the time or shortly after angioplasty--should they  
2 have a repeat coronary angiogram in six months to  
3 rule out this very important possible adverse  
4 effect?

5 What do you think, Richard?

6 DR. CANNON: You know, with the new  
7 drug-eluting stents, it may be that that will not  
8 be an issue in sort of the current environment now  
9 that it was with the bare metal stents used in  
10 that. So perhaps following more for  
11 ischemia--non-invasively, perhaps--would be more  
12 acceptable.

13 Doing repeat cardiac catheterizations  
14 serially--

15 DR. EPSTEIN: It's expensive--

16 DR. CANNON: --it would obviously add to  
17 the expense--

18 DR. EPSTEIN: --and it's--but that is, you  
19 know it's a good question. And, you know, given  
20 that recent study, you know, it's a very relevant  
21 one.

22 CHAIRMAN RAO: Is biopsy dangerous, though?

23 DR. EPSTEIN: Oh, yes, I wouldn't think  
24 about biopsy. And, also, I think you'd have a  
25 sampling. You couldn't be confident that you were

1 getting tissue in the area where you think you've  
2 done some.

3 DR. HIGH: [Off mike] Well, no, he said he  
4 could do it within one micron--

5 [Laughter.]

6 DR. EPSTEIN: Well, but Mike he was just  
7 joking.

8 [Laughter.]

9 DR. RUSKIN: You know, about the--if there  
10 were a credible scientific question to ask from  
11 repeat catheterization, I think the primary  
12 question you're asking is: does the risk preclude  
13 doing it?

14 The risk of a cardiac catheterization for  
15 a major event, among all comers, is one in 500.  
16 Now, it may be a little higher in a very sick  
17 population, but, you know, I think that that risk  
18 is--not death, but some major event, stroke, MI,  
19 death, bleeding, infection--one in 500.

20 I think that if we had a credible  
21 scientific question that was very important to  
22 answer--and I'm not sure that we do. I think  
23 Richard's point about doing non-invasive assessment  
24 might suffice for the question about re-stenosis.  
25 But if we had a question, I think that the risk

1 would be supportable, or could be supportable,  
2 given what I've just said.

3 In terms of biopsy, in fact the biopsy  
4 data suggests that, in experienced hands, that's  
5 reasonably safe, too. The big concern I would hav  
6 is exactly what Richard said--you know, the  
7 sampling error. I mean, a catheter-based biopsy i  
8 a right ventricular biopsy. It's not anywhere nea  
9 where we're looking--where the problem is, where  
10 the cells were put in. To do it on the left side  
11 would be very dangerous, I think.

12 So I would be concerned that we wouldn't  
13 be able to get the information that we want to get  
14 And I would be interested in--just with regard to  
15 your question, which I think is a very good  
16 one--applying non-invasive methods, like MRI if it  
17 were possible, or perhaps PET scanning to ask some  
18 of the questions that you might have wanted to ask  
19 with a biopsy.

20 CHAIRMAN RAO: Dr. Perin?

21 DR. PERIN: If we look at the population of  
22 patients that are the chronic ischemic end-stage  
23 patients, the reason they got there is they've got  
24 horrific coronary disease, have very aggressive  
25 coronary disease. Their coronaries are already all

1 stopped up.

2           So I think it's really hard--and we've  
3 done this--but to re-angiogram these patients, it's  
4 really hard to differentiate what's progression of  
5 disease that they were going to have anyway; what's  
6 do to the stem cell injection. So it's very  
7 difficult to evaluate--and which is completely  
8 different in the acute MI population, where that  
9 may actually be something that's important to look  
10 at, because you have a target vessel, and a lot  
11 different coronary situation.

12           DR. BORER: I think that that's a very good  
13 point that leads to the point Dr. Simons made a few  
14 minutes ago, and that Jeremy made yesterday, which  
15 is Question 7, about controlled studies.

16           I think, Jeremy, what you said was that  
17 from the earliest studies they should be  
18 controlled. And I agree with that.

19           I think there's absolutely no way to  
20 interpret the data in a very disparate, very sick  
21 population--very heterogeneous population. I don't  
22 think that it's possible to interpret most of the  
23 data that are of interest to us without some  
24 comparator.

25           And I would go back to Dr. Murray's



1 earlier point. You know, you can not ethically  
2 justify studying human subjects unless you can  
3 interpret the data.

4 So I would say that Jeremy's point is a  
5 very important one. I think controls have to be  
6 built into these trials, even from the earliest  
7 studies, and that probably it's not worth a heck of  
8 a lot to do observational studies with no  
9 comparator in most situations.

10 You know, the type of control could vary.  
11 There are active controls, there are placebo  
12 controls--if you want to call it that. There are  
13 dose--different doses, in a dose-response design,  
14 that could be used. But I think you do have to  
15 have comparators.

16 CHAIRMAN RAO: That's an important point,  
17 and I think it came up a couple of times before in  
18 the past, too, and I'll just try and summarize  
19 those few comments and then turn it over to you.

20 So, there seemed to be consensus in the  
21 field--in fact, almost everybody who talked about  
22 it said that controls are important, or that  
23 placebo controls are quite important.

24 And then I asked this question yesterday  
25 was that is it possible to get controls. Will it

1 be possible to recruit them? And the answer was  
2 yes--as long as there was some kind of cross-over  
3 option. And that seemed to be a possibility, so  
4 that that wasn't an absolute limiting factor that  
5 was there.

6 And you've reiterated that point, that it  
7 would be very hard to interpret these in small  
8 studies without any kind of controls. So it seems  
9 that that's one important thing that one should  
10 keep in mind in any kind of clinical study that's  
11 going to be done--right?

12 Go ahead.

13 DR. MURRAY: From the point of view of  
14 ethics--and science, here--there's one absolute  
15 requirement: namely, the study would have to offer  
16 interpretable results--right? We've talked about  
17 that. You cannot justify doing trivial things to  
18 human subjects if the design is basically never  
19 going to yield anything of any value and you know  
20 that going in.

21 The other thing you want to do--and as I'm  
22 understanding the situation, is it's going to be  
23 very difficult to get a good signal-to-noise ratio  
24 so that you can actually pick out what the actual  
25 effects of the intervention are. So there's a need

1 to maximize sensitivity to be able to pick those  
2 out, and the discussion that's gone on about, you  
3 know, what to look for here has been very helpful  
4 for that.

5           Even in small numbers you'd want to have  
6 some sense--we don't usually do power calculations  
7 on small sizes, but we probably--we ought to do the  
8 best calculations we can in these so, again, so  
9 that we have some assurance that we will have  
10 interpretable results.

11           I don't think it will be easy to design  
12 studies that will be ethically clearly acceptable  
13 with the placebo design Phase I studies here. But  
14 I suspect it's the way we have to try to go. And  
15 I'm going to count on the creativity of the  
16 investigators and the courage of the subjects.

17           CHAIRMAN RAO: Go ahead, Dr. Borer. I  
18 thought--did you want to make a comment?

19           DR. BORER: About placebo, I did.

20           The issue of doing a placebo-controlled  
21 study and then offering, as the benefit, a  
22 crossover--or I would--it's not a crossover, it's a  
23 dropout--at the end of a certain period of time, if  
24 the treated group actually shows benefit is very  
25 attractive, but we may not have information that

1 would support doing that from early small trials,  
2 or early small studies. So I'm not sure that that  
3 would be the out.

4 I think that one has to think creatively  
5 about some other types of controls. And I do like  
6 the multi-dose design, because that does allow you  
7 to know, if you see a dose response, that, in fact,  
8 there is, by definition, an effect. In that  
9 situation, you know, everybody gets something.  
10 And, of course, we don't know going in what's  
11 better and what's worse; whether there is a dose  
12 relation, whether there's a maximal dose that's  
13 effective and above that you have safety problems.  
14 You don't know that.

15 So I think that that might be some--a  
16 creative approach to dealing with this need for  
17 comparators. And maybe a placebo-controlled  
18 approach would be the appropriate way. I don't  
19 know.

20 I'm just suggesting that we have to be  
21 more creative about the thinking about study design  
22 to provide appropriate comparators. And then I'd  
23 get back to what we all discussed before, which is  
24 that since multiple small studies will be done with  
25 these agents, the designs and data collection

1 strategies should be compatible--sufficient  
2 compatible--with one another so that you can pool  
3 some data and eventually come up with some  
4 information that might be more interpretable than  
5 the data from any single study alone.

6 So, just sort of overarching thoughts.

7 CHAIRMAN RAO: In some ways we've been  
8 trying to answer this question but it's been a  
9 little bit different from the way it's been set up  
10 there.

11 I mean, it seems to me that, listening to  
12 all the experts in the field, is that they seem to  
13 feel that selection of patients, and the design of  
14 the experiment, in terms of the controls, or the  
15 placebo used, was really as critical as sort of the  
16 readouts. And, in fact, nobody seemed to feel that  
17 there weren't enough adequate readouts which were  
18 non-invasive and that it would not be possible to  
19 design them. It was just simply that you will have  
20 to design them adequately, depending on the type of  
21 patient you chose and the kind of, you know,  
22 disease you were treating.

23 And I think the two points that were made  
24 to me which were really important was that you're  
25 going to have to follow up for certain things for a

1 long time. It's not an aggressive follow-up, but  
2 you need to follow them up because you have to  
3 learn something from these things. And that the  
4 other was that in this trial itself there should be  
5 some urgency, or some selection so that you could  
6 have the option of performing an autopsy because  
7 that might work really well, given that the choice  
8 of patients is such that they are relatively sick,  
9 and that that might be a really important thing to  
10 keep in mind.

11 Does that seem to capture? Does the FDA  
12 think that that addresses some of the issues on the  
13 clinical trial?

14 DR. GRANT: Yes.

15 CHAIRMAN RAO: In that case, it's amazing.  
16 We actually finished on time.

17 [Laughter.]

18 Well, thank you for all the people who  
19 stuck out here to the bitter end. That was useful  
20 And I thank all the experts who gave the time to  
21 come to this. It couldn't have been done without  
22 them.


23 [Whereupon, at 3:00 p.m. the meeting was  
24 adjourned.]

25

- - -

**C E R T I F I C A T E**

I, **SONIA GONZALEZ**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



SONIA GONZALEZ