

This transcript has not been edited or corrected, except where relevant for the deletion of material not releasable under the Freedom of Information Act. Accordingly, the Food and Drug Administration makes no representation as to the accuracy of this transcript.

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH
(CBER)

BIOLOGICAL RESPONSE MODIFIERS ADVISORY
COMMITTEE MEETING
OPEN SESSION

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy. This is a transcript of a CLOSED meeting and may not be released to the public.

8120 Wisconsin Avenue

Bethesda, Maryland

Friday, November 13, 1998

DEC -3 11:14

1 PARTICIPANTS:

2 MEMBERS

3 Dr. Julie M. Vose

4 Dr. Richard A. Goldsby

5 Dr. Hugh Auchincloss

6 Dr. Carole B. Miller

7 Dr. William M. O'Fallon

8 Dr. W. French Anderson

9 Dr. Richard E. Champlin

10 Dr. Daniel R. Salomon

11 Ms. Abbey S. Meyers

12 Dr. Virginia C. Broudy

13 Dr. Esperanza B. Papadopoulos

14 Dr. Edward A. Sausville

15 Ms. Gail Dapolito, Executive Secretary

16 Ms. Rosanna L. Harvey, Committee Management
17 Specialist

18 CONSULTANTS

19 Dr. P. Jean Henselee-Downey

20 Dr. Joanne Kurtzberg

21 Dr. John E. Wagner

22

1 PARTICIPANTS (CONT'D):

2 GUEST SPEAKER

3 Dr. Richard J. O'Reilly

4 FOOD & DRUG ADMINISTRATION (FDA) PARTICIPANTS

5 Dr. Jay P. Siegel

6 Dr. Patricia Keegan

7 Dr. Gerald E. Marti

8 Dr. Karen D. Weiss

9 Dr. Stephen D. Litwin

10

11

* * * * *

12

13

14

15

16

17

18

19

20

21

22

C O N T E N T S

	PAGE
Opening and Administrative Remarks	5
Summary of NIH/CBER Hematopoietic Stem/Progenitor Cell Work- shop, September 10, 1998, Gerald Marti, M.D., Ph.D. Office of Therapeutics Research Review, CBER	10
Invited Presentations	68
P. Jean Henslee-Downey, M.D. Richland Memorial Hospital	
Richard O'Reilly, M.D. Memorial Sloan-Kettering Cancer Center	
Joanne Kurtzberg, M.D. Duke University Medical Center	
Committee Discussion	202

* * * * *

P R O C E E D I N G S

(9:05 a.m.)

MS. DAPOLITO: Good morning and
welcome to the 24th Meeting of the Biological
Response Modifiers Advisory Committee. My
name is Gail Dapolito. I am the committee

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 executive secretary and the designated
2 federal official for today's proceedings.

3 The committee is meeting today to
4 discuss issues related to allogeneic
5 transplantation with a focus on haplo-
6 identical transplantation and other high-risk
7 transplantations.

8 I would like to begin by
9 introducing the committee members and other
10 participants of today's discussions.

11 If I could begin on my left, it is
12 a pleasure to introduce and welcome two new
13 committee members. Dr. Daniel Salomon of the
14 Scripps Research Institute and Dr. Esperanza
15 Papadopoulos, Memorial Sloan- Kettering
16 Cancer Center.

17 Next is Dr. Carol Miller, the Johns
18 Hopkins Oncology Center. Joining us shortly,
19 Dr. Hugh Auchincloss, Harvard Medical School,
20 Massachusetts General Hospital; Dr. Richard
21 Goldsby. Dr. Goldsby is here somewhere,
22 Amherst College; Dr. French Anderson,

1 University of Southern California; the Chair
2 Dr. Julie M. Vose, the University of
3 Nebraska; Dr. Michael O'Fallon, the Mayo
4 Clinic.

5 Now, I have the distinct pleasure
6 of announcing that Dr. O'Fallon has recently
7 been elected to serve next year as the
8 president elect of the American Statistical
9 Association. This is a very prestigious
10 honor and we would like to offer Dr.
11 O'Fallon our sincere congratulations.

12 And I think I heard something about
13 a Bronx Cheer.

14 Proceeding around the table. Dr.
15 Jean Henslee-Downey, University of South
16 Carolina, Richland Memorial Hospital; Dr.
17 Richard O'Reilly, Memorial Sloan-Kettering
18 Cancer Center; Dr. Joanne Kurtzberg, Duke
19 University Memorial Center.

20 The FDA Center for Biologics
21 Evaluation and Research, Office of
22 Therapeutics Research and Review is

1 represented today by Dr. Stephen Litwin, Dr.
2 Patrician Keegan, Dr. Karen Weiss, and Dr.
3 Jay Siegel.

4 We would like to request in
5 consideration of the committee that you do
6 not operate cellular phones in the room today
7 and please put your pagers on silent mode.

8 Dr. Vose, with your permission I'll
9 read the conflict of interest statement.

10 DR. VOSE: Please.

11 MS. DAPOLITO: This announcement is
12 made a part of the record at this meeting of
13 the Biological Response Modifiers Advisory
14 Committee on November 13, 1998. Pursuant to
15 the authority granted under the Committee
16 Charter, the Director of the FDA's Center for
17 Biologics Evaluation and Research has
18 appointed Dr. Jean Henslee-Downey and Dr.
19 Joanne Kurtzberg as temporary voting members
20 for the Committee discussions.

21 Based on the agenda made available
22 and on relevant data reported by

1 participating members and consultants, it has
2 been determined that all financial interests
3 in firms regulated by the Center for
4 Biologics Evaluation and Research that may be
5 affected by the committee's discussions have
6 been considered.

7 In accordance with 18 U.S.C. 208,
8 Dr. Esperanza Papadopoulos has been granted
9 a general matters waiver which permits her to
10 participate fully in the committee
11 discussions.

12 In regards to FDA's invited guest
13 speaker, the Agency has determined that the
14 service of Dr. Richard O'Reilly is
15 essential. At the request of the Chair, Dr.
16 O'Reilly has been invited to participate in
17 the discussion of general scientific issues
18 related to allogeneic transplantation. The
19 following reported interest are being made
20 public to allow meeting participants to
21 objectively evaluate any presentation and/or
22 comments made by Dr. O'Reilly.

1 Dr. O'Reilly is conducting a trial
2 which involves the use of a product provided
3 free of charge by a firm which could be
4 affected by the committee discussions. In
5 the event that the discussions involve
6 specific products or firms not on the agenda
7 for which FDA's participants have a financial
8 interests the participants are aware of the
9 need to exclude themselves from such
10 involvement and their exclusion will be noted
11 for the public record.

12 Screenings were conducted to
13 prevent any appearance real or apparent of
14 conflict of interest in today's committee's
15 discussions. Copies of the waiver addressed
16 in this announcement are available by a
17 written request under the Freedom of
18 Information Act.

19 With respect to all other meeting
20 participants we ask in the interest of
21 fairness that they address any current or
22 previous financial involvement with any firm

1 whose products they wish to comment upon.

2 Dr. Vose, should I proceed with the
3 open public hearing?

4 DR. VOSE: Please.

5 MS. DAPOLITO: We have received no
6 prior requests to provide public comment. At
7 this time is there anyone present who would
8 like to address the committee on matters
9 before it today? Dr. Vose, I see no one.
10 I'll turn it over to you.

11 DR. VOSE: Okay. Thank you. We'll
12 proceed then with the first item on the
13 agenda, summary of the NIH/CBER Hematopoietic
14 Stem Cell Progenitor Workshop and Dr. Marti.

15 SUMMARY OF NIH/CBER HEMATOPOIETIC
16 STEM CELL PROGENITOR WORKSHOP

17 DR. MARTI: Members of the Advisory
18 Committee and staff, FDA, CBER colleagues,
19 guests and press, I've been asked to give a
20 15 to 20-minute review of the recent meeting
21 on peripheral stem cell and cord blood
22 meeting that was held at the CBER and NIH.

1 This meeting was essentially
2 chaired and organized by Leanna Harveth who
3 is unable to be here today due to an illness
4 in her family. And therefore I'm going to
5 try and take her place.

6 The meeting was entitled,
7 Hematopoietic Stem/Progenitor Cell Products
8 and it was a discussion of unrelated
9 allogeneic placental umbilical cord blood and
10 peripheral blood cell banking and
11 transplantation.

12 Next slide. It was held on
13 September 10th, 1998. Next slide. And it
14 was sponsored by both CBER and NHLBI. Next
15 slide. By the way, you have a set of these
16 overviews in your blue folder. The workshop
17 objectives there were five. The first one
18 was to have an overview of the Federal
19 Register notice which was published January
20 20th and it was entitled "Request for
21 Proposed Standards for Unrelated Allogeneic
22 Peripheral and Placental/Umbilical Cord Blood

1 Hematopoietic Stem/Progenitor Cell Products:
2 Request for Comments."

3 Next slide. The second and third
4 objectives are listed here. The first was to
5 discuss the current status of related and
6 unrelated allogeneic peripheral blood
7 hematopoietic stem/progenitor cell collection
8 and transplantation.

9 The third objective, discuss issues
10 regarding the administration of cytokines to
11 normal donors for mobilization of peripheral
12 blood stem cells.

13 Next slide. And fourth to discuss
14 the current status of unrelated allogeneic
15 cord blood banking and transplantation.

16 And the final objective was to
17 discuss the status of professional voluntary
18 standard development.

19 Next slide. There were four
20 sessions. The first session consisted of
21 presentations of the review of the Federal
22 Register notice by Dr. Harvath, and this was

1 followed by a review of the transplantation
2 registration data by Dr. Mary Horowitz and
3 then the experience with normal donors and
4 cytokine administration in the setting of a
5 blood bank at M.D. Anderson was provided by
6 Dr. Anderlini.

7 The next slide. Session II
8 consisted of experiences related to related
9 allogeneic stem cell transplants at M.D.
10 Anderson by Dr. Champlin and Washington
11 University by Jon DiPersio.

12 The unrelated allogeneic stem cell
13 transplants peripheral based on the national
14 marrow donor program, the NMDP experience
15 that was provided by Dr. Dennis Confer.

16 Next slide. The third session
17 primarily focused on the issues of blood
18 banking of cord blood samples. There was a
19 presentation from Georgetown University on
20 the multi-center cord blood banking and
21 transplantation study. This is an umbrella
22 IMD. Then there was a presentation by Dr.

1 Pablo Rubinstein from the New York Blood Bank
2 that has the largest experience with cord
3 blood in this country and the world. And
4 there was also a report from Duke University
5 by Dr. Kurtzberg who is here today. And then
6 the more recent experience of the cord blood
7 bank in St. Louis.

8 The fourth and final session on the
9 next slide was essentially a discussion of
10 professional standards and these discussions
11 emanated from representatives from AABB the
12 American Association of Blood Banks by Dr.
13 Haley, and then representatives from the
14 transplant community, Dr. Shpall, Rowley, and
15 LeMaistre.

16 The next slide, please. We'll now
17 discuss briefly some of the points from the
18 Federal Review Notice which was essentially a
19 request for comments.

20 Next slide. For minimally
21 manipulated unrelated allogeneic peripheral
22 and placental/umbilical cord blood

1 stem/progenitor cells intended for
2 hematopoietic reconstitution, it may be
3 possible -- next slide -- to develop product
4 standards, establishment control and
5 processing controls from existing scientific
6 and clinical data.

7 For those of you who find these
8 Federal Register notices difficult to read,
9 and it's taken me some 20 -- 15 or 20 years
10 to learn to read them, I will repeat that
11 sentence because I think that is the gold
12 coin, the nugget of that paper to develop
13 product standards, establishment controls and
14 processing controls from existing scientific
15 and clinical data.

16 Also to issue guidance for
17 establishment controls, processing controls
18 and product standards; and to grant licensure
19 for products certified as meeting issued
20 standards.

21 Next slide. If the FDA determines
22 that data are available to support the

1 development of standards, the FDA intends to
2 publicly announce such standards and
3 licensure may be granted for products
4 certified as meeting promulgated standards.

5 Next slide. If sufficient data are
6 not available to develop standards, after a
7 specified period of time unrelated allogeneic
8 stem cell products would be subjected to IND
9 and marketing application requirements.

10 Next slide. Now, continuing with
11 peripheral blood stem cells, and I prefer
12 saying "peripheral blood stem cells" to
13 saying "peripheral blood hematopoietic
14 stem/progenitor cells." These are mobilized
15 in normal allogeneic donors who are treated
16 daily for five to six days with G-CSF or
17 GM-CSF prior to the apheresis collections.

18 Most stem cell collections
19 experienced thus far have occurred with
20 HLA-identical sibling donor/recipient pairs.

21 Next slide. The reported advantage
22 of peripheral stem cell products when

1 contrasted to HLA-identical sibling bone
2 marrow donor/recipients during the first 100
3 days post transplant appear to be the
4 following two items:

5 There is a decreased time to an
6 absolute neutrophil count of greater than 500
7 per microliter -- I guess that's a mistake.
8 I don't think it should be "ML -- in four to
9 five days, and there should be a decrease in
10 inpatient days, pharmacy costs and blood
11 products.

12 Next slide. There does not appear
13 to be -- well, with regards to
14 Graft-versus-Host Disease, the incidence of
15 chronic Graft-versus-Host Disease is
16 increased in peripheral blood stem cell
17 recipients. But the incidence of acute
18 Graft-versus-Host Disease does not appear to
19 be different between the two products.

20 Next slide. The International Bone
21 Marrow Registry transplant and registry data
22 analysis to one year post- transplant

1 indicates a trend to 75 percent incidence of
2 peripheral stem cell products compared to 45
3 percent in bone marrow recipients.

4 Also, the Washington University
5 data at two years post-transplant indicates a
6 90 percent actuary incidence in the use of
7 peripheral stem cells compared to 40 to 60
8 percent with bone in bone marrow recipients.

9 Next slide, please. The peripheral
10 stem cell grafts mismatched at one Antigen
11 result in 100 percent incidence of chronic
12 Graft-verus-Host Disease; 40 percent of these
13 individuals are of the Grade 3 to 4.

14 And there is an increased incidence
15 in chronic Graft-verus-Host Disease
16 associated with high CD34 cell counts and
17 lymphocyte doses in these grafts.

18 Next slide. There are studies in
19 progress to quantify the effects of lower
20 cell doses. It's been suggested that perhaps
21 doses less than 10 to the sixth absolute CD34
22 cells per kilograms and also different

1 conditioning regimens. One example being the
2 FK506 prophylaxis on the incidence of
3 Graft-versus-Host Disease.

4 Next slide. In the setting of
5 unrelated allogeneic peripheral blood stem
6 cell products, the National Marrow Donor
7 Program is conducting a study of peripheral
8 stem cells from unrelated allogeneic donors
9 for a second donation subsequent to an
10 initial bone marrow donation.

11 So essentially these are going to
12 be individuals who have already received a
13 bone marrow graft from the program, but now
14 are being requested for -- that same donor is
15 being requested for a peripheral sample in
16 the form of a lymphocyte transfusion.

17 Next slide. To date 119 requests
18 for a second donation have been received. As
19 of August of 1998, 34 donors have received
20 G-CSF and 17 of these donors have had a one
21 apheresis collection.

22 Next slide. And 15 donors have had

1 two apheresis collections. One donor had the
2 G-CSF administered, and no peripheral stem
3 cells were collected. And one donor received
4 G-CSF administration and the peripheral stem
5 cells were collected, but they were not
6 infused.

7 Next slide. In this particular
8 setting the donors and recipients are being
9 extensively studied. These donors tend to
10 develop -- I'm sorry, the National Marrow
11 Donor Program intends to develop a similar
12 study for unrelated peripheral stem cell
13 products in the setting of the first
14 donation.

15 Next slide. Some of the potential
16 disadvantages of the peripheral stem cell
17 product that's been noted in this program is
18 the more frequent occurrence of the CMV
19 viremia; the unknown risks associated with
20 the increased Chronic Graft-versus-Host
21 Disease; the unknown what the survival agency
22 will be; and, of course, the new risks to

1 normal donors in this program.

2 Next slide. Some of the short-term
3 safety issues for donors are bone pain,
4 headache, fatigue, and nausea. There are
5 transient elevations of alkaline phosphatase
6 and LDH and infrequent episodes of chest pain
7 and fluid retention.

8 Next slide. It does require the
9 placement of a central venus catheter,
10 electrolytes, and fluid shifts are noted.
11 There is obviously a leukocytosis and in some
12 individual a thrombocytopenia. I've been
13 told that there was one incidence of a CVA
14 and one instance of spontaneous rupture of
15 the spleen.

16 Next slide. Long-term safety
17 issues for normal donors essentially remain
18 unknown to present. Next slide. Some of the
19 areas that were proposed for further research
20 by the speakers at that meeting was to have
21 the development of a normal donor registry to
22 monitor long term. "Long term" meaning ten

1 years of normal donor receiving cytokines for
2 the mobilization of cell products and also to
3 have further studies of biologic and clinical
4 effects of cytokines and apheresis procedures
5 in normal donors.

6 Next slide. Also to continue to
7 work on development of approaches to control
8 Graft-versus-Host Disease to assess the
9 stability of the peripheral stem cell
10 engraftment to assess the functional effects
11 of T-cell depletion.

12 Next slide. And standardization of
13 CD34 positive cell assays. This is primarily
14 thought to be flow cytometrically based and
15 then standardization of tumor assays
16 particularly directed at the presence of
17 breast cancer and acute myelogenous leukemia.

18 Next slide. The third part of the
19 meeting then turned its attention to the cord
20 blood problem both in terms of banking and
21 transplantation. There is essentially a
22 large umbrella type IND that has been

1 developed at the FDA in combination with
2 NHLBI. This is multi-centered. The three
3 banks are located at Duke, UCLA, and
4 Georgetown, and the six transplant centers
5 are located at Duke, University of Minnesota,
6 UCLA, Fred Hutchinson, Indiana University,
7 and Dana-Farber.

8 Next slide. This study is going to
9 entail a five-year extensive study to
10 characterize the cord and blood products and
11 it's also going to measure transplant
12 outcomes, results based upon a uniform
13 protocol.

14 It's my understanding that these
15 protocols will be published in December 1998
16 and made available on the NIH web site.

17 Next slide. The New York Placental
18 Blood Program was the first to be
19 established. It is primarily solely for the
20 use of unrelated allogeneic transplantation.
21 It was established in 1992, has banked more
22 than 7700 units, and has provided 700 units

1 for transplantation.

2 Next slide. Results of the first
3 562 consecutive transplants are in press in
4 the New England Journal of Medicine and the
5 speed of myeloid engraftments is associated
6 primarily with graft cell dose.

7 Next slide. And transplant-related
8 events are associated with the patient's
9 underlying disease, age, graft cell dose, HLA
10 disparity, and transplant center meaning
11 whether it was done in the U.S. or foreign.

12 Next slide. The St. Louis Cord
13 Bank is a new member -- a new player. They
14 are community-based, trying to bank unrelated
15 cord blood specimens. It is primarily
16 operated by obstetrician/nurse midwives who
17 perform the collections. However only 30
18 percent of the samples are banked; 70 percent
19 of the samples are deemed unacceptable for a
20 variety of reasons.

21 Next slide. Areas proposed for
22 future research include ex-vivo expansion of

1 cord blood subpopulations, adoptive cellular
2 therapies, haplo-identical, related cord
3 blood transplants and to explore the use of
4 cord blood with gene therapy. Of course,
5 that's already underway. And the
6 immunological vaccine development.

7 Next slide. The last session of
8 the meeting consisted of a discussion of
9 voluntary standards in this field. The
10 American Association of Blood Banks is an
11 organization that was established in 1947.
12 It currently represents 8500 individuals with
13 2200 institutional members. It has published
14 standards for hematopoietic cells --
15 progenitor cells since 1991.

16 Next slide. And it has invited
17 participation of members of the following
18 societies: The AABB, the American Society of
19 Apheresis, the FDA, the Foundation for
20 Accreditation of Hematopoietic Cell Therapy
21 (FACT), which represents ISHAGE, the
22 International Society for Hematotherapy and

1 Graft Engineering and also the American
2 Society for Blood and Marrow Transplantation
3 and the National Marrow Donor Program. They
4 all participate for standards development and
5 revision.

6 This group also includes two public
7 members, an ethicist and a patient who has
8 received hematopoietic progenitor cells as
9 therapy.

10 Next slide. Basically what the
11 AABB is doing is that they are in the process
12 of revising their 1996 published standards to
13 incorporate the ISO 9000 model for
14 prospective comprehensive quality management
15 program.

16 That was the first time I heard the
17 presentation of the ISO 9000 rules. For
18 several years now, I've had the occasion to
19 drive through some of the parts of the
20 Silicon Valley and Biotech areas in this
21 country and you'll often see companies that
22 will have a big sign up out in front that

1 says, "ISO 9000 approved." I think it's a
2 very comprehensive approach to
3 standardization and I predict that we will
4 see more of it.

5 Next slide. FAHCT, the Foundation
6 for Accreditation of Hematopoietic Cell
7 Therapy was founded in 1996. It has 900
8 members and also the ISHAGE contributes about
9 1000 individual members. It's purpose is to
10 establish standards for high-quality medical
11 and laboratory practice, to develop and
12 implement voluntary inspection and
13 accreditation.

14 Next slide. Their standards
15 committee is composed of individuals from the
16 ASBMT, ISHAGE, and FAHCT. Next slide. They
17 expressed concerns regarding the FDA's
18 proposed rule for facility registration and
19 product listing. Some of their reasons were
20 that registration alone is not -- may not or
21 does not improve safety. The sequelae for
22 registration are unknown and there was some

1 concern that the FDA's ultimate intentions
2 regarding this area are uncertain and that
3 additional regulations have the potential to
4 impede technological advance and compromise
5 optimal patient care.

6 Next slide. The FAHCT Collection
7 Center standards will include, or actually do
8 include at this point in time, donor health
9 screening including genetic disease;
10 recording clinical outcome data.

11 FAHCT has also proposed that the
12 FDA grant deemed status to FAHCT and FAHCT
13 acknowledges that some may choose not to
14 participate in their voluntary accreditation
15 program.

16 Next slide. This was the final
17 discussion point, the importance of
18 developing a single set of standards which
19 are acceptable to all interested
20 professionals in this field.

21 FAHCT already has a 400-page
22 document outlining standards in almost all

1 aspects of hematopoietic stem cell
2 transplantation and I suspect we'll see some
3 move toward that set of standards being
4 combined with the ISO 9000 standards that
5 AABB is proposing. Thank you.

6 DR. VOSE: Thank you, Dr. Marti.
7 Are there any questions or discussion
8 regarding the workshop?

9 We'll move on to Dr. Litwin.

10 FDA INTRODUCTION

11 DR. LITWIN: There's about a one-
12 or two-minute hiatus while the projector
13 catches up to us.

14 Good morning. I'm Dr. Stephen
15 Litwin and the subject today as you've
16 already heard are allogeneic transplants
17 which I will present an introduction for
18 CBER. The focus is going to be on high-risk
19 allotransplants in which the high morbidity
20 and the limited availability in the case of
21 many donor/recipient pairs has led to a
22 therapeutic dilemma for many patients. The

1 presentation will be in four sections. In
2 the first section in the background section I
3 am going to present very briefly some of the
4 comments and recommendations of previous
5 biologic response modifier advisory
6 committees.

7 The second section will review the
8 approaches, generally the expanding group of
9 approaches to the management of high-risk
10 allotransplants. That will be followed by a
11 brief status report and the expectation is
12 that these three introductory sections will
13 serve as a frame of reference for the posing
14 of a series of regulatory issues which are
15 particularly relevant to allotransplants.

16 And CBER staff looks forward to the
17 committee's comments and insights and
18 recommendations in this regard.

19 Next slide, please. The first
20 Advisory Committee comments were actually in
21 December of '94. This slide excerpts them.
22 I'll go through them briefly. There was an

1 emphasis that the goal of -- forward again --
2 here we go -- there was an emphasis that the
3 goal of less acute Graft-versus-Host Disease
4 is basically and ultimately to improved
5 survival. Concurrent randomized trials were
6 considered essential. The primary endpoint
7 of less acute Graft-versus-Host Disease
8 measured in the first 100 days post
9 transplant was acceptable, but it was highly
10 contingent on the impact, the possible
11 negative impacts on engraftment, on survival,
12 on later events including infection,
13 lympho-proliferative disease.

14 It was also emphasized there was a
15 need to collect further data in the 6- to
16 12-month period that would constitute
17 immunologic sustained hematologic and
18 immunologic engraftment. Including
19 recommendations that immune functions could
20 be measured first by collecting useful and
21 detailed clinical information in this later
22 period and by the use of selective, not

1 panned, but rather selective groups of
2 patients for immune function testing using
3 the immune function tests that were best and
4 most easily determined at the particular
5 investigational site.

6 It was also recommended that follow
7 up be for at least one to two years.

8 It was mentioned at this meeting
9 that there were three randomized,
10 concurrently controlled trials in the
11 pipeline. New trials have been added since
12 then, but of these three trials, one has been
13 closed due to a corporate decision, another
14 has failed to show efficacy in preventing
15 prophylactic -- prophylaxis of GVHD, and the
16 third which was initiated at or about the
17 time or shortly after this meeting is still
18 under way.

19 May I have the next slide, please?
20 This issue was also discussed at the advisory
21 committee meeting approximately six months
22 ago by Dr. Karen Weiss in a single proposal

1 which dealt with a haplo-identical
2 allotransplant. Protocol was discussed.
3 That was a closed meeting and cannot be
4 discussed further.

5 The initial development of
6 transplantation and strategies for
7 transplantation, that is, mobilizing agents
8 methods of separating cell populations and
9 the devices for doing these were focused on
10 autotransplantation. And as the field has
11 matured, it has shifted, it is currently
12 shifting to allotransplantation.

13 The technical issues for both are
14 almost identical, and in fact, in some ways
15 easier because normal donors are the target
16 of immobilizing agents in allotrans-
17 plantation. However, the regulatory issues
18 are extremely different. I have listed on
19 the slide three of the licensed --
20 FDA-licensed-related applications to, two
21 mobilizing agents and one for a cell
22 selection device, all in an

1 autotransplantation setting.

2 Next slide, please. To pursue this
3 further, the endpoints for autotrans-
4 plantation generally have been the purging of
5 tumor cells and in one case reduced
6 infusional toxicity. A closely comparable
7 engraftment has been required. And late
8 engraftment and even survival data has been
9 collected, but there was no requirement that
10 it be powered.

11 In contrast in the allotransplant
12 setting, the primary endpoint that has
13 generally been offered is reduction of acute
14 Graft-versus-Host Disease although comparable
15 engraftment is also expected, there's greater
16 latitude because the possibilities of a
17 useful application would be greater. And as
18 far as the collection of later data, we have
19 no current guidelines but simply the
20 information gathered from the experience
21 we've had with autotransplants.

22 Next slide, please. There is a

1 serious concern about the limited
2 availability of donors for many transplant,
3 allotransplant recipients who need them. The
4 major sources of donors, the four major
5 sources of donors are shown there. In
6 match-related donors there is only about 25
7 toward the out most, 30 percent opportunity
8 for a recipient who needs a match-related
9 donor to obtain that.

10 There's another 5 or 6 percent that
11 can be obtained from related donors who have
12 one antigen mismatch. That would include
13 partially matched -- PMRD, partially matched
14 related donors.

15 The unrelated donor source, for the
16 most part, has been part of the National
17 Marrow Donor program. In the United States
18 among caucasians the chances of getting a
19 match through the National Marrow Donor
20 program is approximately 50 percent. But the
21 possibilities are much less hopeful for many
22 minorities in the United States.

1 And, finally, the prospects of
2 haploidentical donors who may be mismatched
3 for two or three antigens and pose a much
4 higher risk of the transplant situation is
5 being actively explored.

6 The morbidity of allotransplants
7 depends on the increasing disparity of HLA
8 among other factors. This slide was shown
9 actually six months ago. It demonstrates the
10 relationship both for related and unrelated
11 grafts. The major morbidity is acute graft
12 versus host disease and engraftment failure.
13 The relationships to chronic Graft-versus-Host
14 Disease while present are probably a little
15 less discernible.

16 For related donors who are two
17 antigen mismatched, the possibilities of
18 grades II to IV, acute Graft-versus-Host
19 Disease approaches 60 percent, or III to IV,
20 the more severe categories close to 40
21 percent.

22 For comparable, unrelated grafts

1 the figures are similar. Although it's not
2 shown on the listing haploidentical grafts
3 with three antigen disparities have been
4 reported to have grade II to IV acute Graft-
5 versus-Host Disease incidences of 60 as high
6 as 80 percent.

7 The serious division in prognosis
8 between matched-related and all other were
9 compared by -- this is allo, I hope I'm
10 pronouncing that right. In the Journal of
11 Clinical Immunology last year this comparison
12 of the match-related -- this dichotomous
13 comparison of the match-related to all of the
14 transplants was for three-year overall
15 transplant related mortality. Among the
16 match-related transplants the three-year
17 mortality, morbidity was an acceptable 21
18 percent. Among all the other transplants it
19 was over 50 percent.

20 Next slide, please. Given the
21 limited availability of donors, and the high
22 morbidities that some of these patients face

1 for many allotransplants what kind of
2 alternative therapeutic strategies are out
3 there? Rather than to try to encompass this
4 which is a very large area, I have two
5 examples. In chronic myelogenous leukemia,
6 the early phases for patients who are
7 eligible the use of allogeneic transplants
8 represents in many centers the primary
9 modality.

10 The use of the allogeneic
11 transplant is considered by many to be
12 curative. These figures under match-related
13 donors from McGlave and Gratwohl are
14 representative figures. I just tried to take
15 them including the whole range. The Gratwohl
16 figure is somewhat pessimistic compared to
17 many of the others.

18 For unrelated donors the data is
19 very, very similar. Autologous
20 transplantation has a disadvantage of
21 infusing back tumor cells and is not widely
22 used. The use of biologic agents such as

1 interferon or interferon/hydroxyurea,
2 although quite promising, lacks long-term
3 data. So we do not know what the long-term
4 results or whether these will be actually
5 curative.

6 Next slide, please. Turning to
7 salvage therapy for acute myelogenous
8 leukemia a far more grim situation, this is a
9 comparison done in 1989 between different
10 modalities by Keating. This is in the
11 post-transplant situations so that each of
12 the -- and the figures that are given there
13 are in percent, so that each of those
14 horizontal sets of figures will add up to 100
15 percent.

16 It can be seen that there are sharp
17 advantage to allogeneic transplants which
18 give among the highest complete response
19 rate. Keating has pointed out that there is
20 a relationship between the overall survival
21 and the initial response rate with the except
22 of high dose ARA-C in which there is somewhat

1 of a disparity.

2 It can be seen looking again at the
3 allogeneic transplants that the response rate
4 -- the complete response rate is quite good
5 and better than comparable that the number of
6 deaths are about the same as the other groups
7 and the number of chemo resistant patients
8 who are left is relatively small.

9 Next slide, please. In summary
10 then, what are the alternatives to high-dose
11 chemotherapy with allotransplantation rescue.
12 First, second and third line standard
13 chemotherapy. Avoid the high
14 transplant-related mortality that have a poor
15 survival, autotransplants also have a lower
16 transplant mortality, but you will reinfuse
17 tumor cells back into the patient and there
18 is a lack of graft versus tumor that is
19 allogeneic effect.

20 On biologic agents there is limited
21 data. Umbilical cord blood or expanded cells
22 are still in early trials. I will touch on

1 that in a moment. And we are left very often
2 for many patients with a need for a
3 haploidentical or partially-match-related
4 donor transplant with it's high transplant
5 -related mortality and acute
6 Graft-versus-Host Disease.

7 Next slide, please. What then are
8 the approaches? This is second section to
9 decreasing the allotransplant morbidity.
10 These strategies can be divided into three
11 major areas from the perspective of CBER.
12 There is the most historic method, that is
13 T-cell depletion which will be abbreviated as
14 TCD in many of the next few slides. Both
15 positive and negative selection which I'll
16 expand on in a moment.

17 There is the approach by
18 manipulation of the stem cell source either
19 by adding additional cells or by in some way
20 manipulating the cell population. Once
21 again, I'll expand on that and finally ex
22 vivo expansion and the use of cord blood

1 cells. I've grouped these together because
2 in many protocols they're being tried within
3 the same experimental laboratory.

4 Next slide, please. I'll start
5 with T-cell depletion, TCD. T-cell depletion
6 has been used now for well over 20 years. It
7 remains very controversial as a therapy. We
8 have several experts here and I think we'll
9 hear some comments later on.

10 The major questions are really how
11 best to do this, that is, which of the many
12 techniques should be used. Does it provide
13 any overall patient benefit? And I'm talking
14 about survival. And finally, what patient
15 population should it be applied to? And we
16 really are ignorant about most of these area.
17 The conventional wisdom is that T-cell
18 depletion will increase in engraftment
19 failure and will decrease the incidence of
20 acute Graft-versus-Host Disease both severity
21 and incidence, but that the jury is still out
22 on whether T-cell depletion impacts survival

1 for the patient.

2 T-cell depletion remains, however,
3 widely used. In this slide which is taken
4 from the data from the International Bone
5 Marrow Transplant Registry, it stashes data.

6 The percentage of TCD by individual
7 clinical entity is shown for matched-related
8 and for all other. And it can be seen that
9 those higher risk transplants, that is, where
10 there's disparities -- HLA disparities among
11 the other, use TCD in a much higher incidence
12 as would be anticipated. Within the study
13 the range of T- cell depletion used was 16 to
14 56 percent.

15 Next slide, please. Kernan in 1993
16 looked at unrelated donors.

17 This is data from the National
18 Marrow Donor Program registry. She found --
19 they found, rather, that TCD was used in 21
20 percent of cases and they emphasized the high
21 incidence of graft failure, particularly
22 secondary graft failure.

1 Next slide, please. Preti did a
2 survey in 1993 of transplantation
3 laboratories and he noted that 46 percent of
4 all laboratories surveyed used one or another
5 form of T- cell depletion. The majority used
6 pan-T-cell depletion techniques.

7 Next slide, please. And finally
8 the consequences or the results of T-cell
9 depletion which I've already summarized are
10 Maramount also from International Bone Marrow
11 Transplant Registry data looked at hazard
12 ratios. The relative risks are shown to the
13 right. The numerator here are those
14 patients who had T-cell depletion divided
15 through by the denominator and those patients
16 who did not.

17 These are all match-related
18 allotransplants. As you can see, the
19 relative risk is much higher for graft
20 failure, 9.29, that it is lower -- and that
21 would be lower in the T-cell depleted
22 patients; for acute Graft-versus-Host Disease

1 0.45 relative risk; and for treatment
2 failure, once again, the results probably
3 mean that the jury is still out.

4 Next slide, please. What are the
5 ways of doing T-cell depletion?

6 Most laboratories use pan-T-cell
7 depletion, that is all T-cells are depleted.
8 Selective depletion, there seems to be a lack
9 of enthusiasm at least in the published
10 literature at this point. Selective
11 depletion would be depletion of either the
12 CD8 subset or the CD4 subset.

13 The evaluation of T-cell depletion
14 remains very problematic. There are a number
15 of different methodologies which I will
16 outline. It is not clear that they are all
17 the same. Peripheral blood transplants are
18 being used more frequently. It has about
19 ten-fold higher number of T-lymphocytes than
20 does bone marrow. I think Jerry Marti has
21 mentioned the potential impact of this.

22 And finally umbilical cord blood,

1 UCB, and ex vivo expanded cells may have a
2 very different brand of T cells as compared
3 to bone marrow and peripheral blood. We're
4 uncertain about this, but the simple rule of
5 one citizen, one vote, one T cell, one
6 clinical impact would not seem to hold at
7 this point until we get further information.

8 Finally, the question of how much T
9 cell depletion must be accomplished from a
10 clinical point of view to have an impact
11 either on the severity or the incidence of
12 Graft-versus-Host Disease is very unclear from
13 the published information. In general, a
14 consensus opinion would be that from 50,000
15 to 400,000 CD3 positive cells per kilogram of
16 body weight of the recipient would avoid
17 acute Graft-versus-Host Disease, but that's a
18 very wide range.

19 Next slide, please. Kernan, in a
20 very widely-quoted paper in 1986 looked at 31
21 patients who had had T-cell depletion and she
22 observed that none of the patients who

1 received under 100,000 clonable T cells
2 suffered from Graft- versus-Host Disease. As
3 I said, there were 31 patients, there were
4 four who developed acute Graft-versus-Host
5 Disease.

6 These are Kernan's data taken from
7 the paper, but they're reorganized by the
8 patient group who had no GVHD which is the
9 first vertical column or middle vertical
10 column, that is 27 patients, and those who
11 did, the four patients who did. As you can
12 see from the numbers, there is no -- we
13 cannot make a distinction at this point
14 between the absolute numbers and the relative
15 numbers, the relative numbers being expressed
16 as kilogram of body weight of the recipient.
17 They both seem to show a relationship and a
18 sharp difference.

19 The average patient, and I'm
20 talking about now the relative numbers,
21 that's per kilogram of body weight received
22 37,000 T cells and no-GVHD group and close to

1 240,000 T cells in the GVHD group.

2 Unfortunately it is hard to
3 determine the border between these two
4 subsets that would help us understand --
5 first of all, clonable T cells, by the way,
6 were within this study IL2 PHA stimulated and
7 cultured T cells. And it is not clear what
8 the cloning efficiency was.

9 To determine the border between
10 these two groups, that would help us discern
11 the boundary for a threshold for inducing
12 acute Graft-versus-Host Disease. If one looks
13 at the frequency and the distribution among
14 the no-GVHD group there were 27 persons, but
15 there were four who had well over 100,000
16 clonable T cells. And among the GVHD group,
17 the four, these figures were very high. They
18 had very high numbers of clonable T cells.
19 So that it is impossible to pick out a border
20 between these two which would be useful.

21 Next slide, please. And I think
22 finally I should mention in the study that I

1 had mentioned earlier by Maramount, it was
2 noted that patients who received under a
3 million T- lymphocytes and these were
4 CD3-marked T-lymphocytes had a lower severity
5 of Graft-verus-Host Disease. I don't show
6 the data here.

7 What kind of processes are involved
8 in T-cell depletion? Basically positive
9 selection or negative selection. Positive
10 selection indicates that another population
11 other than the T-cells are selected for
12 leaving the Infused 8, the transplant Infused
13 8 at a much lower volume and number of cells
14 and excluding many of the T cells from that
15 selection which then becomes a T cell
16 selection method.

17 We've talked about the selection
18 devices. Centrifugation has been used, the
19 results are very limited in terms of T-cell
20 depletion, density media, several have been
21 used. The figure of 85 percent reduction has
22 been given, much less than a log.

1 The two devices which are now being
2 discussed for CD34 cell selection will
3 deplete two and a half to three logs of T
4 cells. Counterflow elutriation
5 centrifugation -based technique will deplete
6 about two and a half logs.

7 Next slide, please. This is just
8 an example of some published information on
9 T-cell depletion using the separate device.
10 The figures of CD3 count before and after the
11 selection method are shown. You can see that
12 links figure for peripheral blood is three
13 logs; Bensinger two and a half -- 2.8, I'm
14 sorry, for peripheral blood; Cottler-Fox had
15 figures for both peripheral blood which were
16 very similar, 3.1 log and a higher T-cell
17 depletion in bone marrow.

18 Next slide, please. Negative
19 selection involves the direct removal of T-
20 cells, the most historic of methods is the
21 Sheep Red Cell Rosette Method with or without
22 agglutinin-NV log of T-cell depletion shown

1 here for the Sheep Rosette Method is without
2 that a agglutinin and it's higher with it or
3 with double rosetting.

4 Many of the methods used now are
5 antibody mediated. That is antibody in the
6 presence of compliment, antibody present on
7 beads which can be magnetically removed or
8 are dense so that they can be spun down.

9 Antibody covalently linked to toxins panning
10 which means that the antibody is covalently
11 linked to the settling chamber is not widely
12 used anymore.

13 Let me call your attention to the
14 last -- that is the use of positive and
15 negative selection together which is now
16 being employed in a number of protocols.
17 That will achieve up to four logs of T-cell
18 depletion and is one of the active, though
19 early areas being explored.

20 Next slide, please. The second
21 approach is the manipulation of stem cells.
22 Examples are given here. They include:

1 megadosing; highly purified stem cells
2 referred to as HSC; the addition of
3 facilitating cells, stromal cells, expanded
4 stromal or mesenchymal cells; and in earlier
5 times the actual use of mixed bone marrow and
6 peripheral blood.

7 I'll start with megadosing. Next
8 slide, please. These were first described or
9 popularized essentially by Aversa. These
10 next two slides are on results published in a
11 very recent paper, a '98 paper. He had two
12 groups; one who were transplanted with
13 peripheral blood, and another group who was
14 transplanted with both peripheral blood and
15 bone marrow.

16 The figures aren't that disparate.
17 There were 43 patients altogether. You can
18 see that the number of CD34 positive cells
19 given and that's per kilogram of recipient
20 body weight is much higher than usually used.
21 It's 10 to 14 million. Although certain
22 centers now are moving up within approaching

1 these numbers.

2 There was extensive T-cell
3 depletion during these studies. The number
4 of CD3 positive T cells is 27,000 to 35,000
5 in these groups.

6 Next slide. The next slide shows
7 the results from the study of 43 patients.
8 The median ANC, that's a thousand or was 11
9 days. For platelets reaching 50,000, 29
10 days. There were two patients who had
11 primary graft failure, both were given
12 secondary Infused 8 of cells and both seemed
13 to engraft, though one did die. And that's
14 the Graft-verus-Host Disease and developed
15 Graft-verus-Host Disease. There was one case
16 out of the 43, and that patient did die. So
17 we can assume that the Graft-verus-Host
18 Disease was severe.

19 There were 17 deaths, most of them
20 due to infection. Of the 43 there were 13
21 relapses and 12 patients at a median follow
22 up of 18 months were disease free.

1 Next slide, please. Highly
2 purified stem cells were first developed in
3 mice. They represent a very small proportion
4 in bone marrow and now have been extended to
5 man and are entering early clinical studies.
6 These cells contain most, probably all of the
7 engrafting cells. Their phenotype is
8 relatively similar in mice and men. Thy-1.1
9 lo, lineage negative, though there are some
10 differences in antigens. And in the mouse it
11 has been shown that these cells will expand
12 several thousand fold, that is, in the mouse
13 under the appropriate cytokine conditions.

14 Next slide, please. Facilitating
15 cells were first described by Drs. Sachs and
16 Ildstat and a lot of the work is continuing
17 in Dr. Ildstat's lab. It is once again, a
18 rare cell population. The phenotype is T
19 cell receptor negative, CD3 positive, CD8
20 positive. Also, these studies are also
21 entering early clinical testing and it should
22 be noted that both of these, both the HSC,

1 highly-purified stem cells, and the
2 facilitating cells involve very extensive
3 concomitant T-cell depletion.

4 Next slide, please. Ex vivo
5 expansion, the goals are to increase stem
6 cells in patients who have a very low yield,
7 so to permit them to get transplants. To
8 decrease the number of pheresis and
9 potentially for putting away cells for the
10 future. It is also being applied in very
11 exciting possibilities for expansion of
12 umbilical cord blood cells which will deal
13 with the problem of limited numbers.

14 And it is also being looked at to
15 increase the number of mature polymorphil
16 nuclear leukocytes post-transplant to
17 decrease that window of infection, that is,
18 the post-transplant neutropenia. The
19 problems are that there are not any defined
20 culture conditions or agreed-upon culture
21 conditions that maximize the results to date,
22 and even more intrinsically there is no

1 well-define combination of cytokines.

2 Also the engraftable cell that
3 should be measured as a marker of what is
4 happening is very uncertain. In two of the
5 reports of ex vivo expansion, in the face of
6 a very, very marked increase in the total
7 cell number the number of CD34 positive cells
8 has remained about the same. CFU have
9 expanded, long-term culture initiating cells,
10 LTCIC, have been used, cobblestone assay,
11 it's really an uncertain area.

12 Next slide, please. Cord blood has
13 the problem of a limited number of
14 engraftable cells. There is reported delay
15 platelet engraftment of 60 days or more. And
16 the biologic potential for both engraftment
17 and complications are unknown, but data is
18 rapidly being obtained and I'm sure we'll
19 know more about this by next year.

20 Next slide, please. I'd like to
21 provide for you a very short status report.
22 We searched the IND/IDE files using as the

1 search term "Peripheral Blood Stem Cells,
2 Allogeneic." I think you can see from the
3 simple figures that we have given, these were
4 51 IND/IDEs that we found. That represents
5 only 3 to 4 percent of the total amount of
6 CBER activity over a period of about three
7 years. But as you can see from these figures
8 the numbers are increasing. Among the staff
9 there is also the same subjective impression,
10 that is, we're seeing a lot more activity in
11 this area.

12 I should point out that we're --
13 that the only INDs or IDEs that CBER sees
14 must involve a device or a drug, or an agent,
15 or a monoclonal antibody, or some other
16 experimental agent. CBER essentially does
17 not regulate straightforward allogeneic
18 transplants if no experimental modality is
19 involved.

20 Next slide, please. The features
21 of the experimental design as interpreted
22 from this group of studies -- of protocols

1 that we looked at for the last three years
2 were that they were, in general, single-arm
3 studies which would have to be compared to
4 historical data. They were small in size,
5 they were single site, they were individual
6 investigators, and they were early studies.

7 Next slide, please. The
8 eligibility was determined in general by
9 these studies by the institutional standards
10 of care. And generally a class of
11 hematologic malignancies, that is, five or 6
12 malignancies all scheduled for allotransplant
13 by institutional protocols were involved in
14 the studies rather than single clinical
15 entities.

16 Most of the data is from
17 fully-matched, matched-related donor
18 recipient pairs.

19 Next slide, please. In summary
20 then, with respect to CBER activity direct
21 T-cell depletion remains the major
22 experimental approach to high-risk

1 allotransplants that we're seeing. T-cell
2 depletion with greater log reduction of the
3 lymphocytes is being actively explored.
4 Selective T-cell depletion to date has not
5 been convincing.

6 Next slide, please. A broader
7 group of biologic approaches through
8 Graft-versus-Host Disease are also being
9 looked at. They're in early phases and many
10 of the newer techniques also produce very
11 extensive T-cell depletion which is going to
12 make interpretation even more difficult as
13 these cell populations are processed,
14 expanded, cultured, and manipulated.

15 Next slide, please. To summarize
16 the deficiencies, there are at the present
17 time only two concurrently-controlled late-
18 phase studies that remain open, one, a third,
19 will start recently soon, we hope.

20 There was a sharp absence of dose
21 finding studies. there is an absence of
22 ability to identify those critical subsets or

1 subpopulations in the allogeneic and fuseates
2 that underlie biologic activities.

3 And finally, there's a lack of
4 trials involving high risk haploidentical,
5 partial-match- related transplants.

6 Next slide, please. The last
7 section deals with a series of regulatory
8 goals, regulatory questions really. Our
9 overall goals remain pretty much the same.
10 That is, the decrease of acute
11 Graft-verus-Host Disease, retain the early
12 engraftment and sustain hematologic function;
13 improve or retain graft versus tumor
14 allogeneic effect and, of course, overall
15 survival.

16 The first regulatory question deals
17 with the study population. Should the study
18 population to license strategies which
19 improve allogeneic transplants be conducted
20 on relatively healthier subjects or on
21 higher-risk, and less-healthy populations?

22 Next slide. On the healthier

1 populations they are often younger and have
2 matched-related donors. There would be less
3 background noise and so adverse events, in
4 particular, but also activity would be easier
5 to determine. Concurrent unprocessed
6 controls would be more available since the
7 standard techniques using as well matched a
8 donor as you can are far more -- far more
9 frequent than would be those for high-risk
10 persons. And so the possibilities of getting
11 controls would be greater.

12 The ability to collect long-term
13 data would also be improved because the study
14 group would survive much longer than a
15 higher-risk population.

16 It should also be mentioned that
17 the risks may be unacceptable. That is, in a
18 population of such patients in whom a
19 standard procedure, although risky, offers a
20 substantial promise -- a very risky
21 experimental procedure should be thought
22 about very carefully.

1 Next slide, please. In terms of
2 the high-risk populations they were often
3 older, they were often HLA mismatched and
4 they often have higher stage disease and a
5 lot of previous treatment.

6 The reasons to look at such a
7 population, which we already alluded to,
8 would be first of all the dramatic unmet need
9 that we have here. The impact on survival
10 may be more visible though in a shorter term.

11 Control populations may not be
12 feasible. The number of patients who would
13 be involved in these procedures are being
14 involved in these procedures is quite small.
15 And clinicians and physicians may be very
16 resistant to taking the patient with such a
17 high risk and not offering a modality that at
18 least offers something such as T-cell
19 depletion in a controlled arm, or the
20 possibility of a control arm.

21 Next slide, please. Also with
22 respect to the study population a second

1 question: How narrowly focused should the
2 study population be? A single conditioning
3 regimen, a single GVHD prophylaxis regimen,
4 regulations are in concomitant medications.

5 Should the group be stratified for
6 a narrow Tcell dose range? In general we see
7 a very wide range since all the cells that
8 can be appropriately collected on guidelines
9 are usually infused.

10 Should it be stratified for
11 unrelated versus related donors, for HLA
12 match?

13 Next slide, please. Endpoints. In
14 studies with concurrent controls and a
15 primary endpoint of decreased acute
16 Graft-versus-Host Disease or decreased
17 morbidity in the transplant period, must
18 survival and event-free survival data be
19 collected? And if the answer is yes, I hope
20 it is, is similar or superior survival of the
21 treatment arm necessary? If similar survival
22 figures are necessary in any treatment arm,

1 how similar should it be? How much leeway
2 should there be, how much worse could it
3 possibly be and still be evaluated as a
4 useful procedure.

5 Next slide. And finally,
6 endpoints, should the primary endpoint be
7 overall survival or event-free survival, and
8 should the study be powered to detect a
9 difference in overall survival or event-free
10 survival. And I'm restating what was really
11 said on the last slide.

12 Next slide, please. Controls. Are
13 concurrent controls not only desirable but
14 absolutely required? If not, could you
15 please comment on alternative experimental
16 designs that might be available and which
17 could be acceptable.

18 Thank you.

19 DR. VOSE: Thank you, Dr. Litwin.
20 Why don't we proceed with a short discussion
21 or maybe questions for Dr. Litwin. Dr.
22 O'Reilly.

1 DR. O'REILLY: Yeah, just to make
2 one comment, the estimates that you had for
3 Graft-versus- Host Disease for the mismatched
4 circumstance are derived from a series that
5 included both depleted and unmodified. In
6 the unmodified mode, the usual read for a
7 Graft-versus-Host Disease in a two antigen
8 disparate graft is 80 to 85 percent grade II
9 to IV and for a three it's in excess of 90 to
10 100 percent with very few, if any, long-term
11 survivors. And I think that that's an
12 important point when we're getting into this
13 in the evaluation of these types of
14 transplants because in very real terms a full
15 haplotype unmodified graft is lethal and can
16 be lethal in very few cells administered.

17 DR. VOSE: Any other comments or
18 questions?

19 DR. O'REILLY: The source for that
20 is Pat Beatty's study in the New England
21 Journal and there are several other sources
22 on it.

1 DR. LITWIN: There wasn't a
2 question in that, was there, Richard?

3 DR. O'REILLY: No, no, but I think
4 that's important because because I think that
5 the barrier is more extreme than the figures
6 that you presented suggested.

7 DR. HENSLEE-DOWNEY: Although I
8 think it's of interest that even in Pat
9 Beatty's publication in the New England
10 Journal of Medicine in 1985 which clearly
11 showed in unmodified grafts that the
12 incidence of Graft-versus-Host Disease would
13 be in the 80 percent or above range.

14 When he looked at patients
15 transplanted in remission survival beyond two
16 years was identical, and when one looked at
17 all of the mismatched family donor
18 transplants compared to matched sibling donor
19 transplants. So even then feasibility of
20 performing haploidentical transplant was
21 established if the patient was in good
22 condition and could tolerate the transplant

1 reasonably well. Yeah, it's true, people
2 forget it.

3 DR. O'REILLY: As far as I --

4 DR. VOSE: Can you just speak into
5 the microphone? I'm sorry, it's being
6 recorded.

7 DR. O'REILLY: As far as I
8 remember, the only ones that are comparable
9 survival to the HLA matches were the one
10 antigen disparate grafts.

11 DR. HENSLEE-DOWNEY: No. No,
12 actually the whole group as a whole in
13 remission patients -- I have the slide
14 upstairs -- in remission patients only had
15 similar survival as matched-sibling donors.

16 DR. O'REILLY: Okay.

17 DR. LITWIN: I think we can all
18 accept the fact that the risks, however, in
19 mismatched transplants are substantial and
20 that's our focus.

21 DR. HENSLEE-DOWNEY: Absolutely.

22 DR. VOSE: I think we're scheduled

1 for a break, but why don't we just go on to
2 our presentations if that's okay with
3 everybody.

4 We'll just take a couple minute
5 break to get everybody set up and go on with
6 our guest presentations.

7 (Recess)

8 INVITED PRESENTATIONS

9 DR. VOSE: We'll next proceed with
10 the guest presentations and first Dr.
11 Henslee-Downey from the University of South
12 Carolina is going to speak. Jean.

13 DR. HENSLEE-DOWNEY: Thank you.
14 Well, it is a pleasure to be with you today
15 and to discuss this topic that I've been
16 actually working on for over a decade now.
17 And I thought I would review some of the
18 issues as well as share with you some of our
19 own work in doing the haploidentical
20 transplants.

21 First slide, please. Or I can do
22 that. And this just again looks at donor

1 availability which is what has really driven
2 our interests in performing haploidentical
3 transplant. And as previously stated the
4 chance to find a match sibling donor is
5 somewhere in the 25 percent range.

6 The opportunity to find an
7 unrelated donor through registries whether
8 that be the adult volunteer registry or cord
9 blood registries depends in large part on the
10 HLA haplotypes of the person needing the bone
11 marrow transplant and how frequently those
12 haplotypes are expressed in the registry.

13 However -- and Joanne Kurtzberg
14 will talk about cord blood more later this
15 morning -- the hope was that one could use
16 more mismatching or tolerate more mismatching
17 with cord bloods and therefore it would be
18 easier to find donors. This bar graph is
19 somewhat complex because it does represent
20 the fact that some individuals with very
21 common HLA haplotypes will have 100 percent
22 chance to find a donor and in fact today with

1 more than 4 million donor in the registry,
2 when an individual has a donor they often
3 have many donors, even 600, 700, 800 donors,
4 but that doesn't change the fact that there
5 are some individuals who probably will never
6 find a donor in the registry.

7 And particularly individuals who
8 represent unusual HLA combinations and these
9 often represent people from ethnic groups or
10 minority groups where their chance of finding
11 an unrelated donor can be less than 5
12 percent. And for that reason many people in
13 the field have continued to concentrate on
14 trying to develop techniques to do
15 haploidentical family donor transplants.

16 Now, for some time in the field in
17 general people have accepted the fact that
18 one might do one antigen mismatched family
19 member transplant. However, this could still
20 only be available if one did very careful
21 extensive family typing and that is not often
22 done. But this donor may only be available

1 to anywhere from 10 to maybe 25 percent of
2 patients. If one can tolerate a two antigen
3 barrier, whether that be in the donor or in
4 the patient, then the chance of finding a
5 donor could go up to perhaps even 50 percent.
6 But the donor that truly makes allogeneic
7 marrow transplant readily and immediately
8 available to almost every single patient in
9 need of a transplant would be the
10 haploidentical donor.

11 Now, you need to understand that
12 when we say that this donor is haploidentical
13 it means that there is at least at three
14 antigen mismatch in either the donor or the
15 recipient, or both. So it does get somewhat
16 complex when we start to think about these
17 haploidentical identical donors because we
18 have to think bidirectionally.

19 Now, we've already listened to the
20 previous speaker who has very nicely pointed
21 out the significant problems that have stood
22 in the way of successful transplant outcomes

1 and they include graft failure, acute and
2 chronic Graft-versus-Host Disease and poor
3 immune reconstitution. And, certainly, this
4 is -- these represent the most important
5 early and late endpoints that must be studied
6 in any trial to do mismatched transplants.

7 Not listed here, but also of great
8 importance, I believe, will be the goal
9 standard and that is survival and
10 disease-free survival.

11 Now, as previously stated, most
12 people in the field have looked at forms of
13 T-cell depletion as a way of trying to
14 overcome histocompatibility barriers. And in
15 this slide, it is my hope to kind of think
16 about the broad approach to T-cell depletion.
17 And we know that outcomes, particularly these
18 early endpoints engraftment or acute
19 Graft-versus-Host Disease can be linked to the
20 degree of T-cell depletion that is performed
21 so that if one does light T-cell depletion
22 and gives a fairly large T-cell dose, then

1 one can still see significant
2 Graft-verus-Host Disease but also one often
3 sees engraftment.

4 As one tries to control acute GVHD
5 through T- cell depletion unfortunately there
6 is usually a loss of successful engraftment.
7 And so a number of investigator have tried to
8 look at trying to get in between on this
9 spectrum of T-cell depletion and then look at
10 other treatment modalities that might help
11 you improve engraftment as well as improve
12 the control of GVHD.

13 For example, certainly host
14 conditioning can be critical in the success
15 of engraftment and it can even correct,
16 perhaps, graft failure when one is even in
17 this range of T-cell depletion. Donor
18 disparity also has clearly been associated
19 with poor graft engraftment. Some
20 investigators are now exploring what was
21 mentioned, and that is increasing the stem
22 cell dose or using growth factors to enhance

1 engraftment.

2 On the flip side, if one uses less
3 T-cell depletion as a part of improving
4 engraftment, you may still deal with a lot of
5 GVHD and so people have looked at adding
6 post-transplant immune suppression.

7 Infection control will probably also help to
8 control the incidence of GVHD, anything to
9 reduce in general regimen-related toxicity
10 can enhance control of GVHD.

11 Although host disparity is listed
12 here, there is less data to actually show
13 that that is correct.

14 Now, in some of the work that we
15 began, actually in the late '80s included
16 looking at using a fairly broad conditioning
17 regimen using TBI as the base and then adding
18 multiple anti-toxic drugs or antineoplastic
19 drugs that were commonly used in
20 transplantation. Our intent was to both be
21 immunoblative as well as to try to help
22 respond to the very refractory leukemia that

1 we often see in the patients that have
2 undergone these types of transplants.

3 So we used a broad approach but
4 reduced the dose of the drugs compared to the
5 usual dose used when a single
6 chemotherapeutic agent is used in combination
7 with total body irradiation.

8 Immediately prior to transplant we
9 gave large doses of steroids both to decrease
10 cytotoxic -- the cytotoxic environment in
11 which the cells were going to be infused, and
12 to do the last bit of immunoblation of the
13 host.

14 In this trial that was published in
15 transplantation in 1996, we tried to look at
16 combining ex vivo with in vivo T-cell
17 depletion with the concept that if you did
18 only partial T-cell depletion of the merrill
19 graft and at that time we were studying the
20 use of T-10, B-9 for that purpose which led
21 to a little less than two log T-cell
22 depletion and combined that with an agent

1 that could be given post-transplant to do in
2 vivo T-cell depletion just as T cells were
3 starting to proliferate in response to
4 alloantigens that perhaps that sequential
5 approach could help to ease the way to
6 engraftment and control of Graft-versus-Host
7 Disease.

8 At that time we were studying a CD5
9 immunotoxin for the treatment of acute GVHD
10 and this drug was explored in this protocol.

11 In analyzing this pilot trial we
12 compared patients in the study group with
13 patient who had consecutively been
14 transplanted previous to the trial receiving
15 only T10B9 depleted grafts and no transplant
16 in vivo T-cell depletion. As you can see,
17 engraftment was excellent in both of these
18 two arms. And although the study group did
19 have a small number of graft failures, these
20 were primarily seen in children how had
21 metabolic disorders and they represent a more
22 difficult group of patients in which to

1 achieve engraftment.

2 What we were pleased with was this
3 really quite remarkable reduction in the
4 incidence of grade II to IV Graft-versus-Host
5 Disease which occurred actually in all
6 patients eventually in the previous control
7 group, the historical control group, and was
8 reduced to approximately 40 percent in
9 patients who are received now ex vivo and in
10 vivo T-cell depletion.

11 This also --

12 DR. VOSE: Jean, I'm sorry, could I
13 interrupt you for a second? What are the
14 numbers of patients in those?

15 DR. HENSLEE-DOWNEY: In the study
16 group?

17 DR. VOSE: In the study group,
18 yeah.

19 DR. HENSLEE-DOWNEY: There were 40
20 patients and in the historical control there
21 were 17 patients.

22 DR. VOSE: Thank you.

1 DR. HENSLEE-DOWNEY: This did
2 translate into a trend to improve survival.
3 And it probably also represented one of the
4 first trials to demonstrate survival out to
5 ten years and now beyond for these patients.

6 We subsequently continue to explore
7 this approach and we reported in bone marrow
8 transplant in 1996 a comparison in patients
9 with acute lymphoblastic leukemia who were
10 transplanted with a matched sibling donor in
11 the same period of time that we were
12 conducting ongoing trials using
13 haploidentical donors.

14 These patients had fairly advanced
15 disease and certainly proportionately the
16 patients receiving a family donor transplant
17 were more often in frank relapse at time of
18 the transplant. The age groups looked very
19 similar in these two groups and at the time
20 of reporting this data, the median follow up
21 was 6.7 years.

22 There was no significant difference

1 in the engraftment between the patients
2 receiving a matched sibling donor or a
3 haploidentical donor. However, there were
4 graft failures. And also of interest there
5 was absolutely no difference in the
6 likelihood of patients developing very mild
7 grade 0 to II Graft- versus-Host Disease and
8 more important grade III to IV disease
9 comparing the matched sibling with the family
10 donor.

11 Not expected, but of interest to us
12 was the fact that patients who received the
13 family donor transplant had a lower incidence
14 of extensive chronic Graft-versus-Host
15 Disease. We felt that that could best be
16 explained by the fact that all of these
17 patients then did receive T-cell depleted
18 grafts while these patients all received
19 unmodified grafts.

20 When we looked at disease-free
21 survival and compared matched sibling donors
22 with family donors, there was no difference

1 in an outcome.

2 Our reviewers asked us to then look
3 at patients who were transplanted in
4 remission and combined the one antigen
5 mismatch haplo transplant with the matched
6 sibling donor and compare that with the two
7 and three antigen mismatched donor recipient
8 pair. And, again, there was no difference in
9 disease-free survival.

10 This then led to another large
11 series of haploidentical transplants that
12 were performed at the University of South
13 Carolina and reported in blood in 1997. As
14 we proceeded with this work, we did become
15 somewhat more courageous and we began to
16 offer this type of transplant to even older
17 individuals. And, as you can see, in this
18 group we went up to 50 years of age. But the
19 median age was 16.

20 Also of interest is the fact that
21 this may represent for American studies the
22 largest proportion of patients who do

1 represent minority or ethnic groups. Still,
2 even the caucasians that are represented in
3 this study are those individuals who could
4 not find an unrelated donor.

5 Again, the patients tended to be
6 transplanted for very high-risk disease and
7 in fact almost a third of -- three-fourths of
8 the patients were in states of vlas-crisis or
9 refractory relapse of the underlying disease.

10 Even when we categorize patients in
11 what we might consider a low-risk group, and
12 because the patient --

13 In this protocol we did make some
14 changes in our previous approach. We started
15 out with a somewhat lower dose of total body
16 irradiation with a total dose of 1332 and
17 about three-fourths of the way through this
18 number is 26, not 46, we increased the dose
19 to 1500, and I'll explain the reason for that
20 in a moment.

21 We continued to use this broad
22 approach to chemotherapeutic treatment of the

1 underlying disease in preparation of the
2 patient for transplant. We again used T10B9
3 to prepare the bone marrow graft, but we did
4 add additional immune suppression
5 post-transplant in the form of very low-dose
6 cyclosporin maintaining these levels between
7 100 and 200 which is much lower than what one
8 would tend to see in patients receiving an
9 unrelated graft or a matched sibling donor
10 graft.

11 We no longer had access to the CD5
12 immunotoxin for post-transplant in vivo
13 T-cell depletion and we turned to the
14 pharmacy for a drug that would be available
15 as well as looked at other experience that
16 had been published in transplant. And as you
17 may know, the University of Minnesota had
18 also explored the use of a course of ATG
19 early post-transplant as a way to help
20 control Graft-versus-Host Disease with
21 favorable results. So we inserted ATG in the
22 same place during the protocol that we had

1 previously given patients the immunotoxin.
2 And these patients always get pre-medicated with
3 steroids, but after we complete the 12-day
4 course of ATG, we then taper the steroid
5 therapy.

6 Now, the engraftment in the study
7 was actually somewhat disappointing. In the
8 majority of patients engraftment occurred
9 fairly early. This is a thousand cells for
10 three consecutive -- a thousand white cell
11 count for three consecutive days. And
12 patients tended to engraft at about 18 to 20
13 days out. However, as you can see, to
14 achieve complete engraftment in the majority
15 of patients, there was a proportion of
16 patients, perhaps about 15 percent who
17 required second transplants. And I think
18 that one always has to see that as a failure
19 particularly since the survival of efforts to
20 try to overcome graft failure and
21 particularly known rejection usually is not
22 successful.

1 Now, when we examined -- the reason
2 we had increased the dose of TBI was because
3 we did feel that we were having trouble with
4 engraftment. And this is another interesting
5 observation of the importance of host
6 conditioning. So that the kinetics of
7 engraftment are quite clearly improved in
8 patients who received more intensive
9 conditioning prior to transplant compared to
10 TBI.

11 Also, in this particular study
12 there was a significant difference in
13 engraftment if the donor was three antigen
14 mismatched compared to donors who were less
15 than three antigen mismatches. So this
16 histocompatibility barrier had an important
17 impact on engraftment in this study.

18 On the other hand, our control of
19 graft versus host disease in this study was
20 excellent with a 16 percent estimate of grade
21 II to IV disease in all patients successfully
22 engrafted with the initial transplant.

1 Within that only 7 percent of the patients
2 developed severe grade III to IV disease.

3 Likewise, the incidence of chronic
4 graft versus host disease in eligible
5 patients was within what one might see in a
6 matched sibling donor cohort, although often,
7 particularly in older patients, the
8 likelihood of developing extensive GVHD is
9 even higher in an unmodified matched sibling
10 donor transplant.

11 Survival and a univariant analysis
12 that compared low-risk patients to high-risk
13 patients was significantly different. This
14 is classical for all types of transplants,
15 even autologous transplants or any type of
16 allogeneic transplant. Furthermore, in
17 analyzing this data in a multi-variant
18 analysis risk status or disease status at the
19 time of transplant was the only feature that
20 altered outcome.

21 Now, what I want to draw your
22 attention to is that using techniques that

1 did help us to achieve engraftment in the
2 majority of patients and control
3 Graft-versus-Host Disease led to very good
4 outcome in the first 100 days. Even in these
5 high-risk patients. So if you think about
6 100 days then the mortality risk within 100
7 days was in the 25 percent range. And what
8 drops these outcomes primarily becomes
9 infection and relapse. As we look now at the
10 cause of death in patients on the study and
11 relapse in essence became our most
12 significant problem.

13 Now, you have to remember that
14 three-fourths of these patients went into
15 transplants in frank relapse. So I don't
16 think that one could perceive this as a
17 greater risk of relapse. And I don't think
18 it would be a correct assumption to say that
19 the T-cell depletion contributed to this
20 relapse rate. If one looked at a similar
21 patient population receiving an unmodified
22 match sibling donor transplant you would see

1 a similar, and perhaps even higher rate of
2 relapse.

3 Graft failure was still significant
4 in this group of patients and we considered
5 that a very serious problem that we needed to
6 address. In doing all alternative donor
7 transplants I think we have to concentrate a
8 great deal on infection and we must monitor
9 post transplant immune reconstitution.

10 However, major organ toxicity or
11 EBV lymphoma was infrequently seen even in
12 these -- well, not infrequently seen, because
13 I would rather seen none. But, nonetheless,
14 in very high-risk patients this would be what
15 one would expect.

16 Now, we did make an interesting
17 observation in this series of patients as we
18 examined the immuno phenotyping of the
19 patients post-transplant and we saw that in
20 the early six months to a year after
21 transplant that a large proportion of these
22 patients had an increased proportion of gama

1 delta position T-cells circulating in their
2 blood which might have been a result of using
3 T10B9 depletion of the marrow graft since
4 this actually interacts with the alpha beta
5 portion of the T-cell receptor.

6 The reason this was of interest to
7 us is the fact that if we looked at the
8 patients surviving at least 100 days, the
9 disease free survival was far superior in
10 patients who did maintain a greater than 10
11 percent proportion of circulating gamma delta
12 positive T-cells compared to those who had a
13 smaller proportion of gamma delta T-cells.
14 And the reason for that is easily shown on
15 this slide that looked at the difference in
16 relapse.

17 So now we are starting to explore
18 gamma delta cells and particularly trying to
19 do co-culture assays with dendritic cells to
20 see if these particular cells could be
21 important post transplant immunotherapy. And
22 I think that's going to be another very

1 important part of doing haploidentical
2 transplant since I think for some time we
3 will continue to explore patients with more
4 difficult disease to treat.

5 DR. SALOMON: Were there
6 correlations such as did you have more graft
7 versus host disease or a higher --

8 DR. HENSLEE-DOWNEY: No, we did
9 not.

10 DR. SALOMON: -- host disease in
11 these two populations?

12 DR. HENSLEE-DOWNEY: No, we did
13 not.

14 DR. SALOMON: So there was no
15 effect of having circulating gamma delta
16 T-cells?

17 DR. HENSLEE-DOWNEY: No. Not on
18 GVHD.

19 DR. SALOMON: What about the
20 circulating alpha beta T-cells in these
21 patients, do they follow the same track as
22 the gamma -- if you had more gamma delta, did

1 you have more alpha beta?

2 DR. HENSLEE-DOWNEY: I'm not sure
3 about that. I would have to recheck that.
4 Thanks for the question and I'll look into
5 it.

6 DR. SALOMON: Maybe it could just
7 be artifactual that you had more alpha beta
8 T-cells than --

9 DR. HENSLEE-DOWNEY: I don't think
10 so. But I'd have to really look. Thank you.

11 So at this juncture in our work we
12 felt that sequential immunomodulation could
13 be very effective in helping to control
14 Graft-verus-Host Disease after haploidentical
15 transplant. We at this moment felt that it
16 was very important to achieve consistent
17 engraftment, as close to 100 percent as
18 possible and we knew that advance disease
19 would significantly worsen survival.
20 However, if we could make these transplants
21 safer, then this type of donor being very
22 readily available to patients would make it

1 so that one would not have to delay
2 transplant and one could perhaps affect more
3 cures.

4 Now, as we turn to our current
5 trial we certainly wanted to concentrate on
6 engraftment, but we also wanted to pay
7 attention to the fact that there might --
8 there was an increasing requirement that one
9 utilized FDA-approved technologies to perform
10 stem cell transplantation. And, therefore,
11 when we thought about T-cell depletion, we
12 felt that we ought to use an FDA- approved
13 agent although it's not approved for T-cell
14 depletion it is nonetheless approved for
15 human use in renal transplant circumstances.
16 And there was data in the literature that
17 where OKT3 had been used previously in this
18 type of transplant and had been largely
19 abandoned because as an agent by itself it
20 was not sufficient to control GVHD. But you
21 have to remember that our approach is that we
22 don't look at T-cell depletion as the only

1 part of the protocol that controls GVHD.

2 We also were not happy with the
3 higher dose of TVI because we did think it
4 perhaps was more toxic and so we decided to
5 go back to a lower dose that we had used
6 previously at 1400 centigrade and we added
7 ATG to the conditioning regimen. And
8 certainly this has been explored a great deal
9 as Sloan Kettering using ATG both prior and
10 after transplant to improve engraftment.

11 Now, I'd like to show you an
12 analysis that compares then our previous
13 patients who received T10B9 with an ongoing
14 series of patients who have received OKT3
15 depleted grafts. And let me just point out
16 again the changes that were made in the
17 protocol. We added ATG three doses at 10
18 milligrams per kilogram during the time that
19 patients received ARC. TBI dose was reduced
20 to 1400. And now we're using OKT3 depleted
21 grafts rather than T10B9. But otherwise we
22 continue to give the low dose cyclosporin and

1 post transplant ATG.

2 Now we have 210 patients which is
3 probably one of the largest series in a
4 single center to examine, 75 who received
5 T10B9 depleted grafts and 143 who were in the
6 OKT3 arm. Our age category actually even
7 reached a bit higher to 54 years of age and
8 just for your interest, we have subsequent to
9 this analysis performed transplant in a
10 58-year-old gentleman who has now over six
11 months post-transplant and doing well. So I
12 think that we are still very cautious about
13 which older patient we would be willing to
14 take through this transplant. It does still
15 seem feasible for adult patients.

16 Our diseases were very similar in
17 these two series of patients. Unfortunately,
18 if anything, the disease status worsened as
19 we go on. And so that we have a very small
20 proportion of patients in the OKT3 arm who
21 could have been classified in any way in a
22 quasi-low-risk category.

1 The donors, again, just for your
2 information 30 to 40 percent of donors have
3 been parents and siblings. In fact, probably
4 75 percent of the time a person would find a
5 sibling who is haploidentical. Children
6 became donors for their parents. And then,
7 of course, occasionally cousins or aunts,
8 uncles, even a grandparent became a donor.

9 Now, when we retrospectively looked
10 at the graft results in preparing these
11 transplants we might immediately say that we
12 had made a mistake in going from T10B9 to
13 OKT3 because in fact there was a significant
14 decline in the number of nucleated cells per
15 recipient kilogram weight given in this
16 series of patients. We didn't actually have
17 enough patients in the T10B9 group enumerated
18 for CD34 to make a fair comparison. But as
19 you can see, OKT3 was actually a more
20 effective T-cell depleting agent leading to
21 about a two and half log T-cell depletion so
22 that we reduce the number of T-cells

1 administered to the patients.

2 But -- and one wouldn't have
3 expected that -- engraftment was fixed. And
4 in this series of patients we have actually
5 experienced a 99 percent successful
6 engraftment rate, and as you can see, these
7 patients reach 1,000 white cells for three
8 consecutive days at about 15, 16, 17 days
9 post transplant.

10 Now, I don't think that the reason
11 for this is the OKT3. I think the reason for
12 it is because ATG added substantially to host
13 conditioning particularly when one thinks
14 about what we just learned about the grafts
15 between these two approaches.

16 Now, if we just look at the OKT3
17 group and we consider engraftment and the
18 kinetics of engraftment based on the
19 nucleated cell dose and we split at the
20 median and look at those patients above the
21 median or below the median, as you can see,
22 the nucleated cell dose at least in this

1 protocol had no effects on engraftment nor
2 did the CD34 dose. But this is what we are
3 particularly excited about, and that is that
4 the histoincompatibility of the donor or the
5 mismatch, the three antigen mismatch in the
6 donor also had no effect on engraftment for
7 the first time in our hands.

8 Now, if we look at the entire 210
9 patients and look at grade II to IV GVHD it
10 remained quite low with no statistically
11 significant difference in engraftment even
12 though there was a slight trend to more
13 disease in the OKT3 group.

14 Grade III to IV disease was
15 identical in the II series. And GVHD
16 mismatch in the patient had no effect on the
17 likelihood of patients developing grade II to
18 IV GVHD.

19 Chronic graft versus host disease
20 limited and extensive was very similar to
21 what we had seen in the first series now
22 extended out to both series.

1 And outcome with regard to relapse
2 and survival did not change significantly and
3 perhaps that's quite a disappointment to us
4 as we finally overcame those engraftment
5 problems. But I think the reason for that is
6 that as long as we continue to primarily
7 transplant high-risk patients with very
8 refractory disease we are going to continue
9 to deal with this high relapse rate that has
10 a marked effect on two-year survival
11 estimates.

12 DR. KURTZBERG: Is that a --
13 survival or overall survival?

14 DR. HENSLEE-DOWNEY: That was
15 survival.

16 DR. KURTZBERG: Overall --

17 DR. HENSLEE-DOWNEY: Event
18 pre-survival is very similar.

19 Now, just in closing, I had
20 recently, for the purpose of a textbook,
21 tried to pull together a number of published
22 results looking at all alternative donors.

1 And I used for the matched sibling donor
2 cohort, actually Slidlow's paper, so this
3 represents IBMTR data, and tried to think
4 about were the problems in doing alternative
5 donor transplants similar across the
6 different types of alternative donors? And I
7 think several things might be draw just by
8 this casual look at published results. And,
9 of course, there are many more results since
10 this was done, and I'll point out a few of
11 the things that I've missed.

12 But with regards to engraftment,
13 then I think depending on what techniques are
14 used, engraftment problems can be expected in
15 both haploidentical transplant and
16 unrelated-donor transplant. Engraftment
17 problems have perhaps been a bigger issue in
18 cord blood transplants. Although new
19 techniques maybe helping to improve that.
20 Whether that has done it for all ages yet,
21 I'll leave to Joanne to discuss.

22 With regards to acute

1 Graft-verus-Host Disease, again, perhaps the
2 highest GVHD rates have been published
3 actually in unrelated, mismatched --
4 unrelated transplants and lower -- generally
5 lower acute GVHD rates been published in cord
6 bloods. However, with highly mismatched
7 unrelated cord bloods, it's certainly true
8 that fatal GVHD can occur and is still an
9 issue.

10 On the other hand with regards to
11 chronic Graft-verus-Host Disease this is
12 where I think that cord bloods sort of stand
13 out, that they truly have across the board
14 shown less chronic Graft-verus-Host Disease.
15 But when we look a leukemia-free survival and
16 what we might consider somewhat low-risk
17 patients versus high-risk patients, then the
18 differences aren't quite as obvious.

19 Now, you know, one study that is
20 obviously not here with regard to unrelateds
21 is the New England Journal Paper by Hanson
22 from Seattle where he carefully selected

1 patients both for molecular HLA typing and
2 very, very early disease in CML and produced
3 better results. But I think always the
4 disease status, the patient's condition is
5 going to drive those outcomes more than
6 anything else.

7 But I think the reason that it is
8 so important for us to develop well-analyzed
9 studies in haploidentical transplant or in
10 any alternative donor transplant is because
11 eventually we may get to the position where
12 we can start to ask the question, are certain
13 patients benefitted more by one type of donor
14 versus another type of donor, and
15 particularly when should you not wait with a
16 patient as you seek one donor versus another
17 donor and turn attention to other available
18 donors for that individual patient. And
19 perhaps some day that we'll -- once we
20 establish techniques that we can some
21 confidence in, we can perhaps do randomized
22 trial in particular diseases where we think

1 that alternative donors should be used for
2 transplantation. But in the meantime, I
3 think all alternative donors should be
4 considered for patients where it is
5 considered the treatment of choice and that
6 we should extensively type family members, we
7 should obtain molecular typing on patients
8 and donors as quickly as possible to
9 facilitate search -- the search process. But
10 certainly we need to develop the transplant
11 option with a consideration to time and cost.

12 Now, there are still some patients
13 that would not go down this avenue and I
14 think we still need to do a lot of
15 exploration and autologous transplantation
16 because there is less toxicity there. And
17 some people would add in this group, perhaps
18 CML as they're trying to see if that
19 technology can be used effectively for that
20 disease.

21 But just in finally closing, there
22 are very compelling reasons to pursue and

1 study haploidentical transplant. And I would
2 just like to review some of those very
3 quickly.

4 Probably the lead compelling reason
5 is donor access. These donors are
6 immediately available. I think I see too
7 many posters where the child is being held by
8 the donor who is right now available to them
9 while they search for a donor that may never
10 be available to them. There are no racial or
11 ethnic restrictions when one uses a family
12 donor. Many donors are often available and
13 so one can often select amongst those donors
14 and consider other issues that may change
15 outcome such as sex, age, parity, or
16 infection concerns. Also these donors can be
17 very carefully evaluated.

18 In addition to that, you have
19 access to that donor at any point in time.
20 And as we do develop the technology that we
21 can use donor cells effectively for
22 immunotherapy, whether it's against the

1 disease or infections, then this type of
2 donor becomes maybe even more efficient.

3 There is a lot of cost efficiency
4 in using family donors. There is less HLA
5 typing, the graft acquisition cost is very
6 similar to the use of a matched-sibling donor
7 and there are not registry or banking
8 expenses whatsoever.

9 In addition there is some
10 efficiency in being able to obtain the graft
11 and to prepare the graft in whatever way may
12 make the transplant more successful. So you
13 can, since these grafts are obtained within
14 the center doing the transplant, you can
15 control cell volumes and you can use fresh
16 cells and manipulate those cells in a variety
17 of different ways that might enhance outcome.

18 So I'm going to close there. Thank
19 you.

20 DR. VOSE: Thank you. Any other
21 questions or comments for Dr. Henslee-Downey
22 on that information?

1 Well, why don't we go ahead and
2 move on to Dr. O'Reilly from Memorial Sloan
3 Kettering and then we'll discuss all the
4 issues.

5 DR. O'REILLY: I'm very pleased to
6 be here to talk to you. I thought what I
7 would do specifically is also review our
8 experience with T-cell depleted grafts in the
9 context of a haplotype disparate donor.
10 Since we introduced this concept at least in
11 man back in 1980 with the first transplants
12 for immune deficiencies and children with
13 leukemia. And what I would like to do is
14 initially update you on the results of HLA
15 haplotype disparate marrow transplants
16 administered from parents to children
17 affected with different forms of severe
18 combined immune deficiency because I think
19 these have a lot of continuing lessons in
20 terms of what ultimately can be achieved
21 using an haploidentical donor. And then
22 we'll briefly look at the issues of

1 limitations of grafts in the context of the
2 leukemics as well as what steps have now
3 recently been achieved.

4 Many of the points I'm going to be
5 raising here are going to be reiterations of
6 what Jean has already told you. Because I
7 think several of the issues that were raised
8 in the initial overview really are now less
9 problems and we are now introducing other
10 alternative issues and are actually looking
11 at new objectives in terms of
12 transplantation.

13 So overall now this is looking at a
14 series of different approaches to T-cell
15 depletion. And what we have done at our
16 institution was to use limiting dilution
17 analysis to actually look for clonable
18 T-cells in marrow grafts. And this slide
19 demonstrates a series of studies that were
20 initiated at our shop in which marrow --
21 single marrow aliquots were obtained and then
22 were separated by a variety of different

1 techniques utilized at that particular time.
2 And suffice it to say that with the lectin,
3 this is a soybean agglutinin and E-rosette
4 depletion. We used the E-rosette because CD2
5 is constitutively expressed at high levels
6 on T- cells and has been a regularly usable
7 marker for removal of T-lymphocytes.

8 The lectin separation removes about
9 one and a half logs of T-cells. It also
10 removes most of the mature cells in the
11 marrow such as the B cells, monocytes and
12 neutrophils, and when you do the E- rosette
13 you get an additional -- usually one and a
14 half to two logs -- so normally it's about
15 2.8 and it can be in excess of three logs in
16 repeated studies now.

17 Multiple E-rosette depletions can
18 achieve a maximum of two log depletion.
19 Different monochromal antibodies have been
20 used, anti-CD3, anti-CD8, with rapid
21 complement of those have usually yielded no
22 more than a two log depletion. Campath is

1 the closest to where we're at in comparative
2 trials with about two and a half logs. And
3 then if we use lectin followed by -- of
4 magnetic separation we could get into three
5 logs on a regular basis.

6 An important point that is
7 comparable in terms of our approach and the
8 campath approach is that both of these remove
9 most of the mature cells in the bone marrow,
10 not only the T-cells, but also the B-cells
11 and mononuclear cells, macrophages as well.

12 Now, I used to think that in fact
13 most of what we saw in terms of
14 Graft-versus-Host Disease reflected the
15 quantitative alterations in the graft.
16 Unfortunately, I can no longer say that.
17 Because when we have now looked at CD-34
18 depleted marrow, we can say that in fact we
19 are moving T-cells to about three logs and
20 yet the issue of graft versus host disease
21 has once again come up and made its nasty
22 head known.

1 So, without further adieu then, I
2 would like to talk about the patients with --
3 am I going to wrong way?

4 AUDIENCE: I think so.

5 DR. O'REILLY: The first I would
6 like to do is to review now 118 patients who
7 have received transplants from haplotype
8 disparate donors for the treatment of severe
9 combined immune deficiency. This is an
10 update as of this week. Looking at
11 recipients that have been transplanted at
12 Memorial Sloan Kettering Cancer Center which
13 is half the series, and the second is using
14 the identical technique of lectin separation
15 to E-rosette depletion at Ulm University
16 under Wilhelm Frederick who was a former
17 fellow of ours. And, in fact, in all aspects
18 of the trial, the studies have been done in
19 the same way so we can really look at this
20 relatively well.

21 In this particular group where we
22 have all the absolute clear data on this,

1 there are 67 where you have an allelic --
2 three alleles unique to the donor which would
3 be for rejection, but in SKD we don't usually
4 talk about that so much, let's focus on GVH.

5 Sixty-three of the patients were
6 three allele disparate, 41 were two alleles
7 and 10 of these individuals were allele.

8 This is not the whole series, there are a
9 couple of other patients where we're still
10 going to be absolutely sure about the level
11 of genetic disparity before we make the
12 designation.

13 There's nothing quite so bad as
14 that sound.

15 You need a nickel.

16 I think the obvious point here is
17 the fact that in the patients with severe
18 combined immune deficiency you are in a
19 circumstance where you can use a parental
20 donor immediately. There's no waiting
21 whatsoever. And what I'm going to be talking
22 now about really can also now be extended

1 even to the inter-uterine transplants where
2 again T-depleted grafts are now being
3 explored for inter-uterine correction of
4 severe combined immunal reaction disease.

5 That was the second worst.

6 DR. VOSE: Maybe the first worst.

7 DR. KURTZBERG: Now, you have to
8 shift gears. This picture will help you
9 because I'm going to talk about how to use
10 placental blood which is the baby's blood
11 left over in the placenta after the baby is
12 born and which is nature's example of
13 mobilization to substitute for bone marrow
14 derived stem cells in unrelated
15 transplantation.

16 Over the last several years as
17 we've been doing this, we've learned some
18 things about these cells. One is that these
19 cells are mobilized throughout pregnancy in
20 the placenta. And they're in the placental
21 blood regardless of the route of delivery
22 when you collect them after the baby is born.

1 And, in fact, if there was a way to do it,
2 you could collect them in utero as well.

3 Babies who have had their blood
4 tested for HN compatibility or PUBS for other
5 reasons even at, you know, 17 weeks, 28
6 weeks, et cetera have mobilized cells there.
7 And so therefore, it's an effective labor and
8 these cells can be collected from placentas
9 delivered after vaginal or C-section
10 deliveries.

11 In the public banking world,
12 meaning banks like the bank at the New York
13 Blood Center and the other banks funded now
14 by the National Heart, Lung, and Blood
15 Institute, the decision has been made to
16 collect from the delivered placenta. You
17 really could collect from the placenta before
18 the third stage of labor after the baby is
19 delivered, but before the placenta comes out.
20 But because of really a preference not to
21 interfere with the care of the mom and the
22 baby, and also not to have the obstetrical

1 team be responsible for the collection and
2 also to preserve privacy and confidentiality
3 for an unrelated donor. All of these banks
4 are collecting from the placenta which is
5 delivered, taken into another room and then
6 harvested.

7 I'll show you a few pictures of how
8 this is done, partially because of the issue
9 of a product. There are a number of
10 instances now where either for directed
11 donation or as part of some other public
12 banking efforts the obstetricians are being
13 asked to collect and this is not a hard thing
14 to do, but it is a hard thing to standardize.
15 And I'll just show you what's going on with
16 the banks currently that are in HLBI funded.

17 The placenta is placed, fetal-side
18 down in a chuck which is on a stand which is
19 just a plexiglass stand and then the cord is
20 brought down through a hole in the chuck and
21 the platform that the chuck is on. And you
22 can see the vein is the tortuous dark vessel

1 there. The vein is cleaned with alcohol and
2 betadine and then punctured with an 18-gauge
3 needle that's attached to a standard blood
4 collection bag that goes down to a bag that
5 has CPD anticoagulant in it. And the bag is
6 placed on a rotating scale so that the
7 anticoagulant can mix with the blood. And
8 also so you can tell when blood is flowing
9 because you can see the grams rising as blood
10 comes into the bag.

11 Usually a collection takes about
12 ten minutes and I don't know of anyone who
13 has figured out a way to get more blood than
14 simply using gravity. Methods that people
15 have thought about to squeeze the placenta or
16 vacuum extract the placenta or perfuse the
17 placenta are not better and bring up risk of
18 contaminating maternal cells in the unit
19 which would be bad for the recipient of the
20 transplant.

21 At the beginning of the days when
22 Hal Broxmeyer wrote in the 1980s about

1 comparing progenitor cells derived from cord
2 blood and from bone marrow he felt that any
3 manipulation of cord blood would lose
4 progenitors. And so for the first several
5 years of banking for related donors there was
6 no volume reduction and nothing done to
7 manipulate the unit. We now know that volume
8 reduction is possible and along with the
9 volume reduction red cell depletion can be
10 accomplished with a hetastarch sedimentation
11 and then cryopreservation can be done by
12 standard methodology and 10 part-time DNA.
13 So a couple of the banks now through the
14 NHLBI contractor using this freezer which can
15 store about 3600 units and it's in 25 mil
16 bags that are compartmentalized so that later
17 if the need for ex vivo expansion, gene
18 manipulation or T-cell depletion, we don't
19 really know, but it gives you two ways to
20 access the unit, a 20 percent portion and an
21 80 percent portion.

22 This is a control-rate freezing arm

1 which can freeze now in 11 minutes one unit.
2 And since everything is bar coded you don't
3 have to go stick your head down in this
4 freezer to find one of 3600, you just click
5 on a bar code and the robotic arm does it for
6 you which is quite nice.

7 In the current banks the informed
8 consent process for the donor mom begins with
9 her first visit to the OB group and she just
10 receives literature in the packet of stuff
11 that she gets from them. We also give talks
12 at lamaze classes and have posters and videos
13 in strategically located places. At 36 weeks
14 if the mom has expressed interest and she's
15 sometimes asked again by the OB nursing staff
16 in the clinic, she can then meet with a
17 collection nurse who works for the bank, not
18 for the OB group, and the consenting process
19 is explained to her. If she gives her
20 consent, a detailed medical history is taken
21 and plans are made for collection when she
22 delivers.

1 This process takes about 90 minutes
2 in our hands, so it's not a short session.
3 Mom comes into labor and delivery labeled as
4 a cord blood donor and when the placenta is
5 delivered it's handed off to the team and
6 then the team goes back to the mom a day or
7 so later and says, well, are you sure it's
8 okay to keep the cord blood.

9 Mom is also given out-clause card
10 that says -- it's addressed to us, it has a
11 stamp on it and it says, "I change my mind",
12 and she doesn't have to say why.

13 And that's similar to what the Red
14 Cross does in terms of people maybe not
15 wanting to disclose some high-risk behaviors
16 et cetera. But then later are feeling like
17 it would be a better idea not to keep the
18 unit.

19 The elements of the informed
20 consent which is a seven-page document are
21 listed here. One is that this is a voluntary
22 donation, that there's no guarantee that the

1 unit, if collected, will be there in the bank
2 for that family. And we so not notify
3 families if the unit is used for an unrelated
4 donor.

5 We also know that there are reasons
6 why the unit may be deemed unbankable either
7 because of infectious disease serologies or
8 some problem with processing, et cetera, so
9 that we don't guarantee that the unit will
10 there even though the moms agreed to be a
11 donor.

12 Mom has to agree to give a sample
13 of her blood which is used for infectious
14 disease serologies and she has to agree to
15 have feedback if those tests are positive.
16 If mom says no she doesn't want to know, then
17 she's excluded as a donor. So that's also
18 considered a high risk behavior.

19 Mom's chart and the baby's charts
20 are reviewed around the time of delivery.
21 The mom's OB chart is also reviewed. And in
22 each bank there's a subsetted feasibility

1 pilot for look forward to see if it makes
2 sense either economically or in terms of the
3 workload to look at these babies later over
4 the first couple of years of life to see if
5 they develop a disease that would be
6 transmittable and expressed in the stem cells
7 from the cord blood that would be relevant to
8 the recipient.

9 In our program we're doing that
10 with chart reviews at two months, six months,
11 and two years post- transplant.

12 The consent form also specifically
13 states that everything is confidential, that
14 the unit and all the testing is identified by
15 a bar code label, not by name and demographic
16 information. There's only one piece of paper
17 which is locked up linking the mom's
18 demographics to the bar code that's used for
19 all the labeling of the unit.

20 There also is a clause that says if
21 the mom -- or the family wants to remove the
22 unit and transfer to a private bank at a

1 later time that they can do that.

2 I think the issue of this being a
3 product that can be regulated is an important
4 one and I'm not against it at all, but I
5 don't think that all the things we know how
6 to do are enough to guarantee a successful
7 transplant. I think really when you look
8 back on it, you think you had a good product
9 if you have a successful transplant. And, of
10 course, there are many other things that can
11 lead into that. But these are the things we
12 are doing to try to guarantee a good
13 product -- is cultured for sterility and in
14 the public banking system, if units are
15 positive for bacterial cultures they are not
16 maintained in the bank. And in the private
17 donation setting we have had units
18 contaminated with vaginal flow bacteria
19 transplanted without incident -- have the
20 opportunity to exclude anything that might be
21 of a theoretical risk.

22 We are counting nucleated cells,

1 mononuclear cells, progenitor cells, CFUGMs,
2 and CD34 cells. And I'll show you some data
3 that makes -- that will make this look
4 important, but I don't think we have really
5 all the knowledge we need to know what the
6 best thing to measure is yet. Obviously we
7 need to measure blood type, HLA type which is
8 done by molecular methods, but at a serologic
9 level for class one, and at a higher
10 resolution level for class two just DR beta
11 one.

12 In each state hemoglobin
13 electrophoresis is done on the babies as part
14 of neonatal screening programs so those
15 results are obtained four units that were
16 banked to exclude hemoglobinopathies, the
17 viral serologies are done on the mom, again,
18 because IGG crosses the placenta and
19 measuring and the babies blood really doesn't
20 give you any new information. The detection
21 of CMV which if viremia was present it would
22 obviously be of importance to the transplant

1 and the transplant recipient as a little bit
2 harder. If the mom is IGG positive, it does
3 not mean there is virus in the blood.

4 At the New York Blood Center they
5 are culturing the infants saliva and have, I
6 think, a four per thousand positivity rate.
7 For the public banks right now we've decided
8 to look at maternal IGM which does identify
9 all the virus positive babies, but also
10 excludes a series of moms who had recent CNV
11 but are not viremic. But it was cheaper and
12 less invasive. And then a very detailed
13 family history is taken looking for
14 unexplained early deaths in the family, a
15 series of young adults getting gall bladder
16 surgery or splenectomies suggesting hemolytic
17 anemias that might have been undiagnosed in
18 looking for diseases that would be genetic,
19 and not easily testable.

20 Another thing to consider is
21 contamination with maternal cells and this is
22 just some DNA blots showing how you can tell

1 the difference between a mother, a donor and
2 a patient. We know there are maternal cells
3 in the cord blood. The more sophisticated
4 the tests become the easier these cells are
5 to find and they're certainly the one in
6 100,000 level and the one in 50,000 level.
7 What we don't know is what's a significant
8 dose or when that cel inoculant could
9 contribute to GVHD from the maternal cells in
10 the recipient of the transplant.

11 We now have two children who have
12 had documented engraftment in maternal cells
13 in the liver post-transplant. Both were
14 picked up about four months post-transplant
15 and confirmed on liver biopsy because of
16 elevated trans-aminases. In both cases
17 these kids were removed from immuno
18 suppression and in both cases the maternal
19 cells went away and the children are well.
20 One child is out a year now and the other
21 child is out almost four years. But we know
22 that this can occur.

1 I'm going to spend some time making
2 some points with a data set that was put
3 together with the two largest centers in the
4 United States doing cord blood transplants
5 right now and all the units I'll describe
6 were obtained from the bank at the New York
7 Blood Center. At Duke there are about 100
8 and I think 30 -- or I'm sorry 120 patients
9 represented and from Minnesota 33 for a total
10 now of almost 160. And in this analysis the
11 median age of the patients was 7 years, the
12 oldest being 58 years. Twenty-five of these
13 patients were over 18 and the median age in
14 that group was 43. The rest are children.

15 Median weight, almost 22 kilos with
16 the largest patient 92 kilos. Sex
17 distribution the same as you would expect for
18 these diseases. And 50/50 split on CMB
19 serology in the patients. No CMB positive
20 units were transplanted.

21 Two-thirds of the patients had
22 malignant conditions and similar to what Jean

1 mentioned, these were all high-risk patients
2 either in relapse or in late remissions
3 because of the nature of -- really phase one
4 nature of this work and there were a couple
5 of children with nerve blastoma as well.

6 And then one-third of the patients
7 had non- malignant diseases and that included
8 congenital marrow failure, fanconi, black
9 fandimon, some in-born errors in metabolism
10 including osteopetrosis, crabase, hurlers,
11 MLD and ALD and leshnihan and then a small
12 group with immune deficiency which my center
13 has an unusual culture that's usually a
14 patient who failed T- depleted haplograft
15 from a parent without any preparative regimen
16 and then needed ablation to get a second
17 transplant.

18 This just gives you some
19 demographics about the units. Median volume
20 was 84 mils and the range was 40 to 214.
21 Looking at the banks that are collecting
22 right now and also Dr. Rubenstein's bank, the

1 average collection is in a well-greased
2 banking system between 80 and 90 mils. But
3 you can get units as large as a couple
4 hundred mils when the placenta is big.

5 The average cell dose per kilo and
6 this is nucleated cells dose, and this is the
7 pre-cryout count was 3.6 times ten to the 7th
8 cells per kilo. That's roughly a lot less
9 than the traditional bone marrow transplant.
10 Average CD-34 cell dose per kilo was 7.6
11 times ten to the 5th, and you can see there
12 are wide ranges here. Average CFUGM dose per
13 kilo 1.3 times ten to the 4th. And average
14 CD-3 dose as a measure of T- cell dosing was
15 nine times ten to the 6th cells per kilo. So
16 although that's lower than what one would
17 give with bone marrow, that is above the
18 range where one would be protecting someone
19 from GVHD. That is a range where you would
20 expect to see GVHD.

21 The patients were prepared either
22 with TBI and melflan and ATG which was give

1 day minus three, minus two, and minus one.
2 At Duke TBI cytoxan and ATG at Minnesota if
3 they had a malignant condition and if they
4 were over two years of age. Patients who
5 were under two at Duke got busulfan in place
6 of TBI because of concerns about late
7 toxicity and patients with genetic conditions
8 pretty much got busulfan cytoxan ATG unless
9 they had cardiomyopathy in which case they
10 got -- we did a few busulfan melflan patients
11 just to avoid exposure to cytoxan.

12 I need to stress that this is
13 labor- intensive, non-managed care, friendly
14 transplant.

15 And it costs money. The supportive
16 care is really important. I come from an
17 institution where there was a big adult
18 autologous program that was quote/unquote
19 "outpatient." In fact, the patient got chemo
20 and was discharged on day zero so they could
21 ceremoniously have their transplant in the
22 clinic. But you can't do that with this

1 transplant. These patients need much too
2 much support and they really need a lot of
3 parental therapy for the first month. They
4 all are supported with IVIG which we now
5 treat for low levels ganciclovir
6 pre-transplants if they were CMV positive,
7 acyclovir post-transplant. If they were any
8 herpes viro serology positive the obvious
9 transfusions and IV feeding, low-dose
10 amphoteros and for fungal prophylaxis, nerve
11 stem hepafiltration. At Duke everyone got
12 G-CSF from day zero just to standardize care.
13 And at Minnesota no one got G-CSF and I'll
14 show you some data about that later.

15 And we've now brought about eight
16 patients through supporting them with
17 irradiated G-CSF mobilized granule cites that
18 we harvested from their parents twice a week
19 and divided into three doses each if they
20 came to us infected. And, again, in this
21 skid population that's not an uncommon
22 occurrence and you really can't always clear

1 the infections.

2 We've also now gotten three
3 leukemic patients through with active
4 aspergillus doing this.

5 Just to highlight some differences
6 between Duke and Minnesota. I mentioned that
7 at Duke we standardized a G-CSF approach and
8 that was as much because I didn't trust our
9 group not to use it at some point and we
10 wanted everybody to be as closely matched as
11 we could. At Minnesota they did not use it
12 initially. TBI was always given at Minnesota
13 regardless of the disease or the age of the
14 patient when it was a malignant condition.
15 And at Duke if the patient was under two,
16 they did not get TBI. Of if they had had
17 prior mediastinal radiation they didn't get
18 TBI.

19 At Duke we used high-dose steroids
20 for GVH prophylaxis and at Minnesota they
21 used an intermediate dose. And I'll come
22 back to this, but we both used cyclosporin

1 for nine months post-transplant.

2 At Duke we performed more haplo
3 mismatched grafts than at the Minnesota and
4 also the adult population came from Duke.

5 And again I mentioned that in the
6 leukemic population we used a melphalan-based
7 regimen while Minnesota used the
8 cytoxan-based regimen.

9 Donor selection evolved over time
10 which I think influences some of our results.
11 At the beginning when we started to do this,
12 we looked for the best matching unit. And we
13 knew we weren't going to get full matches,
14 but we still took the closest matching unit
15 regardless of any other considerations. As
16 we went along though, and this was really
17 based on what we knew about bone marrow -- as
18 we went along we started to prioritize
19 allelic matching at DR beta one and we only
20 do serologic matching for A and B at class
21 one.

22 Then as we started to see the data

1 come out, then I'm going to show you we began
2 to prioritize cell dose overmatch. And that
3 means that we'll insist that we reach a
4 minimum cell dose and then look for DR beta
5 one matching and then third look for class
6 one matching. And we will pick a larger four
7 of six over a smaller five of six in order to
8 meet this criteria.

9 We don't look at HLAC for the other
10 DR DPQ proteins or alleles.

11 By those criteria the patients are
12 pretty much a group of five of six or four of
13 six matched grafts. You can see 10 percent
14 or six of six, and that's 6 percent or three
15 of six. And this is, again, serologic typing
16 class I and molecular typing of DR beta one.

17 We all pretty much believed that if
18 we did molecular typing at class I we would
19 have obviously a lot more mismatching.

20 And now I'm going to show you some
21 outcomes. We defined engraftment as the
22 first of three days to reach an ANC of 500.

1 And graft failure as failure to reach an ANC
2 of 500 by day 42. And I already mentioned
3 matching.

4 Engraftment. This looks at
5 neutrophil engraftment; 87 percent of the
6 patients engrafted by day 42 with a median
7 day to ANC of 500 of 25 days. You can see of
8 all engrafting patients which was 93 percent
9 the range was out to 59 days which
10 functionally we think is really too long. We
11 take it if we get it, but that's not really
12 what we're aiming for.

13 When we looked at what impacted
14 engraftment HLA disparity between the donor
15 and recipient did not impact engraftment.
16 These are the -- green is the two antigen and
17 yellow is the one antigen and, of course,
18 those are the biggest groups. But the three
19 and the zero antigen match did not come out
20 as statistically different.

21 G-CSF in this not randomized, not
22 controlled, but just as kind of simultaneous

1 comparison did look like it influenced
2 engraftment and there was a nine-day
3 difference in the median day to ANC of 500
4 between the Duke Group and the Minnesota
5 group. And so without any randomized trial
6 the Minnesota group has switched over to
7 using G in everyone.

8 We were concerned at Duke that if
9 we withheld TBI because of the fact that
10 these were mismatched grafts we might see
11 more graft failure. And this just shows you
12 that we didn't -- this is a univariant
13 analysis and it's a little bit misleading
14 because the children in this group overall
15 are younger, smaller, and got a higher cell
16 dose. But at least we could say that there
17 was no negative effect of not giving TBI to
18 that group.

19 DR. ANDERSON: If you took the
20 pediatric age group out of that and just --
21 what do you see?

22 DR. KURTZBERG: The same thing. We

1 have had -- we've done a number of adults.
2 It's about 11 or 12 true adults over 18 and
3 then another 15 kids who were between 12 and
4 18 with bumel or busi and none of them have
5 had graft failure. So in fact our adult
6 group which is led by Nelson Chow would
7 prefer to leave the TBI out now for other
8 reasons. So we can't see any negative
9 influence of withholding TBI.

10 And in multi-variant analysis the
11 only thing that impacted neutrophil
12 engraftment was cell dose. And now this is
13 shown here measured as CD-34 cell dose. I
14 could show you similar data with mononuclear
15 cell dose or nucleated cell dose or CFUGM
16 dose. And when you do the statistics they
17 all correlate with each other. So people
18 like CD-34 and I made this slide, but it's
19 not the only thing that correlates.

20 A CD-34 dose less than three times
21 ten to the 5th cells per kilo which is a log
22 less than we would give with bone marrow or

1 peripheral blood progenitor cells is
2 associated with delayed engraftment and
3 inferior engraftment over all. And so that
4 now when we select units we are deliberately
5 avoiding getting this low. In the other
6 three groups, looking at three to six, seven
7 to 16 or greater than 16 times ten to 5th per
8 kilo we couldn't really see any difference in
9 engraftment.

10 Likewise for platelet engraftment
11 the group getting less than three times ten
12 to the fifth, 34 per kilo had very inferior
13 platelet engraftment. In fact, only half
14 engrafted platelets at all. So that this is
15 raising a red flag as a surrogate for cell
16 dose of where our limitations with this kind
17 of product may be.

18 Immune reconstitution, I know this
19 is a busy slide, and I'm going to explain
20 more on the next slide, but it just shows you
21 kind of how many time points we have on each
22 patient and where this is just PHA responses

1 of lymphocytes and culture, every three
2 months for the first year post-transplant and
3 then at varying time points after that. And
4 you can see that in the first three months
5 more than half the patients are not having
6 their lymphocytes proliferate and even the
7 ones who do have so few lymphocytes that I
8 don't think it matters. Between three and
9 six months about half the patients start to
10 recover and it isn't until a year that the
11 patients are consistently at normal ranges.

12 Now cyclosporin has stopped around
13 nine months, so that also may influence some
14 of this recovery. If you look at other
15 parameters of immune reconstitution and these
16 reflect studies done in Rebecca Buckley's lab
17 at Duke through the pediatric immunology
18 group, all patients were profoundly
19 lymphopenic meaning lymphocytes counted less
20 than 500 for the first six months and less
21 than 800 until mostly -- almost out to 12
22 months. We can demonstrate normal and K cell

1 function at three months. T-cell
2 proliferation begins to recover at six months
3 and is normal in everyone after 12 months.
4 T-cell proliferation N numbers increase
5 between six and nine months and then settle
6 out to normal ranges after that. And we
7 haven't seen any BV lymphomas in our two
8 groups of patients, although I think there
9 are one or two in the whole series of --
10 collected in about 600 patients now.

11 CD-4 counts recover between nine
12 and 12 months. That means to over 200. And
13 we see a persistence of increased naive
14 T-cells, CD-4 to 5 RA cells even out as long
15 as three years in patients that we've been
16 able to follow that long.

17 Despite that though, there are
18 normal responses to immunizations after one
19 year. And now in 90 kids who are out more
20 than a year we've only had one case of
21 pneumococcal sepsis. Or we have had one case
22 of pneumococcal sepsis reported to us, but

1 the other kids are back to normal performance
2 status, normal activity and not on any kind
3 of prophylaxis.

4 Acute Graft-versus-Host Disease
5 moderate to severe grades two to four
6 occurred in 37 percent of patients and the
7 subset that were three to four is 14 percent.
8 That was not influenced by HLA disparity.
9 And that's also true, this is now a grade
10 three to four subset for HLA disparity. But
11 we couldn't see an effective mismatching on
12 the incidence of acute GVHD.

13 And, in fact, in multi-variant
14 analysis the only thing that did impact on
15 incidence of acute GVHD was CD3 dose. And if
16 it got to be above 1.6 times ten to the --
17 sorry -- 1.6 times ten to the 7th cells per
18 kilo, then there was a statistically
19 significant increase in acute GVHD at the .03
20 level.

21 Interestingly and I think
22 importantly, chronic GVHD has occurred at a

1 very low rate. This is held up. This is a
2 probability of 11 percent overall. None of
3 this has been extensive. It's all been
4 either skin rash or some poor weight gain
5 which corrects with steroids and no one is on
6 long-term immunosuppression for chronic GVHD
7 at this point.

8 Relapse has a probability of
9 occurring in 25 percent of patients and to me
10 given the nature of the high-risk criteria of
11 the patients we transplanted, I think we are
12 seeing a preserved graft versus leukemia
13 effect, but obviously we need to look at that
14 more carefully. We do have one patient now
15 who had a three of six antigen matching graft
16 for very refractory CD7 positive immature
17 leukemia who when it was in relapse at the
18 time of transplant went into remission post-
19 transplant. Relapse six months
20 post-transplant was taken off
21 immunosuppression and is now a 100 percent
22 donor again in the marrow and the blood and

1 has no clinical evidence of leukemia. So
2 again, that suggests to me and that's a
3 highly mismatched graft, but at least in that
4 setting we did document a GVL effect.

5 Interestingly and I don't know how
6 to explain this, the patients getting G-CSF
7 have a lower probability of relapse than
8 those not getting G-CSF. Now, you could
9 argue well, remember I said, Duke gets G and
10 Minnesota doesn't. Duke gets melphalan and
11 Minnesota doesn't. But we went back and did
12 those analyses and there was no effect of
13 melphalan in this.

14 Minnesota went back and did a small
15 series of patients where they randomized
16 between G and no G and also saw the same
17 result where the G patients were relapsing at
18 a lower frequency than the non-G patients.
19 But I don't know how to explain this. G
20 means that they continued out to 100 days on
21 G-CSF support and their white count was
22 maintained at around 20,000.

1 The overall event free survival of
2 the whole group is 44 percent at two years.
3 Things that did or did not impact survival I
4 will go over now. These are uni-varied
5 analyses and I'll show you multi-varied at
6 the end.

7 HLA did not appear to impact
8 survival. The green is the two antigen
9 mismatches. The yellow is the one, the three
10 and the zero on the bottom, again these are
11 smaller groups and we may not have enough
12 patients to reach statistical power for these
13 two groups, but this is the data that we have
14 so far.

15 If you looked at whether a single
16 class one antigen mismatch versus a single
17 class two antigen mismatched impact survival
18 the answer was no. And if we looked at
19 whether in the two antigen mismatched
20 patients whether the mismatch was at two
21 class one or one class one and one class two
22 impacted survival the answer was also no.

1 And the survival is the same in the group of
2 three antigen mismatched patients as it is in
3 the zero.

4 I think you need to think about it
5 for a minute though and realize that these
6 are not haplo in the sense of Jean's haplos.
7 These are people where we can pick and choose
8 which antigen we match and mismatch and so we
9 can have one class -- you know, two B-loci
10 mismatches and complete matching at ANDR.
11 Vice versa we can have molecular mismatching
12 at DR, but serologic mismatching at class
13 one, it's not going in pairs of ABDR like you
14 would if you were in the matched or related
15 setting.

16 Diagnosis in uni-varied analysis
17 did impact survival. The kids with
18 non-malignant conditions had an improved
19 survival over those with malignant
20 conditions.

21 And age impacted survival so that
22 the group under two has about an 80 percent

1 event-free survival and the older groups are
2 down around 40 percent. There is not a
3 difference in the greater than 18 and 2- to
4 17-year-old group in our hands. The kids
5 under one have a 90 percent event-free
6 survival.

7 But the thing in multi-variant
8 analysis that impacted survival was again
9 cell dose here shown as CD- 34 and there was
10 an 80 percent non-relapse mortality in the
11 group getting less than three times ten to
12 the fifth per kilo.

13 If you want to look at total
14 nucleated cells this translated into 1.5
15 times ten to the seventh total nucleated
16 cells per kilo.

17 The early non-relapse mortality
18 seems to be related to cell dose. And in
19 that group infections were the major reason
20 for failure. And it wasn't one kind of
21 infection. We had a number of patients,
22 particularly on the adult side die of grand

1 negative sepsis. Some patients die of either
2 adenovirus or CMV although the CMV deaths are
3 at about 2 percent and the incidence of CMV
4 disease is 8 percent overall.

5 And then some patients dying of
6 fungal infections and these are all patients
7 who came with a history of fungal infections
8 in their past life, leukemic life. Why might
9 that be? One is -- these are just theories.
10 One possibility is that we're recapitulating
11 neonatal neutrophil maturation. We know that
12 neonatal neutrophils are not as efficient at
13 killing as adult neutrophils. "Adult"
14 meaning taking an older baby. And it's
15 possible that we're seeing that process again
16 and that could be overcome by cytokine or
17 maybe by ex vivo expansion.

18 I'm sure there's delayed immune
19 reconstitution secondary to the HLA
20 mismatching, but I'm encouraged by the fact
21 that after a year there really appears to be
22 full reconstitution. And it's a question of

1 supporting the patients through that early
2 transplant period so that they can get to
3 that point. And that may also relate to
4 transplantation of more naive T-cells.

5 Another thing just looking back at
6 our own practice was that we found that
7 comparing the patients who got intermediate
8 or high-dose methylpred with cyclosporin for
9 GVH prophylaxis, there was no difference in
10 the incidence or severity of acute GVHD. But
11 when we looked at the incidence of infection
12 or non-relapse mortality, the group getting
13 the higher dose steroids had twice the
14 non-relapse mortality as the group getting
15 the lower dose steroids. And we now have cut
16 back to the lower dose steroids because we
17 don't need to push this to have an impact on
18 GVHD.

19 Another approach we're taking is ex
20 vivo expansion and we have just finished a
21 trial of 28 patients getting ex vivo expanded
22 cells as a supplement on day 12

1 post-transplant. And one of the obstacles to
2 this study was that all the units that we had
3 were frozen in one bag. And so we couldn't
4 compartmentalize or do any expansion
5 pre-transplant. And so we took the unit on
6 day zero and actually divided the patients
7 into two subgroups of the fixed dose of
8 unmanipulated cells that they received
9 expanded whatever was remaining in conditions
10 that were really derived for bone marrow, but
11 included three ligand pixie and epo.

12 The expansion was a 12-day process
13 and on the 12th day the cells were harvested
14 and then infused without any other
15 preparation. We didn't change anything else
16 about the kind of care the patients were
17 receiving.

18 This just shows you the lab data
19 about what expanded under these conditions
20 total cell count expanded about two and a
21 half to threefold. CFUGM expanded on average
22 150 fold. CD34 -- negative cells did not

1 expand at all, 34-38 positive cells did
2 expand, but those were really just maturing
3 myeloid precursors.

4 And this does really represent a
5 form of T- cell depletion because the T-cells
6 go away under these conditions. There's
7 hydrocortisone in the media and so that you
8 take away part of the dose you would have
9 given in the unmanipulated graft.

10 We are also looking in the
11 laboratory at other factors that can enhance
12 expansion and this just shows you that if we
13 take placenta and we expose the cells -- this
14 is a control in blue, and then placenta in
15 the well in pink, we can get more expansion
16 with placenta and we are considering using
17 irradiated placenta from the actual cord
18 blood donor as a possible source of cytokine
19 expansion. We can get increased expansion
20 with stem cell factor as well, but that
21 requires corporate cooperation which is a
22 bigger obstacle right now than some of the

1 scientific ones.

2 This shows you 100-day survival in
3 the group getting expanded cells compared to
4 two groups getting those lower cell doses of
5 unexpanded cells, but no boost. And these
6 are historical controls.

7 The reason I'm showing you survival
8 is because we don't have any impact at all on
9 engraftment. The data on engraftment is the
10 same for platelets and neutrophils and the
11 proportion of patients engrafting is exactly
12 the same. But the 100-day event-free
13 survival looks different. And this is a nice
14 picture, but I can also show you that if you
15 look at just -- at cord blood transplant
16 survival over the past four years, you can
17 see that we've been increasing our success, I
18 think, because we've learned how to do this
19 better or we're selecting units differently.
20 We have changed our GVH prophylaxis et
21 cetera. So when the issue of controls comes
22 up, this is really important because you know

1 the company was really happy with the first
2 graft, and I'm not unhappy with it. But I
3 can be sure that it was because of ex vivo
4 expansion or it was just because we're doing
5 a lot of things hopefully better as we go
6 along.

7 So in summary what do we know about
8 cord blood transplant right now? We know
9 that it increases donor availability, and at
10 the 4 of 6 level we can find donors for 86
11 percent of the patients who come to us who
12 haven't found traditional donors in other
13 settings taking away the haplorelated donor.

14 We know that we see less acute and
15 chronic GVHD than we would expect with mature
16 adult cells that were better matched from
17 either bone marrow or stem cells and we
18 believe that the GVL effect is preserved.
19 The obstacles we're still seeing are that
20 there's delayed engraftment and if nothing
21 else it makes it more expensive which makes
22 the whole procedure more challenging in the

1 current reimbursement environment.

2 There's delayed immune
3 reconstitution although it does occur and
4 that leads to increased morbidity and
5 mortality from early infections.

6 I want to end by just mentioning a
7 couple of things. This little boy has
8 thalassemia major and had a haplorelated cord
9 blood from his sister's cord blood 100 days
10 before this picture was taken. And he's two
11 years out now fully engrafted with donor
12 cells. And we've done four other children
13 this way. And I think the haplorelated cord
14 blood setting may turn out to be valuable for
15 kids with hemoglobinopathy, sickle cell --
16 and some of the other rare genetic diseases.
17 And that may be the one place where family
18 banking or directed donor banking makes
19 sense.

20 And also just to say what's the
21 state of the art in a pediatric transplant
22 unit that does -- you know, we do about 90

1 transplants a year. I'll illustrate this
2 kindred of kids from Alabama who all have
3 fanconi. They're all cousins and they have
4 many relatives who are inbred. This little
5 boy is two years out from matched sibling
6 transplant. He's one year out from a matched
7 transplant from his HLA identical mom. She's
8 three and a half years out from a three of
9 six unrelated cord blood transplant and she
10 is a few months out from a five of six
11 related cord blood transplant from her aunt.
12 And she had some acute GVH and that's why
13 she's cushingoid, but she's six months past
14 this picture and doing well.

15 And I guess my point here is that I
16 don't think there's one kind of transplant
17 that we ought to be doing. I think there are
18 going to be settings where we will use a
19 haplorelative and there will be other
20 settings where we need to move faster and we
21 might use cord blood. There are a lot of
22 unanswered questions and I think we need to

1 collect the data in a way that we can
2 interpret it and then make the best
3 decisions. And I'll stop there and see if
4 people have questions.

5 DR. VOSE: Thank you, any questions
6 or comments for Dr. Kurtzberg? Please, can
7 you also identify yourself.

8 MS. RIM: Ilana Rim from Genetics
9 Institute. I wanted to ask you a question
10 related to both your presentation and Dr.
11 Henslee-Downey's which was clear from the
12 confluence of them which is that your data
13 showed a lot of variation with relapse on
14 G-CSF and your showed it with gamma delta
15 cells and I wondered if either of you had
16 data on the opposite experiment. Whether you
17 had looked at gamma delta cells and whether
18 you had looked at time of engraftment.

19 DR. HENSLEE-DOWNEY: I haven't, no.

20 DR. KURTZBERG: We have the data,
21 but I don't have it analyzed. We could look
22 at it.

1 MS. RIM: I just wonder if it's a
2 marker of the same event of early engraftment
3 that is relevant for relapse?

4 DR. KURTZBERG: I will say though
5 that in our own experience and I didn't have
6 time to make slides, we've done 47 related
7 haplo transplants, 17 of which were five of
8 six and the others were four of six and three
9 of six mismatches. And our event-free
10 survival in that group for all is 43 percent.
11 If you subset it out to the patients who were
12 T-cell depleted and who were not T-cell
13 depleted, the T-cell depleted group has a
14 survival of 35 percent and the not T-cell
15 depleted group has a survival of 53 percent.
16 But if you take out the SBA or the soybean
17 lectin in ER method of T- cell depletion and
18 just look at the T10B9 or we used a chemical
19 purge with the -- formycin which is a lesser
20 purge in terms of logs of T-cell removal.
21 Those groups have 53 percent event-free
22 survival and are the same as the non-T

1 depletive five of six antigen matched group.

2 And the only reason I share that is
3 because it's one center that's doing two
4 different kinds of alternative donors and
5 realizing pretty much similar results.

6 DR. O'FALLEN: You described a very
7 complicated consenting process, but I don't
8 think you told us what percent of the mothers
9 actually consent.

10 DR. KURTZBERG: It's about 95. But
11 I think I'm in a unique setting. Not unique,
12 but it's different than being in the middle
13 of New York City which is kind of what I'm
14 comparing it to because of Pablo's
15 experience. And we have a community that
16 gets fairly consistent prenatal care and is
17 very interested in participating in these
18 kinds of studies. And so our biggest refusal
19 was one intensive care nursery nurse who
20 decided that she didn't -- you know, she was
21 our only obvious refusal in over -- I think
22 we've consented about 700 women right now.

1 DR. PAPADOPOULOS: Joanne, could
2 you please clarify your immuno constitution
3 data? Do you have a difference in the adults
4 versus the children?

5 DR. KURTZBERG: I don't think so.
6 And I'm being hesitant because I have more of
7 the data on the kids. The data I've seen on
8 the adults is not different, but they haven't
9 been as good about getting some of the time
10 points. But, no, the adults are lymphopenic
11 and, you know, they have all the
12 abnormalities that the kids have for the same
13 time period and seem --

14 DR. PAPADOPOULOS: But do they
15 recover at a later time point than the
16 children?

17 DR. KURTZBERG: I don't think so.
18 But I can't be as clear about the group that
19 the adults in my group transplanted. We've
20 done some adults ourselves, and those adults
21 I know exactly how they're doing and they're
22 not different than the kids. But there's may

1 15 more that I have incomplete data on.

2 There is, though -- there are at
3 least three adults who had later bacterial
4 sepsis than we've ever seen in the kids and
5 this was between nine and 12 months
6 post-transplant. And we haven't seen that in
7 the kids. So there may be something
8 different in the adults that I can't
9 quantitate for you now.

10 DR. VOSE: I think that does bring
11 up an important point that we need to think
12 when we're talking about study design is that
13 you can't directly compare pediatric
14 populations and adult populations, they may
15 need to have different study designs. So I
16 think that's important when we think about
17 that later.

18 Any other questions or comments?

19 Why don't we try again, Dr.

20 O'Reilly?

21 DR. O'REILLY: I'm hoping that the
22 slides get through. What I would like to do

1 is then discuss haplotype disparate grafts.
2 I think it's important for the committee to
3 recognize one thing that is different about
4 this approach to T-cell depletion and that is
5 that the studies I'm talking about at the
6 present time utilize T-cell depletion alone.

7 There is no post-transplant
8 prophylaxis against Graft-versus-Host Disease
9 administered in any of these patients. It's
10 a critical variable in terms of this. So
11 this is what T-cell depletion can do on its
12 own. And this is particularly germane to the
13 study of the kids with severe combined immune
14 deficiency.

15 So I mentioned the fact that the --
16 as you see in this particular group over 100
17 of these 118 individuals are in fact in the
18 context of two or three antigen disparate
19 donor recipient pairing with transplants from
20 the parent to a child.

21 Now, this is a summary of these
22 results and I'm going to go over this slide

1 relatively carefully, but I have a lot of
2 background data vis-a-vis immune
3 reconstitution should the committee want it.

4 Of that total patients there are
5 118 patients of whom five died early of
6 intercurrent infections and anti-data to the
7 transplant. And remember this is a
8 consecutive series since the initial
9 transplant was done in 1980. So it's every
10 child with severe combined immune deficiency
11 who has received a haplotype disparate graft
12 at these two institutions.

13 There are 96 of these patients who
14 achieved durable engraftment. The issue of
15 engraftment not being achieved was
16 principally a focus or an issue that we
17 encountered early on when we thought that
18 children with severe combined immune
19 deficiency that is these are children who do
20 not have functional T-cells or B- cells would
21 not be capable of resisting a graft. And
22 I'll talk about that in a bit. But there are

1 17 who failed to achieve engraftment. Yet of
2 these individuals who did engraft 72 achieved
3 full reconstitution of T-cell function, 20
4 were partial at the time we analyzed these
5 and there are 10 patients who are still early
6 in recovery of immunologic function of the
7 T-cells. The important point is that of the
8 42 patients who achieved engraftment of donor
9 B cells 35 of the 42 are full functional
10 reconstitutions in terms of B cells, in terms
11 of production of antibodies in all classes of
12 immunoglobulins.

13 In contrast, if we failed to
14 engraft donor B cells only four of 64
15 patients have achieved durable engraftment.

16 Now, remember a large proportion of
17 this population are recipients of severe --
18 of these T- depleted transplants without any
19 sign of reduction whatsoever. Okay.

20 So there is no sign of reductions.
21 In the absence of sign of reductions
22 routinely we will engraft T-cells of donor

1 origin and have full immunologic recovery of
2 the T-cell function, but we will not engraft
3 donor B cells. If on the other hand you
4 myeloblate this individuals with even low
5 doses of busulfan and cyclophosphamide you
6 consistently engraft the T-cells and the B
7 cells of donor you will have either a split
8 chimeric state in terms of the B cells or
9 full donor chimerism and in all instances we
10 see evidence of immunologic recovery.

11 The other important point to be
12 raised is that among these 118 patients and
13 the 96 durably engrafted there are only seven
14 patients developed any evidence of
15 Graft-verus-Host Disease and as you see this
16 included six patients with grade two disease.
17 This is in the absence of any
18 Graft-verus-Host Disease prophylaxis. Each
19 of these instances resolved with therapy.

20 Of the patients who died and there
21 were 40, the causes of death were principally
22 infection. Most of these antecedent to the

1 transplant. There were two patients who died
2 of Graft-verus-Host Disease that had maternal
3 fetal Graft-verus-Host Disease at the time
4 they were initially admitted. That is, they
5 received an inter-uterine infusion of cells
6 they came in chimeric with maternal cells
7 with overt Graft-verus- Host Disease and went
8 on to die of that complication. But the
9 overall long-term disease-free survival, the
10 78 out of 118 patients or 66 percent.

11 If you now ask the question how
12 does a T-cell depletion depleted graft
13 actually grow up? This is a transplant that
14 is conferring to these individuals doses of
15 T-cells ranging between two and eight times
16 ten to the fourth T-cells per kilogram body
17 weight. What we observed in these patients
18 long-term is that these populations of
19 T-cells that grow up within the child
20 actually grow from early progenitors. We
21 have had autopsies on those patients who have
22 died and can demonstrate that the thymus

1 wasn't brinell is now basically filled in
2 with T-cells. These are of donor type
3 T-cells and you will see development of
4 hassles corpuscles and you can also
5 demonstrate as shown here in the study that
6 was done by Neal Phomenberg some time ago if
7 you compare the response of the mother who is
8 haplotype disparate with the child, if this
9 mother is challenged with paternal cells and
10 this is the sensitizing determinants, you can
11 see that mom's T- cells are clearly capable
12 of killing the father at 68 percent
13 cytotoxicity and killing the cells of the
14 child. This is a B cell line at 69 percent
15 cytotoxicity and also kills the DR-3
16 homozygous population that bears the DR-3
17 conferred by the father at 69 percent.

18 If you now look at this patient,
19 this patient is now -- at this time he was
20 about 18 months post- transplant, was full
21 engrafted. All of his T-cells were of
22 maternal origin and these maternal T-cells

1 are now challenged with the father in vitro
2 and they are asked what did they do. And
3 they can still kill the father cells by
4 virtue of the unshared haplotype but they
5 have no reactivity whatsoever against the
6 patient or against the homozygous line.

7 When we have done limiting dilution
8 analyses or when we have done mixing
9 experiments to determine whether this is
10 based on the suppressor cell, what we can say
11 is that there is no effect of the mixing of
12 the patients. That is maternal T-cells with
13 the mom's own cells. These engrafted
14 populations exert no inhibitory effect on the
15 cytotoxicity of the mother's cells either in
16 the time of sensitization or in the time of
17 their effector function.

18 Thus, from what we are able to see
19 in these patients who received these lectin
20 separated T-depleted grafts, the primary
21 basis of the tolerance observed is actually a
22 deletion of T-cells capable of reacting

1 against host. And in further studies that
2 we've now done looking at viruses -- and I
3 don't have time to show this -- we can in
4 fact show that the T-cells that emerge that
5 are of donor type have the capacity to engage
6 virus infected cells in the context of the
7 HLA that is unique to the host. So they
8 learn and they are capable of recognizing
9 influenza, epstein bar virus, and other
10 antigens in the context of both helper-based
11 T-cell responses documented by proliferation
12 as well as cytotoxic responses looking at
13 CD-8 reacted populations of cells.

14 The long-term disease-free survival
15 for this particular group on all patients is
16 64 percent, but I think it's important also
17 to recognize the fact that this reflects in
18 part the early series from 1980 to
19 approximately 1986 when we were doing a
20 prospective study to try and analyze which
21 patients would or would not engraft. And
22 suffice it to say that two coeruleus were

1 associated with non-engraftment of these T-
2 depleted transplants. One was the presence
3 of AD-8 deficiency which precluded
4 engraftment in a significant proportion. But
5 the major correlate in fact was the presence
6 of natural killer cells. Almost all of these
7 patients who were NK deficient engrafted.
8 The only ones who engrafted who still had NK
9 activity are intriguingly individuals who
10 presented with disseminated BCG ossis.

11 And certain members of this team
12 will be smiling because in fact when
13 Gusipovich initially described the phenomena
14 of what is called F-1 hybrid resistance which
15 has been shown to be an NK mediated
16 resistance against hematopoietic cells, the
17 one way that you could overcome that
18 resistance was to give BCG to the mouse or
19 induce, for example, an RE blockade with
20 carageen in it. So it is an intriguing
21 reiteration of history that in fact in the
22 human condition this appears to be the case

1 as well.

2 If we now look at the patients who
3 come to us early in life that is before they
4 really obtain severe infections like GGVS or
5 CMD, the long-term survival since the start
6 of the group is 81 percent and the long-term
7 disease-free survival in patients who are
8 older is about 56 percent reflecting, in
9 fact, the problems with infections prior to
10 the time they come to our shop.

11 If we look at the different types
12 of severe combined immune deficiency the vast
13 majority of X- linked disease have not
14 required cytoreduction before the transplant
15 and 90 percent of them are long-term
16 survivors. Autosomal recessive now is 78
17 percent of these and these have now been
18 looked at specifically at a genetic level.
19 The ADA deficient are now approaching about
20 60 percent and I think that result is a very,
21 very positive one as well.

22 But the most important thing yet --

1 DR. ANDERSON: Have you ever
2 determined after this 15, 20 years why ADA --
3 is so much different from other --

4 DR. O'REILLY: The basic data that
5 we think is going on is basically what
6 happens is the same thing that happens when
7 you give peg ADA. That is if you give an ADA
8 positive graft that can confer enzymatic
9 capacities on the host which would allow that
10 individual to generate some T-cells that may
11 reject the graft. And we think that that's
12 the strongest feature of it. However, it
13 should also be noted that every one of the
14 ADA deficient has been strongly NK positive.
15 Every single one of them.

16 Now, the other important point is
17 that time also helps and once we actually
18 became clear on what were the correlates of
19 resistance, that is, patients with NK
20 positive forms of SCID or kids with ADA
21 deficient SCID would then automatically go to
22 busulfan and cyclophosphide for the primary

1 graft. Whereas those who were NK negative
2 and ADA normal could go to graft without
3 cytoreduction. And as you can see here,
4 since 1986 through to the present, 39
5 patients in our series, 82 percent of those
6 patients are long-term survivors.

7 Now, the critical point to be
8 raised here, and this is -- it could be
9 particularly nice if we had a group of them
10 here since many of these kids are now in
11 college. The key point is that they are
12 immunologically intact in terms of their
13 T-cell function. If they have not engrafted
14 with B-cells, their B-cell function has been
15 supplemented by immunoglobulin. But
16 increasingly what we are now doing is looking
17 to secondary grafts to in fact induce the B-
18 cell function as well. And this may require
19 immunoglacial, or transoimmunoglacial with
20 fluderapine in the secondary T-depleted graft
21 from the same donor. But the vast majority
22 of these patients they are basically living

1 normal lives at home at the present time.

2 So these results basically showed
3 that one could engraft durably reconstitute
4 T-cell immunity of the key point is that the
5 donor T-cells recognize antigens from the
6 context of host-unique HLA determinants. The
7 engraftment gives rise to consistent
8 reconstitution of B-cell immunity if you
9 engraft donor B cells.

10 The critical point is that the
11 incidence of Graft-versus-Host Disease has
12 been extremely low in the absence of any
13 other drug prophylaxis and the high
14 proportion of long-term disease-free
15 survivors that we're recording here has also
16 been iterated, for example at Duke, at LA
17 Children's at San Francisco, at several
18 centers throughout Europe, Australia, China,
19 and Japan. In ever instance in which they
20 have used this approach to T-cell depletion
21 without any prophylaxis the same results has
22 been observed.

1 Now, when we've looked at this in
2 the context of patients with leukemia the key
3 point is, can you overcome Graft-versus-Host
4 Disease? And this slide is looking at a
5 large series of individuals and they
6 principally are looking at individuals who
7 got no prophylaxis against rejection either
8 pre- or post- transplant, because one of the
9 key points that we have used to actually
10 ensure engraftment was the introduction of
11 antithymocyte globulin back in the early 80s.
12 And this is the important point because these
13 patients again received nothing except a
14 lectin separated graft.

15 And as you can see among these
16 individuals who engrafted 121 patients had no
17 TVH, five had grade one, and five had grade
18 two. The overall incidence of grade two
19 Graft-versus-Host Disease then was 3.8
20 percent. This is a group of individuals
21 ranging in the age from 18 to 53. The median
22 age of this group of patients is 40. And

1 there is no grade three and there is no grade
2 four Graft-verus-Host Disease in this
3 particular grouping.

4 Looking at overall prophylaxis
5 either giving ATG pre or post, again, the
6 same features will out. Thus, again, T-cell
7 depletion alone can in fact obviate the
8 problem of Graft-verus-Host reactions.

9 Now, when we initially tried these
10 studies in the early 80s we also were
11 interested in the unrelated and clearly what
12 we were also able to show in this
13 circumstance, again, was that the incidence
14 of Graft- verus-Host Disease is low. If
15 there's no genetic disparity documented
16 between the donor recipient and the unrelated
17 circumstance that you can see is a 7 percent
18 grade two to four. The only incidence where
19 we have had a significant incidence has been
20 in the context of a major class two disparity
21 where it's about 25 percent of the patients
22 have developed some evidence of

1 Graft-verus-Host Disease.

2 This is specifically an update on
3 the early Kernan experience because I now --
4 and I'd be happy to give this to you, but
5 this is looking at 168 prospectively
6 evaluated HLA matched recipients of lectin
7 separated marrow transplants, again,
8 administered without prophylaxis. And what
9 we have looked here at is the number of
10 T-cells demonstrated by limiting dilution
11 analysis. And what you can see is that the
12 vast majority of these individuals received
13 doses ranging between approximately ten to
14 the fourth, and up to about eight times ten
15 to the fourth T-cells.

16 These are the patients who
17 developed any evidence of GVH. There are two
18 patients who developed grade two GVH and the
19 rest of these patients had grade one
20 Graft-verus-Host Disease. Thus, when we
21 initially published that, in fact, ten to the
22 fifth clonable T- cells per kilogram body

1 weight was an indicator of, as it were,
2 threshold dosing for Graft-verus-Host
3 Disease. The fact is that that is held over
4 time with the context of HLA-matched sibling.
5 It still holds as a very clear indicator of
6 the risks in terms of quantification.

7 Over here what we have is over 100
8 individuals who have received unrelated
9 marrow transplants studied by exactly the
10 same type of analysis. And, again, as would
11 be expected the levels of T-cells
12 administered are pretty much the same. But
13 what you observe in this circumstance and
14 this likely reflect that there are subtle
15 molecular disparities between donor
16 recipient. You can see in a fraction of
17 these individuals grade one, or as you see in
18 black, grade two Graft-verus-Host Disease
19 even at doses down to ten to the fourth
20 T-cells per kilogram.

21 Most groups now as they're using
22 this technique in mismatched marrow

1 transplants are attempting to give less than
2 five times ten to the fourth T-cells and in
3 general the issue of Graft-verus- Host
4 Disease has been relatively limited. And,
5 again, as I note here, this is grade two
6 Graft-verus-Host Disease in this series.

7 DR. MILLER: Rick?

8 DR. O'REILLY: Yeah.

9 DR. MILLER: So far in your
10 leukemia studies are you showing us
11 matched-sibling donor data for the relateds
12 or are they a mixture of haplos and matched
13 siblings?

14 DR. O'REILLY: No, right now what I
15 want to just be sure of is that I get the
16 biology of the circumstance. This is looking
17 at now -- these are related HLA-matched donor
18 recipients or related -- or unrelated donor
19 or recipients who are up to one antigen
20 disparity between donor recipient.

21 This is the other key that is
22 important and that is in the context of these

1 patients, a chronic Graft-versus-Host Disease
2 has also been low. It's .8 percent in
3 recipients of matched-related grafts and as
4 you can see 9 percent among the unrelated
5 grafts that we have looked at. That is again
6 in the absence of drug prophylaxis. So
7 clearly T cell depletion can prevent both
8 acute and chronic graft versus host
9 reactions.

10 When we initially applied this in
11 the early 80s we expected to see significant
12 benefits. But actually in this prospective
13 analysis we curtailed it because of the fact,
14 in fact, there was no difference in
15 T-depleted and unmodified.

16 The advantages in terms of getting
17 rid of graft versus host disease were
18 countermanded by a very significant incidence
19 of graft failure. But it's an important
20 point for this particular group to understand
21 that the issue of graft failure is now
22 something of an historical issue rather than

1 something that is present. This was a major
2 problem before and is becoming less of a
3 problem as we go along.

4 We did several studies and I can
5 show this, but basically in HLA disparate
6 grafts the predominant populations that we
7 observed emerging at the time of graft
8 rejection early after transplant were
9 host-type CD-8 positive T cells that would
10 exhibit selected reactivity usually directed
11 against a single HLA class I determinant,
12 usually HLA B. And the discriminatory
13 capacity of these cells in an unrelated
14 circumstance could be such as to discriminate
15 molecular microvariants of HLA B or HLA A
16 that are single amino acid different. Okay.
17 So that discriminatory power is very clear.

18 We have found in rare instances the
19 emergence of CD-4 cells that are selected for
20 class two. In the matched circumstance they
21 are invariably CD-8, they are HLA class one
22 restricted populations of cells and the

1 actual determinants that are expressed on the
2 surface of the donor marrow cells that give
3 rise to the emergence of these cells inducing
4 rejection is still not very clear. Although
5 at least in one instance HY has been
6 implicated.

7 And this just shows you how we
8 would do these types of analysis. We would
9 take blood from the peripheral blood of the
10 individual and basically look at these
11 populations of T cells. And in this
12 circumstance we have a donor who is unique
13 determinants are A3, B7 and DR2 and what we do
14 is to test these against either the donor
15 populations which you can see can be killed
16 by these host T cells in the circulation and
17 then test them against a series of homozygous
18 cells that share one or another of the
19 alleles with the donor. And in this
20 particular instance we had a CD-8 population
21 of T cells of host origin that were
22 selectively reactive against cells that bore

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 the B7. They were reactive against the B7
2 determinant unique to the donor.

3 Now, recognizing the T cells were a
4 critical variable and this was basically
5 where we were in around 1987-88 we basically
6 then went on to try to develop approaches
7 which would allow us to overcome this. And
8 we explored initially more intensive
9 preparatory cyto reduction but mostly the
10 depletion of residual host T cells with
11 antithymocyte globulin. And in fact the
12 protocols in this series of protocols with
13 rigid stopping rules we determined that if we
14 gave ATG between day five and day 19
15 post-grafting we could, in fact, obviate the
16 problem of immune rejection. And the
17 subsequent alteration was to administer
18 thiotepa in a an attempt to ensure a better
19 overall engraftment.

20 And this shows you then the risk of
21 relapse in -- I'm sorry of graft failure in
22 these individuals. We did not see graft

1 failures in the matched-siblings in the
2 context of younger kids, but only in the
3 adult population. But in this population, as
4 you can see, with the TBI -- alone without
5 ATG it was up to 23 percent in the patients
6 at risk. And as you can see, when we added
7 the ATG in the early post-grafting period we
8 eliminated graft failures in this period
9 between day 12 and day 25 to 50. And what we
10 found in this circumstance is that we had
11 eliminated immune rejection. We no longer
12 saw the redevelopment of host T cells
13 reactive against donor.

14 In those patients who did have
15 graft failure, what we found was that they
16 remained full lymphoid chimeras of donor
17 type, but that they had lost their
18 hemapoietic grafts.

19 In contrast, however, when we added
20 thiotepa that basically has eliminated the
21 issue of graft rejection to a very, very low
22 level. And this actually can be updated now

1 to be even lower than that. So thus what we
2 have seen is by giving this combination of
3 thiotepa coupled with ATG, we have been able
4 to overcome the immune rejection, principally
5 with ATG and with thiotepa then the incidence
6 of late graft failure post transplant.

7 The end result of that has been
8 very salutary. And this is a slide from a
9 study that was published recently by Essie
10 Papadopoulos and Jim Young in blood and she
11 can detail it, but what we have found is now
12 in this group of adults who were transplanted
13 for AML in first remission within three
14 months of achieving a first remission that
15 the long-term disease-free survival for this
16 group is 78 percent despite the fact that
17 median age for this group is 40.

18 Now, thus in these particular adult
19 crowd of individuals all of who engrafted and
20 none of whom developed grade two
21 Graft-versus-Host Disease the salutary effects
22 of the T-depleted graft can be seen. And as

1 you can see, the incidence of relapse remains
2 entirely low. That's another important point
3 because what we have found, and this is
4 looking at the long- term risk of relapse in
5 patients with ALL or AML in first or second
6 remission. But since we started this whole
7 program irrespective of the protocol used,
8 this is a cumulative incidence of relapse in
9 this group of individuals which is 25 percent
10 and is not different from what one would see
11 with a conventional marrow transplant. Thus,
12 in the acute leukemias T depletion has not
13 been associated with an increment in relapse.

14 In contrast, however, CML that has
15 been a really striking problem. However,
16 there have been these recent studies
17 initiated by coal which have shown that in
18 fact one can administer T cells late in the
19 post-grafting period and can induce
20 remissions. And, in fact, in a dose
21 escalation study we've actually been able to
22 show that for patients recurring with

1 molecular cytogenetic evidence of disease
2 doses of ten to the seventh or less T cells
3 will be able to induce them into durable
4 molecular emissions without graft versus host
5 reactions.

6 One of the striking things that at
7 least holds true in the matched siblings but
8 we cannot say in the mismatched circumstance
9 is that there is this striking alteration in
10 terms of the risk of Graft- versus-Host
11 Disease per dose of T cells administered by
12 the time post grafting. And as you can see
13 here, when we have administered T cells as
14 treatment for example for EBV lymphomas
15 between zero and six months does of ten to
16 the fifth -- to five times ten to the sixth
17 have been associated with a significant
18 incidence of GVH. Whereas if you give those
19 better than two years out, as you can see,
20 none of the 12 patients treated have
21 developed GVH at all.

22 Thus, there is a dose associated

1 and a time associated risk of
2 Graft-verus-Host Disease which we believe
3 likely reflects the capacity of the host or
4 the residual host antigen presenting cells to
5 present to the donor cells a suitable target
6 for the initiation of a Graft-verus-Host
7 response. And as a result of that, if you
8 now combine the T-depleted graft and then
9 give DLI for evidence of molecular
10 cytogenetic or clinical relapse the long-term
11 disease-free survival for these patients
12 transplanted within the first year of
13 diagnosis is almost 71 percent and for those
14 greater -- is still 47 percent. Thus, these
15 results are very, very similar to what are
16 basically considered to be the best around in
17 the context of a conventional graft, except
18 there's no GVH.

19 Now, when we tried to do this in
20 mismatched circumstances this is a long and I
21 would have to say sort of like on all-souls
22 day you get down on your knee and you do your

1 litany of the saints.

2 And what we tried to do then was to
3 go through this whole thing, and
4 unfortunately it was incredibly difficult.
5 We set up an approach which basically was as
6 follows. We would change one -- make one
7 alteration in the cytoreductive regimen. We
8 would have a strict stopping rule, because we
9 did not want more than two to three
10 rejections per group. When we used the
11 amount of rejection that you would anticipate
12 seeing for a given level of genetic disparity
13 if the graft was unmodified. And based on
14 that type of a ruling, we were able to do in
15 very small groups of patients 25 at most a
16 series of studies that were stepwise. And,
17 in fact, as you'll see in many of these they
18 were even smaller because the limitation in
19 terms of graft failure was really quite
20 significant. And yet, again, when we added
21 antithymocyte globulin again the incidence of
22 graft failure was significantly lower in this

1 particular realm. And when we got down here
2 into using either ara-C or thiotepa, the
3 incidence of graft failure really would not
4 be much different from what would be observed
5 with an unmodified graft.

6 Now, this is the last study that is
7 the current one and I'll talk about and that
8 is based on studies that were done by Frank
9 Aversa who worked with us to learn the lectin
10 separated technique and then went one huge
11 jump further. That is, he used the studies
12 of Yier Reisner demonstrating that in fact
13 you could enhance engraftment in
14 histo-incompatible mice by giving a higher
15 dose of cells. And so what they have used is
16 CD34 positive E-negative peripheral blood
17 stem cells. And in our series now there are
18 22 patients that I'll talk about.

19 The critical point also to be
20 raised though here is with the
21 lectin-separated marrow, among those who
22 achieve durable engraftment as you can see

1 the incidence of grade three Graft-verus-Host
2 Disease is minimal in all of the series.
3 Unfortunately, when we have used the CD34
4 positive selection by separate followed by
5 E-negative we have a very appreciable
6 incidence of Graft-verus-Host Disease that we
7 had not anticipated. Our approach though is
8 different from the aversa approach in that
9 the E-step or the Rosetting step is done
10 after the CB-34 selection, whereas he is
11 doing it before. And that may have very
12 significant differences in terms of the T
13 cells there.

14 Of the 27 patients that we have
15 done -- I'm sorry, this is the 27 patients
16 done by aversa, and as you can see
17 engraftment has been very high; 25 of the 27
18 patients have achieved it. They've had a
19 very, very low incidence of Graft-verus-Host
20 Disease.

21 In our group of patients, we again
22 have looked at this in terms of the

1 engraftment that has been observed and what
2 we observe is that with the TBI thio side
3 regimen using the lectin plus CD34 we have
4 enduringly engrafted 20 of 22 patients. We
5 have only two graft rejections.

6 The patients that we have been
7 doing this in have been consistently very bad
8 cases in terms of they are late in disease
9 and unfortunately that slide is missing here.
10 But there are 22 patients thus far enrolled.
11 We've had two that have had graft failures.
12 As you can see the time to engraftment has
13 been very short, as early as nine days to an
14 ANC greater than 500. But the important
15 point here is that almost half of this group
16 has in fact developed grade two to four
17 Graft-versus-Host Disease. In most instances
18 this has been treatable, but in certain
19 instances it has in fact led to lethal
20 sequela.

21 Eight of these patients are now
22 long-term survivors but, again, it's very,

1 very short. And this Kaplan Meyer has put up
2 with great risk because the vast majority of
3 these patients are in this period and these
4 represent the earliest efforts at this. So
5 this is a very unstable curve at the present
6 time.

7 Still in all what this has shown us
8 is that now we have a mechanism which allows
9 us to achieve consistent engraftment. What
10 I've been a little bit distressed at is that
11 we have mor GVH than we had anticipated.

12 The other key thing that I wanted
13 to give to the committee because it's
14 important because I think we are now at the
15 point where we have overcome engraftment. We
16 have techniques that potentially can overcome
17 Graft-versus-Host Disease. What are the
18 central issues? And the central issue remain
19 infection, particularly among the adults.

20 And this is looking at the
21 proportion of patients with opportunistic
22 infections looking at SBA- negative-related

1 grafts without ATG or with ATG, as you can
2 see, there's a significant increment here.
3 These are unmodified related marrow
4 transplants and as you can see the T-depleted
5 graft is not associated with any increase in
6 the incidence of infection over what we would
7 see with an unmodified transplant. But what
8 you do see is a further increase in the
9 patients who have received unrelated grafts.
10 And this is seen both in adults and in kids.
11 But as you can see, there's a striking
12 difference in terms of the overall incidence
13 of infection in children to adults.

14 Now, why would that be? Well,
15 there are some elegant studies that have been
16 done by Trudy Small where we have looked at
17 these patients long-term. And the
18 observation is that irrespective of the type
19 of transplant administered, be it an
20 autologous graft an HLA-matched graft from a
21 related donor, or an unrelated graft or now
22 even a mismatched graft the children all seem

1 to come back relatively rapidly within about
2 three to six months. Whereas the adult
3 recipients tend to be more prolonged.

4 Now, unfortunately, and you can see
5 this not only in terms of recovery of T cells
6 but also in terms of PHA responses, for
7 example, individuals receiving lectin graft
8 from an unrelated compared to a related
9 they're exactly the same, and here you see
10 the autologous. There are three
11 superimposable grafts.

12 Now, why would the adult be a
13 little longer? Well, unfortunately this is
14 an old study that we did years ago, but the
15 fact of the matter is, once we get to 21
16 we're on the down side. We are on the dark
17 side of it because in fact the thymus is
18 really taking a deep swing. And the fact of
19 the matter is that here's our median age of
20 our patients now transplanted for leukemia
21 with T-depleted grafts. And thus the thymic
22 environment may be significantly impaired,

1 but there has to be something beyond that
2 because this is looking now at the recovery
3 of T cells following these related marrow
4 transplants that are lectin separated in
5 adults, median age 40, versus the unrelated.
6 And there is a really striking difference in
7 terms of the recovery of the number of T
8 cells. Here we're looking at CD3 positive
9 cells. You can look at this particularly
10 among the CD4s and that is that the unrelated
11 group takes a much longer period of time and
12 the level of recovery appears to be
13 significantly impaired. And I would like to
14 say, this is not the case, but this appears
15 to be reiterated now in the haplotype
16 disparate grafts as well.

17 And you can also see this
18 strikingly in terms of function, looking at
19 related unmodified or lectin separated
20 grafts. They are here, and then you look at
21 the unrelated graft they're much, much
22 slower. And so one of the concerns that we

1 have and one of the reasons why I'm so
2 interested in what Joanne is talking about is
3 in fact we may have a major problem in terms
4 of the capacity of the marrow progenitor
5 population that is T- depleted now to
6 actually migrate to the thymus or to develop
7 within the thymus of the adult such as to
8 allow for appropriate reconstitution of
9 T-cell populations. And the disturbing
10 feature about this particular slide, if I can
11 get back there -- this one you have to think
12 about because remember if you're doing an
13 unrelated marrow transplant the marrow is all
14 derived from a population of adults.

15 It's T-depleted and administered.
16 So the graft can be considered to be at least
17 in terms of time biologically the same. Yet,
18 in the young child the immune reconstitution
19 will go up here. And yet in the adult it
20 takes really for a very long period of time.

21 So what we are concerned about is
22 that the environment of the adult may be

1 considerably less plastic, less capable of
2 basically allowing for appropriate
3 reconstitution. One of the issues now that
4 we are back to which is exactly where we
5 started in the mouse when we started with
6 T-depleted grafts when VonVormer and Sprint
7 first did this is the same.

8 That what we now really have to
9 think about are what are the genetic
10 restrictions that may limit the migration of
11 these cells to thymus. What are the kinds of
12 alterations that could in fact limit the
13 recovery of the immune function either in the
14 context of the molecularly disparate
15 unrelated donor recipient pairing or
16 potentially the haplotype disparate grafts as
17 we're doing more and more of these for the
18 future.

19 I'll stop there.

20 DR. VOSE: Thank you. Any
21 questions or comments?

22 DR. SALOMON: In this last slide I

1 just wanted to make sure I understood. There
2 is or there was not a difference in the
3 median age of the related versus the
4 unrelated patients?

5 DR. O'REILLY: Oh, I'm sorry, I'm
6 sorry.

7 DR. SALOMON: You got me a little
8 bit because initially you introduced this
9 slide saying the mean age was 40.

10 DR. O'REILLY: It is.

11 DR. SALOMON: And then you're
12 saying that the only difference was related
13 versus unrelated.

14 DR. O'REILLY: No, no.

15 DR. SALOMON: And then later you
16 kind of segued into a different state.

17 DR. O'REILLY: What I'm saying is
18 that if you look at the reconstitution -- put
19 that back on.

20 The fourth from the last.

21 DR. SALOMON: This one here -- this
22 is adults. These are all adults. This is

1 looking at reconstitution in a matched adult
2 who received a T- depleted transplant. This
3 is a quote/unquote matched- unrelated adult
4 receiving a transplant. Okay.

5 And what we observe here -- shown
6 here as well, is that the adult receiving the
7 unrelated graft is very, very slow in
8 comparison to the HLA-matched adult. Now, if
9 you go back here, if you look at the child on
10 the other hand, whether it's unrelated or
11 otherwise, they all come back. And this is
12 particularly shown here. That is that the
13 SBA-negative related is here, SBA-unrelated
14 is here, the autologous transplant is here.
15 So what I'm saying is that adult marrow from
16 unrelated donors administered to a child
17 leads to abrupt immunologic reconstitution
18 and that reconstitution cannot be
19 distinguished from that which you would
20 achieve of an autologous graft. That same
21 adult marrow put into an adult individual on
22 the other hand -- I'm going the wrong way --

1 -- gives you this. And so it's not just that
2 microenvironment of the adult is less able to
3 reconstitute because this adult HLA- matched
4 sibling also has a relatively atrophied
5 thymus. It goes beyond that. And what I'm
6 concerned about is that molecular disparities
7 between donor and recipient may in fact
8 affect initial traffic to the thymus or the
9 maturation within the thymus.

10 And this is kind of, you know, from
11 a safe point, you know, someone asked me one
12 time, what are you going to do, and I said,
13 "I'm going to do research." He says, "Ah,
14 yes, it was my generation to search and yours
15 to research."

16 DR. O'REILLY: The fact was that
17 studies suggesting that in fact there were
18 modulations of the migration of these early
19 progenitor populations that could be in fact
20 H-2 modulated. In other words that there
21 were genetic differences in the mouse that
22 H-2 disparities could alter mobilization and

1 movement was that back in the 1970s by OCSC
2 -- and we unfortunately are going to have to
3 revisit this.

4 Alternatively what we have to do is
5 to develop new approaches which would allow
6 us to make a better thymic environment such
7 as the possibility of a thymic graft or the
8 use of certain kinds of cytokines like aisle
9 seven or IGF one which can actually promote
10 thymic cellularity.

11 DR. VOSE: Okay. Any other
12 questions, comments? Jean?

13 DR. HENSLEE-DOWNEY: Well, I'd just
14 add the comment that I didn't show this data,
15 but we do have data in the haploidentical
16 transplant where our outcomes, whether they
17 be GVHD or even survival have not really
18 segregated based on the recipient age, but
19 they have segregated based on donor age. And
20 furthermore in MDP they've looked at
21 unrelated -- there are unrelated donors
22 although they are all adults, but there is an

1 impact on the age, the older age of an
2 unrelated donor and worsen outcomes with
3 regard to GVHD and --

4 DR. O'REILLY: That has to be added
5 because in real terms older donors, when
6 you're talking about donors over the age of
7 50, the reconstitution of hematopoiesis is
8 poor, the incidence of graft failure is poor
9 as well. You know, you're absolutely correct
10 on that. I don't mean in any way to say that
11 it's all in the context of hosts. I just
12 think that there are environmental issues in
13 the host that are --

14 DR. HENSLEE-DOWNEY: But it's
15 interesting and actually in designing these
16 studies we do have to pay attention to donor
17 age also. I think it's an important
18 parameter. And in our center we are now
19 actually sometimes going to a younger donor
20 because in the haploidentical setting you
21 often have many available donors and we might
22 take a child who is even more mismatched

1 rather than older donor who is less
2 mismatched.

3 DR. O'REILLY: We too, I agree.

4 AUDIENCE: I'm a little mystified
5 by why you don't see B cells in your SCIDS
6 that were not cyto-reduced. If you're
7 getting engraftment of multi-lineage
8 progenitor cells, so you're getting erythroid
9 or myeloid engraftment it would suggest that
10 you're not.

11 DR. O'REILLY: If you don't
12 cytoreduce the patients they will get
13 engrafted with T cells only.

14 AUDIENCE: Okay. Okay.

15 DR. O'REILLY: You don't get the
16 rest of the situation. And even if you
17 cytoreduce with busulfan and cyclophosphamide
18 in not only SCIDs, but in any of the
19 metabolic diseases oftentimes you are left
20 predominantly with host hematopoiesis, donor
21 T cells and then a mix after that.

22 AUDIENCE: Thanks.

1 DR. VOSE: Okay. Other questions
2 or comments?

3 Okay. Why don't we break for --
4 yes --

5 DR. SIEGEL: For the record, there
6 was a remark there or two earlier this
7 morning about FDA jurisdiction that I wanted
8 to clarify for the record. It's not really
9 the topic of these discussions, but as people
10 read the transcript and view the video tapes,
11 I wanted to make sure that we haven't
12 increased confusion in a very confusing area.
13 Dr. Litwin in noting the increasing number of
14 IND we received also noted that we received
15 largely INDs that involved growth factor
16 devices or other regulated materials aside
17 from the allogenic cells themselves, and that
18 is correct. However his remarks, and I don't
19 remember his exact words, which should not be
20 misconstrued to indicate that the agency
21 position is that the cells themselves are not
22 a regulated product indeed as Dr. Marti

1 summarized in your handouts there was a
2 Federal Register notice in January indicating
3 that we consider unrelated allogeneic cells
4 whether of peripheral blood or umbilical cord
5 to be a private group that will come under
6 FDA regulation and we were seeking input as
7 to what standard should be applied or in lieu
8 of that the possibility of implementing
9 alternative regulatory approaches. Those
10 were discussed in a September meeting that
11 was summarized and that's under discussion.
12 But I did want to make sure that we weren't
13 creating more confusion because those remarks
14 do represent in fact the current approach to
15 regulation in that area.

16 DR. VOSE: Okay. Why don't we
17 break for lunch and we'll come back for
18 discussion at 1:30.

19 (Whereupon, at 12:30 p.m., a
20 luncheon recess was taken.)
21
22

A F T E R N O O N S E S S I O N

(1:35 p.m.)

COMMITTEE DISCUSSION

DR. VOSE: Why don't we just frame a discussion with respect to what the questions were from the FDA. I think they pretty much go over the things that we need to discuss. I think everyone has the questions. It's on the second sheet of the agenda sheet.

Question number one deals with kind of how to frame future studies with respect to looking at this issue and first of all it talks about basically wondering if it is possible or not possible to do a randomized trial with an unmanipulated graft in this situation. And assuming that it's not possible which I think most people would say, to discuss the feasibility, advantages and disadvantages of an active controlled trial such as randomization to another investigational modality or randomization to

1 arms that differ only by the amount of T-cell
2 depletion or comparison to historical or
3 registry experience.

4 Rich, do you want to start any
5 discussion on any of those issues with
6 respect to what do you think how could best
7 frame a trial in this circumstance?

8 DR. O'REILLY: I think that we're,
9 you know, getting close to a situation where
10 at least two or three approaches now are
11 showing very exciting results. I mean,
12 obviously I think clearly Jean has some very,
13 very good stuff. I think Franco has some
14 good stuff.

15 I think we're in the early stages
16 of the protocol that we've had and we're
17 making some adjustments. But I am still
18 impressed that, you know, we have some three
19 edge and disparate circumstances without much
20 in the way of GHV, and that I knew before.
21 But I think the other issue that we were
22 concerned about was engraftment, and now we

1 can get engraftment. So I think the
2 possibility of doing it, you know, doing a
3 trial in which you have one or another type
4 of transplant could be done.

5 One of the problems that I have
6 right now in terms of formulating this though
7 is -- you know, I'm sure it would seem like
8 something of a cottage industry, but it
9 really isn't that much so. But there are
10 some tremendous levels of sort of experience
11 that go into the whole issue of cytoreduction
12 regimen used. And so all I would say is, you
13 know, given the fact that we're kind of
14 early, it may be worthwhile to consider it in
15 the context of a whole package rather than
16 one particular technique versus another. And
17 I don't know if that's going to be easy to
18 really stomach.

19 But you're asking this specifically
20 in terms of what would be required for
21 licensure of a product.

22 DR. VOSE: I think that's the FDA's

1 question, yeah.

2 DR. O'REILLY: Yeah, I would say,
3 again, you know, some kind of standard
4 approach would be appropriate for at least
5 for certain diseases you could do it that
6 way.

7 DR. VOSE: So you're saying for
8 comparison of two techniques?

9 DR. O'REILLY: Two -- yeah, two
10 approaches to the haplotype disparate graft.

11 DR. VOSE: So comparing two
12 experimental techniques, one versus the
13 other?

14 DR. O'REILLY: Yeah, I think that
15 that potentially could be done. And I think
16 to say we will -- the only other approach
17 would be to ask a simpler question. That is,
18 you take a technique and you compare it, you
19 know, current therapy and say, at this point
20 we could potentially do a better job if we do
21 these patients earlier in disease. So let's
22 say you take good risk cases quote/unquote

1 who are in, for example, a second remission
2 of acute leukemia or are relatively late in
3 the chronic phase of CML and you're now going
4 to compare them versus a chemotherapeutic
5 approach which has a very low likelihood, but
6 has some finite likelihood, you know, could
7 you do that. And that might also be at least
8 something that could be reasonable one.

9 DR. VOSE: So for patients that do
10 not have an HLA identical sibling, to compare
11 that versus some standard chemotherapy which
12 we know is not very beneficial. And that's
13 not a very fair comparison -- I don't know,
14 it's hard to say what's fair.

15 DR. O'REILLY: Well, I think that
16 that one may be bucked. But, I mean, one of
17 the questions that is coming up now, for
18 example, in a good risk case, if you have an
19 acute leukemia either first or second
20 remission and you have a choice between
21 continuing chemotherapy versus an unrelated
22 marrow transplant, there are several groups

1 that in the first remission would -- there
2 are several groups that would think, you
3 know, you shouldn't be doing unrelateds in
4 the first year of remission and other groups
5 that you should. My own read of it is that's
6 actually probably a decent and ethical
7 question at the time.

8 I don't think we're quite there
9 with the haplotype circumstance yet to be
10 able to -- although, you know, I -- well, you
11 certainly are.

12 DR. HENSLEE-DOWNEY: Yeah, I mean,
13 I think that in an experience center you
14 might get a little bit closer to that, but I
15 think that it is a developing technology and
16 that I don't think that you would open it up
17 under those circumstances because you know
18 you're going to have a learning curve in new
19 centers necessarily. That would be one
20 caveat for that.

21 DR. VOSE: But I think any of these
22 studies need to be done in experience centers

1 because there's -- it's not only the
2 transplant it's the after care.

3 DR. HENSLEE-DOWNEY: Yes, without
4 question.

5 DR. O'REILLY: But you have to have
6 -- the other arm has to have a very clear,
7 finite possibility. Like, for example, a
8 controlled trial of T-depleted transplants
9 from haplotype disparate donors for severe
10 combined immune deficiency is inappropriate
11 insofar as the disease is uniformly lethal.
12 You go one versus another.

13 DR. VOSE: Right.

14 DR. O'REILLY: Or an unrelated
15 transplant versus a T-depleted haplotype
16 disparate graft, I'd like to get that
17 finished with and that's probably reasonable.
18 That's not necessarily something that's going
19 to be marketing kind of a thing.

20 DR. HENSLEE-DOWNEY: With regards
21 to the patient population, in large part
22 that's controlled by the referring physician,

1 you know, when you get right down to it.

2 DR. KURTZBERG: In managed cared.

3 DR. HENSLEE-DOWNEY: Or managed
4 care, this is true.

5 DR. VOSE: I think Dr. Miller had a
6 comment.

7 DR. MILLER: But I think for the
8 purpose of this discussion we are not so much
9 talking about the utilization of
10 transplantation, we're looking at ways of
11 trying to determine the effectiveness of a
12 technique, not the role of transplantation.
13 And I think the question has to go back down
14 to how are you going to compare a method
15 versus something else if you don't have a
16 standard. And I guess one question I have,
17 and I don't know if anybody has this data, if
18 you're talking about potentially looking
19 compared to -- if you say you can't do a
20 randomized trial in haploidentical
21 transplants because nobody quote does
22 non-T-cell-depleted haploidentical

1 transplants. Is there any comparable
2 database that you could use for historical
3 controls? I don't think there is.

4 DR. O'REILLY: Is there a
5 comparable database for three antigen
6 disparate?

7 DR. MILLER: Right.

8 DR. O'REILLY: Yeah, there are some
9 that have been done in Seattle, and there are
10 certainly several in the literature. And --

11 DR. MILLER: But they are years
12 old. They're not contemporary. I mean, over
13 the last ten years.

14 DR. O'REILLY: Yeah, but they're in
15 the cyclosporin era.

16 DR. MILLER: And we do them
17 regularly all the time. And --

18 DR. O'REILLY: Not in three antigen
19 --

20 DR. VOSE: No, no, three antigen
21 non-T- depleted?

22 DR. MILLER: Oh, not on modified.

1 What they're looking at from here,
2 my understanding is that you have to figure
3 out -- if a sponsor is going to come and say,
4 I have this T-cell depletion technique, I
5 want to get it approved. In order to get it
6 approved I have to prove equivalency, I
7 think, and decreased Graft-versus-Host Disease
8 against something. And it's either a
9 randomized trial which is the gold standard
10 or in the case of the randomized trial cannot
11 be done, well, controlled prospective trials
12 against a reasonable controlled group. And I
13 guess the first question we have to -- is it
14 possible to have a reasonable control group
15 that's not modified. And I think the answers
16 are no.

17 And then the second question is, I
18 don't -- how can you -- the question you
19 asked here, I mean, my feeling is that
20 there's no reasonable, unmanipulated that's
21 in the same generation that's going to be
22 robust enough to be able to show a

1 difference.

2 The second thing is, are you able,
3 are you allowed to compare to experimental
4 arms when either -- to try and get one
5 approved?

6 DR. SIEGEL: Well, I think that --

7 DR. MILLER: I mean, you asked us
8 whether it happens, but --

9 DR. SIEGEL: My response is, how
10 can we be asking that question. The answer
11 is that we do permit trials which compare to
12 experimental therapies and we'll approve one
13 on the basis of showing superiority to the
14 other if we're comfortable with the
15 presumption that the other is not harmful.
16 I'm speaking now in broad terms, not
17 specifically in engraftment. So in treatment
18 of any disease, if you have two therapies and
19 two compared to experimental therapies and
20 show one to be superior and we know the
21 inferior one not to be harmful or can presume
22 that, we will and can approve the superior

1 one.

2 Showing two experimental therapies
3 to be equivalent has no bearing on
4 establishment of efficacy. Such a trial may
5 establish efficacy, but it would only
6 establish efficacy as a historical control
7 trial in which you would -- showing they're
8 equivalent tells you nothing about efficacy.
9 If you're presuming efficacy on the basis of
10 that trial, you're presuming efficacy on the
11 basis of comparing the outcomes on either or
12 both arm to the expected outcome without
13 therapy.

14 DR. O'REILLY: Okay. But that then
15 -- that answers your question and that comes
16 down to it. And that is, what is the
17 efficacy of a transplant versus current
18 chemotherapy? That is the appropriate
19 approach. It will not be necessarily
20 satisfying but in very real terms either the
21 FDA has to do it as a prospective trial
22 comparing the two or has to look at it

1 retrospectively and say, is this better than
2 zero? Okay. Because that's what several
3 chemotherapeutic regimens would be.

4 DR. KURTZBERG: If you got a group
5 of experts together and defined essentially
6 stopping rules and said, you know, if this,
7 this, and this happened related to graft
8 failure and GVH, et cetera, we would stop the
9 trial. You could also do some prediction of
10 statistically seeing a 10 percent improvement
11 or 20 percent improvement over what your
12 historical controls have shown or what your
13 ideal is.

14 DR. VOSE: But I think the problem
15 is that it's a concern about what the
16 historical control ideal is. There's a wide
17 range of what is seen, and in, you know,
18 particular patient populations there may not
19 be a very good historical control. I think
20 that's the point that Carole was talking
21 about. So it's hard to compare to something
22 that you don't exactly know what the numbers

1 are. And a lot of times in this particular
2 situation it's difficult for the FDA to use a
3 historical control for those reasons.

4 DR. KURTZBERG: The pediatric
5 oncology group has been trying for 18 months
6 to get a trial going comparing
7 transplantation to conventional chemotherapy
8 for kids with ALL and second CR. And it
9 keeps going around and around the table and
10 the problems are what kinds of transplants
11 will be allowed and who has the expertise to
12 do which kinds? And haplos go in and haplos
13 go out, and I mean, it's interesting, but
14 they haven't even been able to get consensus
15 to get even, you know, deciding on what kinds
16 of transplants would be considered valuable.

17 DR. VOSE: Karen?

18 DR. WEISS: I just wanted to say,
19 in prior discussions with this committee,
20 we've talked before about the topic of
21 whether or not you have to actually show that
22 the -- I mean, ultimately what Dr. Henslee-

1 Downey said earlier, you really want to
2 improve patient survival. But certainly in
3 the past, and even in our prior approval of
4 things like growth factors for improving
5 neutrophil recovery post, you know,
6 transplantation we haven't really addressed
7 the issue of whether transplantation, per se,
8 is an appropriate therapy for a particular
9 disease. We just looked at within that
10 transplant context is it doing something
11 beneficial to the patient which, I guess,
12 gets to the dilemma of whether in this kind
13 of setting you want to look at a trial to
14 really decide whether or not transplant
15 itself is a better or therapeutic option than
16 more conventional therapy or are we just
17 trying to use some of these purging and other
18 types of techniques to say in this particular
19 context using this technique improves your
20 incidence of acute and chronic GVHD and the
21 relatively speaking shorter-term outcomes.
22 So, I mean, I've heard both things being

1 discussed --

2 DR. VOSE: Compared to what though?

3 DR. WEISS: Well, that's where the

4 --

5 DR. VOSE: That's the problem.

6 DR. WEISS: -- that's the

7 fundamental problem which is why we've had

8 such a dilemma with these kinds of studies.

9 DR. VOSE: Exactly. So it's kind
10 of a circular question. I think that --

11 DR. O'REILLY: Can I ask a question
12 of the FDA though, or in this regard? In the
13 context of a T- cell depleted marrow
14 transplant, for example, for severe combined
15 immune deficiency, there you have a disease
16 that is uniformly lethal. And back in 1980
17 when we were talking about this, it was a
18 uniformly lethal disease for which there was
19 no approach except this kind of a
20 circumstance. Does efficacy depend upon a
21 randomized trial? I mean, I don't think that
22 there's anyone around who would not recognize

1 the efficacy of T-depleted graft for severe
2 combined immune deficiency and no one would
3 consider it to be even remotely ethically
4 appropriate not to administer a transplant to
5 a kid with severe combined immune deficiency.

6 So, I mean, I honestly -- I think
7 the only appropriate control if we were going
8 to ask a manufacturer to come up with a trial
9 would be can he show us something better than
10 current therapy lacking a transplant.

11 Because otherwise these patients cannot be
12 transplanted now unless you sort of sit
13 around for an unrelated donor. And it would
14 seem to me that, you know, you are a little
15 bit caught. Either you do the randomized
16 trial or at least you have to sort of accept
17 potentially some type of a control statement
18 of what chemotherapy can do.

19 DR. VOSE: But I guess from a
20 manufacturer standpoint to do a trial just in
21 SCID patients, I mean, would be very
22 difficult to do that.

1 DR. O'REILLY: No, I used that as
2 an example because I think a haplotype
3 disparate graft in the context of an
4 individual where we don't have an unrelated
5 donor, and, you know, there's a large
6 proportion of patients who don't have them
7 who have an active disease, I think that this
8 is clearly an approach which can potentially
9 be cured. And the real issue is what is the
10 -- well, you've asked it, what is the
11 standard against which you are going to
12 compare that?

13 DR. VOSE: Right.

14 DR. O'REILLY: And how do you
15 construct it?

16 DR. VOSE: That's right.

17 DR. SIEGEL: Was that a question to
18 the FDA or not?

19 DR. O'REILLY: Yeah. I think the
20 question is, is the issue of efficacy in this
21 kind of a circumstance always contingent upon
22 an randomized trial. And if it is so, then

1 the other issue is, what will be the
2 limitations or let us say stopping rules for
3 such a trial?

4 DR. SIEGEL: And by a "randomized
5 trial" you mean a randomized trial between a
6 two-cell depleted transplant regimen and a
7 non-transplant regimen? Or I'm not sure what
8 you're asking.

9 DR. O'REILLY: Yeah.

10 DR. SIEGEL: Okay. Well, let me
11 try to restate some things that probably need
12 to be said. First of all, there is no
13 setting in which a randomized trial is
14 absolutely essential for approval, but
15 there's no setting in which a randomized
16 trial doesn't generate better data than other
17 approaches.

18 I think that the issue you're
19 getting -- the question you're getting at is
20 the same one that Dr. Weiss was trying to
21 address which is, one could either compare a
22 two-cell depleted transplant to a non-

1 transplantation approach or to a different
2 transplantation approach. In that regard we
3 have phased one issue which I'll reiterate.
4 We've faced and brought before this committee
5 in '94 -- I always say "this committee"
6 although I recognize none of you were here.

7 DR. VOSE: I was here.

8 DR. SIEGEL: You were.

9 DR. VOSE: I was here.

10 DR. SIEGEL: The very question
11 because it was being asked as noted regarding
12 the CSFs or whatever studies were showing
13 simply that CSFs promoted engraftment whether
14 it was of marrow or peripheral blood or
15 whatever depending on the year, and the
16 patients were engrafting better, the patients
17 were -- or in the case of high-dose
18 chemotherapy they were tolerating high-dose
19 chemotherapy. It wasn't ---- who were
20 tolerating it better, but the question kept
21 arising that there had yet to be in many of
22 the disease settings in which those were

1 shown any clear cut evidence that there was
2 an indication for myelolative therapy or
3 high-dose chemotherapy.

4 The standard that this committee
5 recommended and that we applied was -- and
6 there was a tendency to do those trials for
7 some agents that had relatively marginal
8 effects there was a tendency to study them
9 with -- not in the marrow setting, but in the
10 chemotherapy setting with extremely out in
11 left field, if you will, compared to
12 mainstream medicine protocols because only
13 what those highly oblativie protocols could
14 they show that adding their agent made a
15 difference.

16 This committee recommended that it
17 should not matter -- it should not be the
18 burden on the sponsor of a trial for a
19 therapy that is supportive of a hematopoietic
20 transplantation to demonstrate the benefits
21 of transplantation, per se. That, however,
22 they should not use a regimentation whether

1 that be transplantation or chemotherapy
2 unless it is one that is -- and I won't have
3 the exact words -- but is that is reasonably,
4 widely accepted within the community as a
5 reasonable approach to the treatment of the
6 disease.

7 So that's the standard that we
8 apply now. It doesn't have to be that it's
9 accepted as the standard approach, but a
10 reasonable approach to transplantation. If
11 you can then improve transplantation
12 outcomes, you need not show the role of
13 transplantation in the disease.

14 So what we are facing in these
15 diseases, however, is in some cases, cases
16 where the role or transplantation is not yet
17 established, in other cases where I guess
18 it's more widely established. But what we
19 are anticipating looking at are specific
20 manufacturer's products. I have a monoclonal
21 antibody or the manufacturer does, or a coded
22 bead, or a device machine that's going to get

1 rid of T cells and they want to claim that
2 that contributes something.

3 So I think the answer to your
4 question is, you might be able to do a study
5 compared to a non- transplantation regimen if
6 you had ancillary data showing that the
7 device contributed to the success of
8 transplantation which may or may not have to
9 be clinical trial data. But you would
10 certainly have to show that -- you would have
11 to make the case that transplantation with
12 the device did something that transplantation
13 without the device did. Or you could take
14 the route of showing that transplantation
15 with the device or the antibody or whatever,
16 did something compared to some other mode of
17 transplantation.

18 Although while the cleanest one
19 would be one that did not involve the device
20 since that might involve no T-cell depletion
21 it might be hard to -- that may or may not be
22 doable in some circumstances.

1 So I don't know if I've just made
2 everything a lot more confusing.

3 DR. VOSE: Thank you.

4 DR. SIEGEL: I am more confused
5 than when I started.

6 DR. VOSE: Dr. Auchincloss?

7 DR. AUCHINCLOSS: But it's a good
8 introduction for me to make the comment that
9 I wanted to make which will echo what I think
10 I've said before in these kinds of settings.
11 Before we go further with these particular
12 questions that you put to us, my suggestion
13 would be to you that the questions are going
14 to lead us into strange places and not
15 effective ones because I actually believe
16 your entire approach to the regulation of the
17 products that are involved in bone marrow
18 transplantation is wrong.

19 Why? Because the basic issue in
20 bone marrow transplantation has remained the
21 same since the beginning -- engraftment,
22 avoidance of GVH, and anti-tumor effect.

1 But what we have heard today and
2 continue to hear is that the ways of getting
3 the right combinations there are going to
4 turn out to be multiple. Indeed, hundreds,
5 depending on all sorts of variables -- what
6 kind of donor, what kind of recipient do you
7 have available? What disease you're
8 treating?

9 Now, the way you're setting up to
10 regulate these products, a T-cell depletion
11 device suggests that you'll need to figure
12 out in each of these variable cases whether
13 there's some kind of clinical efficacy
14 benefit to the patient in terms of the
15 treatment of the disease.

16 In my view, that creates an
17 incredible amount of work for you because you
18 have so many variables that you need to keep
19 approving a device for. But secondly, you
20 are in fact holding up the development of the
21 field by not making the devices in fact
22 widely available. That's my personal view.

1 I think the approach should not be
2 for what are in effect devices to look for a
3 clinical efficacy outcome you should ask the
4 question, does the device do what the device
5 says it does? And as I pointed out before,
6 if you have a device that says it depletes T
7 cells it's totally appropriate for the FDA to
8 ensure the public that this device does in
9 fact deplete T cells and indeed it probably
10 is appropriate for the FDA to insist that
11 there be evidence that it not only depletes T
12 cells but that it leaves in tact some stem
13 cells that are crucial for bone marrow
14 transplantation to succeed. But it doesn't
15 get you into the business of deciding in
16 which cases is T-cell depleted bone marrow
17 transplantation appropriate?

18 The clinicians in the field will
19 figure that kind of question out. You tell
20 them the device can do it. And I think that
21 can be applied to the monoclonal antibodies
22 as well. Where you can set standards for

1 their safety, their efficacy in doing what
2 the antibody says it will do and let the
3 field determine the protocols that are
4 appropriate for each patient.

5 DR. VOSE: Dr. Salomon?

6 DR. SALOMON: Yeah, I was trying to
7 get my hand up to say something along those
8 lines. I think that the idea of using
9 historical controls in this area is really
10 flawed, and I really hope that that doesn't
11 come forward. Because we've been through it
12 in solid organ transplantation and it's
13 really evident that this field is like where
14 we were about 20 years ago, and that was
15 there were dramatic center effects first of
16 all. And the little agreement on these very,
17 very complex regimens both in preparative
18 regimens, the handling of the donor inoculum,
19 and the post-operative regimens. So that
20 it's just -- I think it's an overwhelming
21 concept to even compare one center to another
22 center at this point. And I think you go

1 down just really a wrong alley trying to do
2 that. So that's one point I would like to
3 emphasize personally.

4 The second one is, I'm sitting
5 here, I just think, you know, this area is so
6 incredibly important to the history of
7 medicine the next decade, but it's just very
8 early in the process and I think you're way
9 ahead of yourself demanding prospective
10 randomized trials. I love prospective
11 randomized trials, don't get me wrong, but I
12 don't see where you can do prospective
13 randomized trials. I think what you ought to
14 do is a little -- here is where I come to
15 where Dr. Auchincloss is talking, you have
16 to parse this out. I mean, there are very
17 specific problems that this field faces,
18 chronic GVHD, acute GVHD, recurrence of,
19 disease-free survival, take one of those, you
20 don't have to do, you know, a big trial.
21 Just set an example that this product or this
22 manipulation has an effect on one single,

1 even a relatively short-term parameter. It
2 reduced acute GVHD. Okay. Fine. Let it go
3 forward.

4 You know, the details may be on a
5 randomized prospective trial at this point.

6 DR. AUCHINCLOSS: Just let me be
7 clear that that's the opposite of what I
8 said.

9 DR. SALOMON: No, I don't think it
10 is necessarily the opposite of what you said,
11 actually, but whatever.

12 DR. SIEGEL: I'm sorry, reduced a
13 single parameter compared to what? I missed
14 that, not historical and not randomized --

15 DR. SALOMON: What Dr. Auchincloss
16 -- what he is pointing out to me is that he
17 was saying, don't even set an outcome
18 parameter. He's just saying if a T-cell
19 device is supposed to purify T cells let it
20 purify T cells. And I actually have no
21 problem with that.

22 I was going one step further. I

1 was saying, if you feel like you have to have
2 an outcome parameter, so this is where I felt
3 I was segueing from you, is if you feel like
4 you have an outcome parameter, don't take on
5 the whole ball of wax which is what you do
6 with a randomized prospective trial, but pick
7 a definable outcome parameter and insist on
8 effect on at least one outcome parameter even
9 though the -- even though you were going to
10 admit and all the experts will admit that the
11 design of these trials are going to get --
12 you know, there could be complex effects on
13 other outcome parameters --

14 DR. SIEGEL: But I'm not
15 understanding --

16 DR. VOSE: Compared to what?

17 DR. SIEGEL: -- compared to whom?

18 DR. VOSE: Compared to what?

19 DR. SIEGEL: So you're suggesting
20 we would do the trials, but only measure one
21 parameter, not measure the others?

22 DR. VOSE: But compared to what?

1 That's the problem.

2 DR. SALOMON: Well, in that case,
3 you can design trials if there is -- if I say
4 I want to improve just reduce the incidence
5 of acute Graft-verus-Host Disease, there I
6 think it won't be that hard to establish a
7 control group for that because you're only
8 making one parameter change.

9 Adding product X on day two.

10 DR. O'REILLY: I would suggest that
11 in answer to Carole's question, I think that
12 it's not an exact view, but in this unique
13 circumstance, it might be a worthwhile fit.
14 And that is if you look at experience with
15 two antigen disparate grafts, there is a
16 pretty sizeable amount of data on two antigen
17 disparate unmodified grafts, and there is a
18 cadre of individuals who have at least
19 survived, you could take those individuals as
20 your comparative group and ask, does the
21 T-cell depletion technique reduce
22 Graft-verus-Host Disease in a two- or

1 three-antigen disparate below what is
2 currently present for two?

3 My reasoning would be that if you
4 reduce it below what a two does, you
5 certainly can make the minimal jump to say
6 that you also hold true for three. In other
7 words, I'm perfectly happy to take the
8 technique that we use right now and would be
9 happy to do it against a one-antigen
10 disparate unmodified marrow graft because of
11 the fact that I think from the same point of
12 GVH aspects we would be able to do it.

13 But then again, the last thing, and
14 there I agree with -- I think actually I
15 agree with both of you in this regard. Is
16 that I do think that, you know, you really
17 can state some standards, but you are going
18 to have to make some differences. I think we
19 are early, we should be doing more phase twos
20 with targeted endpoints at this particular
21 point and then ultimately potentially going
22 one against the other.

1 The problem is the industries may
2 not be such as to be able to tolerate that.
3 So then, you know, go with --

4 DR. VOSE: I think Dr. Anderson has
5 been waiting a long time.

6 DR. ANDERSON: She wants to
7 contribute directly to this.

8 DR. HENSLEE-DOWNEY: Well, actually
9 I think that it has to be a melding of both
10 of these two concepts that have come forward.
11 Now, I do like the idea that if you're going
12 to look at a device or a drug, particularly a
13 monoclonal antibody that's targeted against a
14 certain cell subset that you are actually
15 able to demonstrate and that that should be a
16 requirement that you do what you say that
17 this product is going to do.

18 But I think you have to apply it
19 then on a clinical trial to ask more
20 questions than just one simple endpoint.
21 Because the endpoints, you can't really just
22 pick up one endpoint and say that's all you

1 would have to show.

2 I think that you have to decide
3 based on the published literature using any
4 technique, and I think that's important too.
5 I don't think that you can say I'm going to
6 use this technique and I'm going to only
7 compare it to something else that looks the
8 same. It has to be with regards to all
9 approaches to doing haploidentical transplant
10 that you would develop -- I guess the term
11 was used "targeted endpoints" and that you
12 would have -- and maybe that's the same as
13 saying -- stopping rules. And that becomes
14 quite reasonable particularly in the context
15 of what is seen using another alternative
16 donor.

17 I do think eventually that the
18 interesting question may come forward as to
19 whether there are certain diseases that are
20 served best by one particular donor versus
21 another; delay in transplant is going to be a
22 driving force always. But we have to show

1 equivalency between the different donors for
2 people to sort of get over the reluctance to
3 look at all donor sources perhaps so that the
4 patient then has access to their best chance
5 for effective, curative treatment.

6 I think already the field defines
7 the patient who we feel really has no
8 opportunity for successful outcome with
9 non-transplant therapy and that those are the
10 patients that go forward into alternative
11 donor transplants. And although there are
12 few exceptions in unrelated donor transplant
13 that it has been really embraced though by
14 the field and often viewed by some to be so
15 similar to matched-sibling donor transplant.
16 And those who have really gone courageously
17 along those lines so that they can treat
18 patients that are in the very best situation
19 with the very best matched donor have
20 produced those kinds of excellent outcomes
21 that would be comparable to a matched-sibling
22 donor. And we're not going to ever be able

1 to do that in haploidentical transplant, or
2 in cord blood transplant until we can all
3 have established the techniques that give us
4 the confidence to take this technology to
5 those patients.

6 But, we can still, within those
7 worst patients, if you will, we can still
8 develop targeted endpoints that would be
9 expected that would make it a reasonable
10 approach to study further. And I think that
11 would be the responsibility of the FDA that
12 they would not give approval to a technology
13 even though it was shown to perhaps target
14 what it was supposed to target and maybe
15 reduce GVHD if in the long run it still had
16 all these other problems associated with it.
17 That's why I think you have to do targeted
18 endpoints that cross all of the major
19 problems -- engraftment, acute and chronic
20 GVHD, immune reconstitution, and survival.

21 DR. VOSE: Dr. Kurtzberg?

22 DR. KURTZBERG: I think one of the

1 problems is you can't study these devices in
2 a vacuum. And the people who are -- the four
3 or five techniques that are active with
4 T-cell depletion all are linked to protocols
5 that don't just involve whatever the T-cell
6 depletion method is, but involve, you know,
7 the preparative regimen and the GVH
8 prophylaxis and all that. So if there's a
9 device to be tested, then that company should
10 partner with one of the places that's already
11 doing haploidentical transplants with some
12 method of T-cell depletion and either add or
13 substitute whatever this device is in that
14 method. And so you could identify or they
15 can identify five or six centers that do this
16 and have at least expertise in it and can do
17 what both these guys showed in terms of
18 comparing to their own historical data, or
19 does this work, does this work, does this
20 work.

21 DR. VOSE: But the problem is what
22 they're already doing is already

1 experimental. So you add another
2 experimental whatever on another experimental
3 thing.

4 DR. KURTZBERG: But I mean,
5 transplantation is experimental.

6 DR. VOSE: I know, but now I'm
7 speaking for them, and not for me ---- we get
8 caught in the middle.

9 DR. O'REILLY: But, you know, I
10 think the big problem with the experimental
11 usually has to do not so much with whether or
12 not it is experimental or not, but whether or
13 not you're going to be caught by industry.
14 So, you know, from that standpoint we're in a
15 kind of an interesting situation because we
16 in fact can do these trials because, you
17 know, lectins are not patentable and in fact
18 we can do these kinds of studies, and that's
19 exactly the approach that we're trying to do,
20 is to take, for example, one approach and
21 compare it with the lectin approach at the
22 lab level and at the clinical level, and

1 then, you know, using the same kind of
2 cytoreductive regimen.

3 I think we can do it. I think, you
4 know, Jean could do it now having gone
5 through all the pain of developing
6 cytoreductive regimens. You know, this is
7 where, you know, the mutual headaches are
8 really horrific. But then you can say, okay,
9 we're going to try OKT3 depletion versus, for
10 example, what we did with T10B9 or otherwise.
11 And you actually can do them, you know, one
12 against the other provided you don't get
13 caught by the vested interest of the
14 industries that are proposing one or the
15 other partners.

16 DR. VOSE: Right.

17 DR. O'REILLY: And I'm just lucky,
18 because I don't have a vested interest.

19 DR. SIEGEL: But, Dr. O'Reilly, I
20 wonder if I could ask you a question and this
21 regards some of the data you presented.

22 DR. O'REILLY: Okay.

1 DR. SIEGEL: You showed in recent
2 studies, if I understood correctly, a higher
3 -- when you moved from lectin and E-rosetting
4 to CD34 positive selection and E-rosetting a
5 higher incidence of GVHD, I'm not sure I
6 caught whether -- is the numerical T-cell
7 depletion significantly different between
8 those --

9 DR. O'REILLY: No. Actually what
10 we have done is to recognize that we made
11 targeted dosings in the trial. And we
12 initially had a targeted dose that the dose
13 of T cells to be administered in the combined
14 graft would be not higher than ten to the
15 fifth per kilogram. What we've done is to
16 reduce that now to five times ten to the
17 fourth kilogram. And all I can say is that
18 with the lectin graft historically we would
19 be out to ten to the fifth realm and we saw
20 very little. In this circumstance we are
21 seeing more.

22 And I'm now in a situation where I

1 would have to say, it's not just the T
2 depletion, unfortunately, it's going to be
3 some type of T depletion. And the concern, I
4 think that all of us have is we're looking at
5 peripheral blood stem cells is that the
6 peripheral blood pool of T cells may have a
7 different type of population.

8 What I would also like to know and
9 what we are trying to get squared off would
10 be if we did lectin E on peripheral blood
11 stem cells that's how Aversa did his early
12 studies and he had little or no Graft-versus-
13 Host Disease. He's only recently moved to a
14 separate E or an E separate.

15 You know, that's one that we're
16 going to actually compare laboratorywise and
17 I want to compare it clinical.

18 DR. VOSE: I think that is a good
19 point though, peripheral blood is different
20 than bone marrow and you certainly cannot
21 compare the two in any way, shape, or form.

22 DR. HENSLEE-DOWNEY: Or you should

1 compare the two in a randomized trial if you
2 want to really answer the answer.

3 DR. VOSE: I was speaking about
4 historical controls.

5 DR. HENSLEE-DOWNEY: Right.

6 DR. VOSE: That you shouldn't
7 compare the two in historical controls.

8 DR. HENSLEE-DOWNEY: Right. No,
9 absolutely.

10 DR. MILLER: Can I say something?
11 I have sort of a radical term difference. I
12 agree with what they talked about that a
13 device should do what a device is supposed to
14 do which in this setting is decrease T cells
15 and show equivalent engraftment. And so my
16 question is why are we looking at it in
17 haploidenticals to do -- to get a -- to tell
18 people how to get a device approved? Why not
19 do it in places where you actually can
20 randomize to get those early endpoints in
21 good risk patients -- standard risk patients,
22 not good, but older patients over the age of

1 40, and then you can get those two endpoints.

2 And then once you got -- just like with --

3 DR. VOSE: Are you talking about
4 unrelated donors?

5 DR. MILLER: No, no, related
6 donors. All you want to show is we want to
7 show that you get decreased T cells, you
8 decrease acute Graft-versus-Host Disease and
9 you don't safety, you don't impair
10 engraftment.

11 DR. VOSE: And infection.

12 DR. MILLER: And increase
13 infection. And in that setting, I mean, you
14 can look at that. And just like for the cell
15 pro column for -- that we approved for
16 peripheral blood, we did it based on the fact
17 that it showed efficacy, and that it did what
18 it's supposed to do and it showed relative --
19 we didn't come out and say that the biggest
20 burden was on -- that we worried the most
21 about purging tumor cells out of -- I don't
22 think that was the answer why we purged it.

1 Why we approved that device is because it did
2 what it says it was going to do.

3 And I think that if you're going to
4 do it, yes, I agree that T-cell depletion is
5 most important in the haploidentical
6 transplants, but to actually show that the
7 device works you don't need to do it in that.
8 Why not say, give us a small study in
9 patients who you can randomize and get that
10 data and then prospectively controlled
11 studies that will tell the field -- I mean,
12 the field will determine -- the experts of
13 the field will determine how to use it after
14 it gets out there in the patients that you
15 cannot randomize.

16 DR. SIEGEL: Dr. Miller, just for
17 clarification though, you're using some of
18 the same language Dr. Auchincloss did
19 regarding devices doing what they're supposed
20 to do. But if I understand -- although he
21 was suggesting that if they deplete the T
22 cells that's doing what they're supposed to

1 do and engraft. You're suggesting also
2 though in such a study you could and also
3 should look for decreasing Graft- verus-Host
4 Disease as well.

5 DR. MILLER: Yes. Or just a
6 safety. I mean, you want to show safety and
7 efficacy and you could say that the efficacy
8 is decreasing two and a half logs of T cells,
9 no different than decreasing two and a half
10 logs of multiple myeloma cells which is what
11 we had as a standard. But then you have to
12 somehow show safety. And the safety in this
13 is since you're working with a hematopoietic
14 cell process, what we've said the safety
15 issue was is that grafts are enabled to
16 engraft.

17 DR. SIEGEL: There's an importance
18 difference though between asking for a
19 decrease of two and a half logs of T cells
20 they're asking for a decrease in Graft-
21 verus-Host Disease.

22 The logic of the committee in the

1 tumor was in part that it was several years
2 of follow up to see whether tumor cell
3 depletion mattered and that in fact the
4 interactions with chemotherapy regimes are
5 something that made that somewhat not
6 practical. Whereas if you're getting
7 engraftment data and you're getting infection
8 data, there's not that feasibility issue of
9 getting Graft-verus-Host Disease data.

10 DR. HENSLEE-DOWNEY: And, Carole, I
11 would be concerned that if you test it in a
12 matched-sibling donor setting that doesn't
13 mean that it's going to work in a
14 haploidentical setting. And a lot of people
15 would come to the table and argue you don't
16 need to demonstrate to anyone that you can
17 develop a technology that will do T-cell
18 depletion in the matched-sibling donor
19 setting. Because, you know, then you beg the
20 whole question about whether T-cell depletion
21 should be done in the matched-sibling donor.
22 And that can be argued where it is being

1 argued. But showing that a device produces
2 the T-cell depletion that can result in
3 successful engraftment with a matched-sibling
4 donor and control Graft-versus-Host Disease
5 means nothing when you come to haploidentical
6 transplant.

7 I had no idea whether it will work.

8 DR. MILLER: But we're testing what
9 a device what something does and then what
10 they do -- is phase two studies after --

11 DR. O'REILLY: Carole, my read of
12 it is that actually, you're correct. I would
13 just sort of two caveats. One to you and one
14 to you. The one to you is that, yes, it
15 should do what it's supposed to do. But the
16 first one to do that was, for example, Dr.
17 Bocci -- in Genoa. And he set back the
18 T-cell depletion world by about three or four
19 years in Europe and in this country because
20 he did T-cell depletion using his particular
21 garden variety monoclonal antibody generated
22 in Genoa. And it turned out that it did

1 deplete some T cells. The problem was that
2 it did not in any way affect graft versus
3 host disease and 11 out of the first 13
4 patients that he transplanted relapsed with
5 disease within six months.

6 Now, he was relatively smart and he
7 recognized that he hadn't picked out all T
8 cells, he had actually probably picked out
9 some sort of regulatory cells. But from that
10 time on that was the basis of the IBMTR
11 saying that the instance of relapse in acute
12 leukemias is higher in T-cell depletion. And
13 that went on for years until enough data were
14 accumulated to say all of a sudden, it is
15 not.

16 Now, Monmouth was the guy who
17 recorded -- Monmouth is from that center and
18 he just doesn't want to hear that, but that
19 is it. Their GVH was not altered. So I
20 would suggest that one, you have to have a
21 biological parameter, so, so if you do the T-
22 depletion, you have to show that numerically.

1 But I think you have to correlate it with the
2 absence of GVH where the reduction in GVH
3 because that's why you're doing T-cell
4 depletion in the first place.

5 The second part, though is yours.
6 Now, I concur now randomized trials has to be
7 done, but Essie can tell you this, one of the
8 things that's most discouraging about this is
9 when we set up that kind of a trial
10 specifically for a grant in 1994. No matter
11 what we do in offering that trial, discussing
12 that trial, et cetera, patients come to
13 Memorial because they wanted T-depleted
14 graft. If they don't want a T- depleted
15 graft, they're going to go somewhere else.
16 But it has been almost unbelievably difficult
17 to accrue patients in that randomized trial
18 because it is in a, you know, for those who
19 believe -- you know, for those who don't
20 believe, no explanation is possible; and for
21 those who believe, no explanation is
22 necessary.

1 I mean, it really comes down to
2 that. And we have been frustrated by that to
3 the point where, you know, I proposed this in
4 a program project grant and my group is
5 saying, we can't do it. And I say, but if we
6 don't do it, you know, we uniquely must do
7 it, if we don't do it it's a problem. The
8 fact is, accrual-wise we may never be able to
9 pull it off.

10 DR. MILLER: But a company, if they
11 want to get their device approved they'll
12 have the wherewithal to have enough centers
13 to do that. I mean, it's no different than a
14 drug such as, you know, 4HC, that, you know,
15 always you need to have something to compare
16 it against, how do you -- you know, people
17 who either believe or don't believe, well,
18 you know, the bottom line is, we don't know
19 the answer and so you've to show it. And so
20 that's why I'm saying, if there was a group
21 that it could be done with, even though it's
22 not that easy, why not do it in that group?

1 DR. O'REILLY: Well, yeah, I --

2 DR. VOSE: I think you can
3 reasonably --

4 DR. MILLER: I think you can very
5 easily randomize people who are matched
6 siblings --

7 DR. VOSE: But I have to agree with
8 Jean, I don't think that doing that trial in
9 a matched sibling donor means anything to
10 using it in a different situation. Maybe a
11 closer situation as unrelated donor that's
12 fully matched would be a possibility. I
13 think that's a possibility, but I don't think
14 the related would be good.

15 DR. O'REILLY: One of those trial
16 is ongoing.

17 DR. VOSE: Right. That actually
18 was my other question. What's going on with
19 that it's an NHBL trial right?

20 DR. O'REILLY: It's accruing. You
21 know, in large numbers. It's supposed to be
22 finished in what, another 18 months?

1 DR. PAPADOPOULOS: It's behind in
2 accrual.

3 DR. VOSE: So it's behind in
4 accrual, but there are approximately 300 or
5 so patients accrued out of 560, I think.

6 DR. PAPADOPOULOS: Right.

7 DR. VOSE: That's nothing --

8 DR. KURTZBERG: Well, I know they
9 just cut it back because it was going to take
10 too long to form something.

11 DR. VOSE: They may have cut back
12 the total --

13 DR. MILLER: And that has two
14 different types of T-cell depletion right
15 there elutriation and T10B9.

16 DR. KURTZBERG: But, again, those
17 are packaged deals.

18 DR. VOSE: Right.

19 DR. KURTZBERG: The prep regimen
20 for the conventional non-T-depleted arm is
21 completely different than the T10B9 --

22 DR. VOSE: Right.

1 DR. KURTZBERG: -- which is
2 completely different than the elutriation.
3 And, in fact, there are two T10B9 prep
4 regimens.

5 DR. VOSE: That's because none of
6 us can agree on anything. Dr. Anderson?

7 DR. ANDERSON: Yes, I keep
8 relinquishing my spot because it's
9 fascinating listening to everybody talk.
10 Basically all the points I wanted to make
11 have already been made. So let me just make
12 a brief summary. What I had wanted to point
13 out was basically what Hugh said, but from a
14 slightly different perspective. And the
15 perspective is as a person who deals with
16 bone marrow transplanters, but is not a bone
17 marrow transplanter.

18 In my experience from ten years
19 ago, Rich, when you and I worked together to
20 the present is every time you sit down with
21 someone in bone marrow transplant and start
22 to go over the real results of somebody's

1 data, and you say, well, what about such and
2 such, it's because they varied this, they
3 varied -- there are 25 different variables
4 and so it's just -- it's fuzzy. This is very
5 early in a very complex field. And since all
6 the points bearing on this have already been
7 made, let me just emphasize in a slightly
8 different way what you said, and that is what
9 I would hope that the FDA takes as one of its
10 primary criterion dealing with this, clearly
11 the safety of the public, but basically what
12 can the FDA do to help the investigators
13 further the field? That that should be the
14 primary objective. Clearly safety is --
15 that's a non-issue, we all agree with that.

16 But there is the potential danger
17 because this field is so complex, because
18 each investigator does things their own way,
19 and Rich has made the point I was going to
20 make which is that how can you accrue a large
21 number of patients in any kind of a
22 randomized trial when people go to people

1 because they do something and get away. This
2 is a field where you can't really do that.
3 It's not like drugs where you can set up 20
4 institutions and they all agree, this is the
5 dose they're going to give, and if the age is
6 this and the symptoms are that.

7 So a plea to the FDA to think in
8 large measure what position can the FDA take
9 which helps the investigators answer the
10 questions.

11 DR. SIEGEL: I certainly agree
12 that's an important goal, but in some sense,
13 implicit in your preamble is an answer I
14 think different from the one you're implying.

15 DR. ANDERSON: Okay.

16 DR. SIEGEL: Because if I might
17 rephrase what you just said to me --

18 DR. ANDERSON: Sure. Okay.

19 DR. SIEGEL: -- is -- what I just
20 heard you say at least, is that having talked
21 to bone marrow transplinters over the years,
22 there are so many variables in comparing any

1 therapy that it's very difficult to make any
2 conclusions regarding how to compare those
3 therapies. But then you're suggesting to
4 advance the field we should, rather than move
5 into a situation where we have
6 controlled clinical trials with a single
7 variable, rather than suggesting that, you're
8 suggesting that we should continue that way
9 and --

10 DR. ANDERSON: No, no, I didn't.
11 If that's the impression I gave I shouldn't
12 have cut it so short. No, no, it was simply
13 to try to point out the situation that it is
14 going to be much more difficult in this field
15 than in many fields in order to do the best
16 type of clinical trials and not to be rigid
17 in terms of the approach. That's all I meant
18 to say.

19 DR. KURTZBERG: I also think it's
20 too early to just say there's going to be one
21 answer. And each one of these methods has
22 their own challenges and none of them are

1 good enough to give up the other ones, and
2 like Jean said, there may be certain diseases
3 -- you know, CML may need more cells and cord
4 blood may never work, but, you know, some
5 other disease may be fine with fewer cells
6 and may need more T cells and so T- cell
7 depletion won't work. And we're just not
8 there. So each method has its own
9 challenges. And if the devices can fit into
10 addressing what those challenges are, then it
11 makes sense to test some of those methods.

12 DR. VOSE: I think transplantation
13 we all know is an art and a science. And
14 those little final points are things that we
15 need to work out. But you have to think
16 about it from a manufacturer and from FDA's
17 standpoint they're asking kind of different
18 questions.

19 DR. KURTZBERG: Yeah, but the
20 transplants have spent 20 years figuring out
21 how to get where they got with a certain
22 method, then it's not unreasonable to -- you

1 know, you can't just drop a device in and all
2 of a sudden assume that's going to solve
3 everything and then work with everything.

4 DR. VOSE: No, I don't think
5 anybody thinks that.

6 DR. O'REILLY: I would just -- you
7 know, I don't feel that the transplinters
8 also have to be particularly defensive
9 because the actual result is a pretty good
10 one to say the least. And I think that
11 certainly for diseases like CML, it's better
12 than around. But I think that what was
13 stated before though is that the devices fit
14 within, in fact, the package. That a
15 T-depleted graft is more sensitive to host
16 resistance than an unmodified graft. That
17 the critical facilitator cell that we can
18 talk about is in fact an alloreactive T cell
19 that's in the graft. That was shown in the
20 1970s by Bob Lowenberg where he just took
21 early fetal liver cells and shot them in and
22 if you even add a minuscule number of T cells

1 from the thymus you massively potentiate the
2 engraftment process.

3 So removing T cells it was not
4 surprising that you would have a problem of
5 graft failure. And the fact of the matter is
6 with modifications in terms of how one does
7 these, in fact, you can overcome that.

8 So, therefore, I think it is going
9 to be a package, it's going to be a package
10 of cytoreduction plus a T-depletion
11 technique, but then at that point, you know,
12 the way -- for example, the NHLBI trial was
13 set up, that was set up with a reasonable
14 mode. Because what you're really asking is
15 fundamentally, can a T-cell depletion
16 technique achieve engraftment, reduce
17 Graft-versus-Host Disease and potentially as a
18 result of that lead to a reduction in
19 non-leukemic mortality and potentially in
20 improved long-term survival. That's what
21 they're asking.

22 Now, the second thing I would say

1 from the FDA point which could be helped --
2 could help us enormously in the field and
3 also could help the real aspect of what
4 happens with the industrial folks is that we
5 as investigators look at a particular
6 procedure, or a particular device and we say,
7 all right, let's say I take it in the lab and
8 I look at it, and I say, God, this device
9 does a really good job. It allows me to
10 concentrate progenitor cells and removes T
11 cells and as far as I can see it removes
12 alloreactive T cells. I want to go at it.

13 And the manufacturer says, well,
14 we'd love to give you these devices, but the
15 problem is we're going to go out of business
16 because our burn rate is so high. Okay. And
17 we as institutions say, well, we would love
18 to just go ahead with this and not worry
19 about that, but we have no mechanism to pay.

20 Now, one of the things the FDA has
21 done for several devices, and I think if they
22 could make that almost as a sort of a general

1 approach is that if you have preclinical
2 evidences that in fact these things do what
3 they say they do on a reproducible level and
4 they want to now go into clinical trials. At
5 least the clinical trials can be done in a
6 cost-recovery mode. If that could be done
7 initially rather than sort of somewhere down
8 the line, that's going to be a huge boost to
9 these kinds of trials.

10 The second aspect of it is if you
11 don't have the package recognizing the
12 biology of these different types of
13 transplants, okay, you can probably get, you
14 know, quite literally, randomized trials
15 done. But they would have to be in a context
16 of a package of a cytoreductive regimen plus.

17 DR. HENSLEE-DOWNEY: Although I
18 think it's hard to talk about cost recovery
19 in an era of capitation because you may be
20 shifting costs and therefore you might still
21 be able to say you can recover the costs, but
22 you can't directly recover --

1 DR. VOSE: You can't bill the
2 patient for that current thing that's in the
3 package, and so basically you're still --

4 DR. HENSLEE-DOWNEY: No --

5 DR. O'REILLY: No, no, but they
6 can. If they allow you to bill the patient
7 for cost --

8 DR. VOSE: But --

9 DR. O'REILLY: -- recovery --

10 DR. VOSE: -- most of them you
11 can't bill the patient.

12 DR. KURTZBERG: But even if you do
13 bill the patient, if you're getting \$100,000
14 and it's --

15 DR. VOSE: Right.

16 DR. KURTZBERG: -- and it only can
17 go so many places whether you bill or not.

18 DR. VOSE: Right. If it's just a
19 package deal, a package transplant --

20 DR. SIEGEL: I should point out
21 cost recovery is covered by our laws and our
22 regulations and is as with many of the things

1 we do somewhat subject to what our policy and
2 scientific judgment is and somewhat dictated
3 by what the laws of the country are which we
4 must uphold. But it applies different --
5 your comment mentioned devices and it does
6 apply differently for devices. There's much
7 more leeway to the Agency in allowing cost
8 recovery for a device early in its
9 development in an experimental stage than
10 would be for if we're talking about, say, a
11 growth factor or an antibody that is not part
12 of a device, but is being sold as a drug for
13 example.

14 Although in those cases cost
15 recovery is possible. It requires additional
16 showings as you implied in terms of clinical
17 utility in addition to financial hardship
18 issues.

19 DR. KURTZBERG: But that's going to
20 be a Catch 22 because if you've got a
21 capitulated rate and your hospital is already
22 unhappy with what you're doing and you put in

1 something that's more money, it's going to
2 take out of their -- it's coming out of the
3 same pot, it's not going to be popular.

4 DR. VOSE: No, it's not going to
5 work.

6 DR. SIEGEL: Right. Well, in that
7 regard, you know, one could argue, although
8 this isn't where we're going, but a lower FDA
9 requirement for approval may not further the
10 field by --

11 DR. SALOMON: The thing you have to
12 remember in this capitation argument is that
13 you have to look at then long-term outcomes.
14 In other words, if I have a patient with
15 leukemia and I cure the leukemia and then I
16 get a relapse or I get a bad GVHD it costs me
17 more per patient to manage that than if I've
18 reduced the costs. So, yeah, it might cost
19 me \$10,000 more because of my device or drug,
20 but if I reduce the complications in one in
21 other vocal points, I significantly reduce
22 the overall cost. So that's the thing you've

1 got to keep in mind.

2 DR. KURTZBERG: But if you're a
3 transplant referral center and all your
4 hospital is looking at is their piece of the
5 pie, they don't care what happens to that
6 patient a year later, because it's not going
7 to be coming out of their pocket --

8 DR. SALOMON: But a lot of the
9 money is --

10 DR. KURTZBERG: I'm not saying
11 that's right, but that's what is happening.

12 DR. SALOMON: I understand, but a
13 lot of the money now is coming from managed
14 care organizations who have a stake in the
15 patient from the beginning to the end. Your
16 hospital may be in the middle of it, but --

17 DR. VOSE: Most of the large
18 transplant centers that's not how it happens
19 anymore. The patients come there and they
20 get \$100,000 to do a transplant and after the
21 patient goes away, that's it.

22 DR. AUCHINCLOSS: Jay, I think that

1 was clever. The notion that the FDA is
2 involved in it has actually hastened the
3 field by insisting on companies performing
4 trials as cover, but I don't believe it.

5 DR. SIEGEL: Pardon?

6 DR. AUCHINCLOSS: But I don't
7 believe it.

8 DR. SIEGEL: What did you say?

9 DR. AUCHINCLOSS: The notion that
10 you're involvement was actually going to
11 hasten the performance of trials and
12 introduction of all of these by somehow
13 forcing the companies to perform them and
14 provide the equipment for free et cetera is
15 cute, I like it, but I don't actually believe
16 it.

17 I mean, but that wasn't going to be
18 main --

19 DR. SIEGEL: Well, I don't know if
20 it's true here or not, but a good number of
21 important clinical trial are funded by
22 pharmaceutical companies.

1 DR. AUCHINCLOSS: There's no
2 question about it.

3 DR. SIEGEL: A significant number
4 of them are funded because they're necessary
5 to meet regulatory requirements --

6 DR. AUCHINCLOSS: And that's true
7 too.

8 DR. SIEGEL: -- and one can -- one
9 needs to look carefully when one suggests
10 lowering regulatory requirements at the
11 possibility that if trials -- if the
12 pharmaceutical companies or the device
13 manufacturers are not required to do the
14 trial in order to market and promote a device
15 the trial may be less likely to get done
16 rather than more likely to get done, and, you
17 know, I don't know that we should argue that
18 issue here, but I wouldn't dismiss it out of
19 hand.

20 DR. AUCHINCLOSS: I certainly agree
21 with you and we've had that discussion
22 previously about some of the other products,

1 as you know. Which things should we use your
2 leverage for, et cetera? I mean, the point
3 is potentially valid.

4 But let me get back -- I did want
5 to make one clarification that I think I've
6 been sort of -- my comments have been used to
7 sort of suggest that I don't believe in
8 clinical trials. I mean, that is not the
9 point that I'm making.

10 I want you to do lots of trials,
11 thousands of trials, you've got lots of
12 things to figure out. The issue is not,
13 should we have clinical trials, the issue is,
14 what trials are appreciate to get product
15 approval? And that's quite different.

16 And I actually think that the
17 T-cell depletion device example is perfect at
18 demonstrating how you can misuse product
19 approval and get the wrong clinical trial for
20 exactly the reason that Jean suggested. If I
21 wanted to design a trial that showed T-cell
22 depletion caused less GVH, I know I could do

1 it. It would probably be one antigen match
2 and it would be a non-cancer situation. I'd
3 work out the variables where I know I could
4 show a reduction in Graft-versus- Host
5 Disease. And once I had the product
6 approved, I'd have the product approved. But
7 the results of that study would be completely
8 meaningless for where you really need T-cell
9 depletion which, of course, is in the haplo
10 transplant.

11 DR. SALOMON: Yeah, but that was
12 your point. Your point was that if it
13 worked, and it did it, and you proved it in
14 your trial, which is my point, pick a parsed
15 outcome and then establish it, then let the
16 field -- the experts in the field segue it
17 into other trials and be responsible for the
18 results.

19 DR. AUCHINCLOSS: The second part
20 of what you said is my point. Let the
21 experts in the field figure it out. But let
22 me just keep my point -- my point is my

1 point. My point is the device should be
2 licensed on the basis of it doing what the
3 says the does.

4 DR. VOSE: But it needs to do it in
5 a situation where it makes some relevance or
6 --

7 DR. SALOMON: I disagree with you
8 -- I'm sorry. I can take -- I can go into
9 the lab and separate T cells in 200 bone
10 marrow preparations and show that the device
11 does what it does. And that's all you're
12 expecting for approval, then I don't buy it.

13 DR. VOSE: No, I think that's
14 wrong. And you need to show some benefit for
15 what you're doing or it's meaningless. I'm
16 sorry. It has to have some benefit.

17 DR. MILLER: You need to show some
18 benefit, but not the maximal benefit.

19 DR. VOSE: Well, no, I'm not --

20 DR. MILLER: I mean, you can show
21 in a group of patients you can show that
22 there's a benefit that can be measured

1 compared to control, but it's not in the same
2 league as the number and the benefit that you
3 expect in the patients that are at the
4 highest risk.

5 But you're going to be in HLA
6 identical, or one anti mismatch or some good
7 group, you're going to be able to show that
8 there was a basis for really taking it in to
9 what patient population you are really
10 concerned about with this device as compared
11 to OKT3 which is what you're using now. Just
12 similar things in the good risk patients and
13 now you can take it and then do to phase II
14 study or, you know, the study that you want
15 to do in your patients, with the only
16 stopping rules and the very, very high risk
17 patients, but at least you know are very good
18 data on what it does to T cells, CFUGM, CD34
19 cells and early evidence of, you know, other
20 immune functions even in those patient
21 populations.

22 Yes, it's going to be different

1 than your patients, but it still is going to
2 be able to help you build on it, and you're
3 going to be able to compare it to something
4 else. These patients are very complicated.
5 The preparative regimens are very
6 complicated. So in the absence of doing
7 something where you're comparing one to
8 another we'll never every know what these
9 devices do.

10 DR. HENSLEE-DOWNEY: I guess my
11 concern is that it's a huge issue that might
12 be handled as a silent nuance. And so that
13 the unsuspected or unexpected population are
14 told, yes, look how beautifully this worked.
15 I mean, I've seen this too many times and no
16 one is telling them the caveats underneath
17 that. And so patients sort of blindly go
18 into a trial believing that this is what
19 they're going to get. And yet you change the
20 stem cell source. It's a huge difference.

21 DR. MILLER: Right now you're doing
22 it with OKT3 where you don't even have any of

1 that data on what it does compared to a
2 randomized control group, so you're making
3 that leap of faith one step better to the
4 patient saying, okay, I have no data what
5 this does in vitro, but you're a very
6 high-risk patient, I'm going to use it. And
7 so why not do something you actually have the
8 data on before you do the high-risk patients.

9 DR. VOSE: Well, you have to do it
10 in a patient population that has at least
11 some of the same problems that we're talking
12 about.

13 DR. MILLER: Well, GVHD -- you
14 know, you can get stage four with GVHD in a
15 sibling --

16 DR. O'REILLY: But, Carole --

17 DR. VOSE: But it's different. I
18 mean, the percentage is much different, and
19 the engraftment is much different.

20 DR. HENSLEE-DOWNEY: And the
21 management.

22 DR. VOSE: Management is much

1 different. Yeah.

2 DR. O'REILLY: But I would also
3 note, it is not so much of an art to, you
4 know, the devices we're talking about, for
5 example, anything that's entered into
6 clinical trials at our shop. The in vitro
7 data has been, you know, really put through a
8 lot before we even introduce it. My readout
9 when we did those comparative trials was that
10 I -- you know, did I think that lectin
11 agglutination and E-rosette depletion in 1980
12 was going to last very long in that time?
13 No, I didn't because the thought, you know,
14 there's going to be much more sophisticated
15 programs. At that time we didn't even have
16 monochromals against T cells. But the
17 problem -- the issue was that it was very
18 effective in terms of T-cell depletion and we
19 could show it. All we basically said was,
20 we'll take anything that can give us
21 comparable levels of T-cell depletion and we
22 could consider testing it because that

1 technique has been tested in animals, in
2 primates. We did all of that before we ever
3 did the first SCID kid. And I think that we
4 do have, you know, in this sort of a stepwise
5 approach where you have several standard
6 approaches based on in vitro in pre-clinical
7 studies that we developed that other groups
8 have developed in concert with industry
9 before they're introduced. So it's not that
10 OKT3 doesn't, but it does. You know, T10B9
11 does. There's a lot of studies that will
12 show that these kinds of agents will in fact
13 deplete T cells and we do ongoing studies of
14 those even before we introduce them.

15 I think the issue though -- I'm
16 switching on this score though -- is that
17 some aspect of a biological parameter I think
18 both you guys are saying the same thing, and
19 there I do disagree with you. I think that
20 you want something that will deplete, yes,
21 but you want to have something where the
22 depletion is correlated with a true reduction

1 in GVH.

2 My real problem is that I've seen
3 people who deplete quote/unquote T cells.
4 But, in fact, do not in any way deplete
5 Graft-verus-Host Disease. And I don't think
6 that's T-cell depletion, or I don't think
7 it's allo T-cell depletion as opposed -- and
8 I do think that that's a reasonable thing we
9 have to --

10 DR. VOSE: I don't think it's
11 necessary to show an improvement in overall
12 survival, for example, but I do think you
13 need to show an improvement in
14 Graft-verus-Host Disease that that will --

15 DR. O'REILLY: But you would not --

16 DR. VOSE: -- at least be a better
17 quality of life for the patient, you know,
18 something like that.

19 DR. O'REILLY: But all I would
20 really say is that I think one of the areas
21 that we can use as a marker that I think is
22 really useful would be a reduction in

1 transplant-associated mortality.

2 DR. VOSE: Sure.

3 DR. O'REILLY: So, namely GVH
4 that's your target. But if at the same time
5 as when we started, you know, you come up
6 with a high level of graft failure that's not
7 helpful. So you want, you know, an
8 acceptable level of graft failure or graft
9 failure no greater than unmodified grafts
10 coupled with a reduction in GVH and your
11 expectation would be that that would be
12 associated with a reduction in
13 transplant-related mortality. It would not
14 be something for disease, but I would suggest
15 that for several of these diseases adding in
16 the issue of relapse gets pretty dicey.

17 DR. VOSE: Right. That's what I'm
18 saying. I don't think you need to size or
19 power a study to say that they have to have
20 an improvement in overall survival. An
21 equivalency of that would be adequate, I
22 think. Jean.

1 DR. HENSLEE-DOWNEY: I think
2 another way to look at it is if you are a
3 company trying to produce a monoclonal
4 antibody or some molecule that's going to
5 help transplanters deal with
6 histoincompatibility or whether you're using
7 some cell sorter device to do T- cell
8 depletion or whatever. The driving force is
9 haploidentical transplant. Because if a
10 company is going to invest a large amount of
11 money into trying to produce something that
12 they can put on the market, it's because it's
13 going to be used a lot, and that's the only
14 reason. So if you do this for a matched
15 sibling donor, you'll never even recoup your
16 R&D costs. You have to do it because now
17 you're going to really create new
18 opportunities to do transplants. And with
19 haploidentical transplants, that's the beauty
20 that really everybody has a donor and they
21 immediately have a donor. So now you've
22 created complete universal access to

1 allogeneic transplant.

2 Now, that would drive you to expend
3 money to develop that technology.

4 DR. VOSE: But I think the question
5 is, can you do a study that's not necessarily
6 in haplos and then perhaps in --

7 DR. HENSLEE-DOWNEY: No, because if
8 it doesn't have any meaning --

9 DR. VOSE: No, I'm saying --

10 DR. HENSLEE-DOWNEY: -- I mean,
11 that's what you would -- you have to develop
12 --

13 DR. VOSE: -- for us to use that
14 device for that antibody than to further
15 modify it and to do it in appropriate trials
16 once it's been looked at in perhaps a less
17 high-risk population. I'm not saying for it
18 to be generalized approved.

19 DR. O'REILLY: Yeah, I would
20 honestly say from the standpoint of the issue
21 of GVH. You know, certainly we've done it
22 without prophylaxis, so I can say that. I

1 mean, we saw a dramatic reduction in GVH in
2 the haplotype disparities, that's actually how
3 we started. But the fact of the matter is,
4 in the leukemic circumstance we went back to
5 the drawing boards for matches. There was no
6 doubt it reduced GVH, and then we got over
7 the issue of rejection. Once we go over
8 that, the principles learned there could be
9 then applied to the broader realm.

10 I don't know that we couldn't do a
11 stepwise one to two antigen disparate graft,
12 for example, that's where you're at as well;
13 right? One two antigen disparate, you know,
14 you prefer not to do a three even in the
15 absence of the historical. I don't think
16 it's completely apples and oranges. I think
17 you can make it stepwise.

18 DR. MILLER: But we still have real
19 problems in allogeneic sibling donor
20 transplants, especially if people want to go
21 to peripheral blood stem cells. I mean,
22 you're looking at a much greater incidence of

1 acute -- I mean, of chronic Graft-verus-Host
2 Disease and no decrease. So, I mean, there's
3 a patient population where you -- you know,
4 where the standard has a pretty high
5 incidence of chronic Graft-verus-Host Disease
6 and a reasonable incidence of acute Graft-
7 verus-Host Disease that we don't think -- you
8 know, that a lot of people are uncomfortable
9 -- may be uncomfortable starting to use
10 peripheral blood progenitors because we don't
11 know if it's going to increase the up front
12 mortality. That's a place where why not do
13 the study in that patient population.
14 There's a question to be asked as we don't
15 think we have all the answers.

16 The second thing that makes me
17 think of why we can't look at this T-cell
18 depletion in those patients is the data that
19 you showed, Rick, looking at your data as the
20 78 or 80 percent in the first remission --
21 that's T-cell depletion showing very, very
22 good data, I mean, in a single institution.

1 But it would be nice even in the good risks
2 to get that type of data. And the only way
3 you're ever going to prove and have these
4 devices available is by showing that it
5 works. And I think if you really want to
6 answer the question, do these questions work
7 in a randomized trial, you get the transplant
8 and say, okay, we'll do the trial, maybe we
9 don't -- you know, I believe in T- cell
10 depletion, or I don't believe in T-cell
11 depletion, but the only way you're ever
12 actually going to get the device out there so
13 that you could -- if you wanted to keep using
14 T10B9, well, you can't now, because you can't
15 get it. You'd really probably like to be
16 back to doing it.

17 If you're told the only way you can
18 get T10B9 with which you've got great data is
19 to do this trial, you would probably do the
20 trial. Right?

21 DR. HENSLEE-DOWNEY: I'm just
22 saying that the real home run though would be

1 to do the trial in haploidentical transplant.

2 DR. MILLER: There's no control.

3 But how? How can we do that? There's no
4 control.

5 DR. HENSLEE-DOWNEY: But then you
6 don't have to have --

7 DR. VOSE: But folks, I think we're
8 missing the --

9 DR. HENSLEE-DOWNEY: -- the
10 randomized.

11 DR. VOSE: But there's no adequate
12 historical control.

13 DR. PAPADOPOULOS: But, Julie, I
14 mean, we're talking about haplos as though
15 they can be done and they're done easily and
16 we just --

17 DR. VOSE: No. No.

18 DR. PAPADOPOULOS: -- compare two
19 approaches and see if one is better than the
20 other. The fact that they're being done at
21 all, mind you, by a relatively small number
22 of centers compared to regular allo bone

1 marrow, or peripheral blood stem cells from a
2 matched sibs or unrelated, it's short of a
3 miracle, basically, the fact that you can do
4 this against such HLA barriers. And we're
5 still way down low on the learning curve.
6 There's a lot of room for improvement in
7 these kinds of transplants. See, I agree
8 with Jean, I think the place where a sponsor
9 could market this type of a device, and agent
10 to reduce Graft-versus-Host Disease would be
11 in the haploidentical transplants.

12 I think randomized trials comparing
13 conventional to T-cell depleted is much more
14 of a scientific question for the scientific
15 community and I'm not sure sponsors are
16 really going to want to get into that because
17 there is such a bias in the transplant
18 community for one versus the other.

19 DR. O'REILLY: In the matched.

20 DR. PAPADOPOULOS: In the matched
21 setting that it would be a very difficult
22 trial to perform.

1 DR. SALOMON: I think the thing to
2 remember is this is -- in agreeing with
3 Essie, it's just like where we were at in
4 heart transplantation in 1980, right at the
5 time of the introduction of cyclosporin,
6 one-year graft survivals were in the 20 to 35
7 percent range. Most of the centers had
8 decided they wouldn't do them, and all of a
9 sudden you introduce this drug and it went to
10 70, 80 percent within about three years.

11 You couldn't do a randomized
12 prospective trial of that and certainly no
13 one in his right mind would suggest that the
14 FDA impeded it or in the future should not
15 learn from how they handled it and impede
16 something like this. So I think that's the
17 point, you know, picking a parameter. That
18 was what I was trying to say earlier. The
19 parameter there was, let the patients
20 survive.

21 DR. MILLER: You're just proving my
22 point. You got the data on the heart

1 transplant by looking at cyclosporin in
2 kidney transplants, an easier thing where you
3 got to do randomized trials and then the
4 scientists or clinicians in heart transplants
5 took it the next step and said, okay, this is
6 a really awful disease, let's go ahead and
7 use cyclosporin here. But the data to say
8 that it's safe and effective was done for the
9 easier transplants. So --

10 DR. SALOMON: I think you have to
11 recognize there's a transition here and
12 there's a time when you demand these really
13 rigid wonderful randomize prospective trials
14 and no one is going to sit here at the table
15 and not tell you how great they are. But
16 there's also a time when you've got to relax
17 and you've got to just allow an outcome
18 parameter like the patient survived, or the
19 patient didn't get GVH, or the patient didn't
20 relapse. The patient engrafted better.

21 DR. SIEGEL: I understand that.
22 Obviously different standards apply for

1 different types. What I don't understand is
2 this notion of one parameter. We've seen --

3 DR. VOSE: There is no one
4 parameter.

5 DR. SIEGEL: We've seen really
6 extensive excellent T cells depleting in the
7 IND phase programs where you see no
8 Graft-verus-Host Disease but a tremendous
9 problem with engraftment rate.

10 DR. O'REILLY: But that's gone. I
11 mean, that's really old. I think that's
12 really become old. I mean, when Jean's
13 talking about these haplotype disparate
14 grafts, or when I'm talking about the
15 haplotype disparate grafts. If you gave an
16 unmodified marrow transplant from a two
17 antigen disparate individual, your risk if
18 graft rejection right now is in excess of 15
19 -- 12 to 15 percent. That's the Seattle
20 series, and there have been several series to
21 show it.

22 So if we're talking about something

1 in the 10 percent or 10 to 15 percent, we are
2 not talking about an increased incidence of
3 rejection. What I would say is, we're now
4 with that TBI thyoteposide with ATG or ara-C
5 or ATG, the fact of the matter is we are now
6 at a point where the issue of graft failure
7 following T- depleted transplants should be
8 moot because it's really largely over.

9 The GVH issue remains because not
10 all T-cell depletions are equivalent either
11 in removing Graft- versus-Host Disease or how
12 they deal with, for example, whatever
13 contributes to leukemia resistance. And I
14 still think that there are big issues in the
15 haplotype disparate grafts that we -- you
16 know, there are other fine tunings that are
17 going to make for long-term survival, not the
18 least of which is, you know, how do you
19 choose or what kind of disparity do you have
20 to get around some of these infectious
21 problems.

22 DR. SIEGEL: But what you are

1 suggesting, if I understand it, is that the
2 issue of graft failure. We can establish the
3 safety vis-a-vis graft failure --

4 DR. O'REILLY: We can establish --

5 DR. SIEGEL: -- on the basis of a
6 historical expectation we know what range of
7 graft success we can now expect. And if a
8 new product falls in that range, we can be
9 relatively comfortable.

10 DR. O'REILLY: Yeah. We're getting
11 not completely -- and I recognize you're
12 hearing this from a guy who has really been a
13 stomper, you know, in terms of aggressively
14 trying to avoid some of the issues of the
15 definition of the stem cells because I
16 fundamentally agree with certain people such
17 as Fred Rosen, Harvard, who says, "I've never
18 seen one."

19 I don't know what a stem cell is.

20 But what --

21 DR. SIEGEL: There must be some in
22 that bag there.

1 DR. VOSE: They're in there
2 somewhere.

3 DR. O'REILLY: But I do think that
4 you take the cord blood, the T-depleted
5 transplants, all these ones, you're getting
6 some fairly reasonable sort of universes in
7 terms of inadequate dose on the one hand and
8 then for after the adequate dose you're
9 talking about what is the kind of
10 cytoreduction that's required to get one of
11 these grafts in. You can take those kinds of
12 things and put those together. And from
13 there you can move and test the device or a
14 technique. I really think we're getting
15 there. And we're close. There may be some
16 mild modifications, but I think we're pretty
17 close there.

18 DR. VOSE: How about this as a
19 possible suggestion. If we want to test it
20 in a randomized fashion to consider testing
21 it in the matched unrelated setting and then
22 to do it with a package deal of phase II

1 trials in the haplo setting so that you show
2 efficacy in both types of settings, but you
3 only have the randomized trial in one type of
4 setting. What do people think of that?

5 DR. HENSLEE-DOWNEY: Can you say
6 that again?

7 DR. VOSE: Well, if you're going to
8 test a device or an antibody or whatever
9 you're going to test to test that in a
10 randomized fashion in a matched unrelated
11 setting. But in order to broaden the
12 possible applications to test it in a phase
13 II setting in the haplo setting to compare it
14 to the historical controls that you're
15 talking about.

16 DR. HENSLEE-DOWNEY: Right.

17 DR. VOSE: So that they would bring
18 it as a package to the FDA as sort of a type
19 of a thing.

20 DR. SALOMON: The only minor
21 problem with that though is, if you have a --

22 DR. HENSLEE-DOWNEY: With targeted

1 endpoints.

2 DR. SALOMON: -- if you have a 15
3 percent incidence of GVH in the matched,
4 right, sibling matched transplants.

5 DR. VOSE: No, I'm talking about
6 matched unrelated donors.

7 DR. SALOMON: Or take matched
8 unrelated, it's still 15 percent.

9 DR. VOSE: Much higher.

10 DR. HENSLEE-DOWNEY: No, no, no,
11 much higher.

12 DR. O'REILLY: 75 percent.

13 DR. VOSE: Much higher, 75 percent.

14 DR. SALOMON: That will be fine.
15 If you start with 15 percent you reduce it to
16 10 percent --

17 DR. VOSE: No, no, no, no, no.
18 We're talking 75 percent.

19 DR. KURTZBERG: But I mean, the
20 T-depletion trial ought to be an example of
21 how hard it is to do a randomized trial. I
22 mean, they keep adding centers just to be

1 able to get to some marginal --

2 DR. HENSLEE-DOWNEY: But on the
3 other hand, you should still be able to
4 achieve with whatever technology you use,
5 outcomes similar to published outcomes. I
6 mean, that's a part of creating those
7 endpoints.

8 DR. MILLER: But also the T-cell
9 depleted trial is power to look at overall --

10 DR. VOSE: Overall survival.

11 DR. MILLER: -- and what we are
12 saying is whatever trial they do, power to
13 look at more short-term outcomes than to
14 look at the safety efficacy of the device.
15 I'm a little concerned about doing --
16 transfers because, you know, then you're
17 changing two things. Because in that
18 setting, if you don't T cell deplete, you're
19 going to have to give additional immuno
20 suppressants. So it's not going to be -- the
21 best trial would be cyclosporin or FK506
22 alone versus cyclosporin FK506 with T-cell

1 depletion.

2 Well, if you don't T cell deplete,
3 you've got to do something else in the
4 unrelated transplant.

5 DR. HENSLEE-DOWNEY: Actually the
6 unrelated trial --

7 DR. VOSE: Not everybody does that.

8 DR. HENSLEE-DOWNEY: --
9 immunosuppression with the T cells --

10 DR. MILLER: Again, with that
11 unrelated trial you're not testing the
12 device, you're testing methodology. You're
13 testing, quote, "T-cell depletion versus
14 non-T-cell" look at the whole outcome. What
15 a sponsor needs to do is test the device, so
16 you really should change just one parameter
17 and that's going to be difficult to do in the
18 unrelated setting. Like this, you know,
19 getting back to the cell pro trial which was
20 easy to do for myeloma, they changed one
21 thing. It was peripheral blood progenitor
22 cells, you looked at the -- you know, one bag

1 versus the other bag at the end whether or
2 not how many -- you know, how many myeloma
3 cells were there. You didn't have to change
4 anything else whereas with the unrelateds
5 you'd have to change two things. You would
6 have to add the immunosuppression to one, and
7 T-cell deplete the other which makes it --

8 DR. KURTZBERG: No, I mean, you
9 would just -- I don't think so. I think you
10 --

11 DR. VOSE: No, I think you could
12 just do it with having the same depletion,
13 just adding the depletion. I think you could
14 design something to do that.

15 DR. O'REILLY: But you would have
16 differences in cytoreduction regimens.

17 DR. MILLER: Right. You would have
18 to have some of the other differences.

19 DR. KURTZBERG: That's why I'm
20 saying, test it in the context of an
21 already-established -- whether it's Jean or
22 Rich, or Milwaukee or -- I mean, there are

1 places in this country that do this, and
2 there aren't that many. And that's where you
3 ought to test.

4 DR. VOSE: I'm not saying not to do
5 that.

6 DR. KURTZBERG: What?

7 DR. VOSE: I'm not saying not to do
8 that.

9 DR. KURTZBERG: I mean, because
10 otherwise you're going to get people who
11 don't do it --

12 DR. VOSE: No, you should not --

13 DR. KURTZBERG: -- starting out
14 with new technical --

15 DR. VOSE: -- you shouldn't do it
16 in places that don't do it, no.

17 DR. SIEGEL: If I could clarify,
18 you made a comment about short-term versus
19 long-term outcomes. Which are you referring
20 to as the -- I know the long-term are the
21 longer ones, but --

22 DR. MILLER: I mean, I think T-cell

1 depletion will affect the short -- the
2 incidence of acute Graft- versus-Host Disease
3 in the 100 day mortality and look at
4 engraftment. And I think, again, that's what
5 we're looking at. We're trying to show that
6 it reduces acute Graft-versus-Host Disease and
7 allows engraftment.

8 DR. SIEGEL: But I guess I would
9 wonder, and this is what I was wondering is
10 short or long term, in the past the advice we
11 have received is even in therapy is that
12 which did not raise as much concern about
13 immunologic defects such as CSFs, we've been
14 advised that the trial should carry out data
15 at least to the 9 to 12 month range to look
16 at infection rates and immunological
17 reconstitution. I wasn't sure if you were
18 thinking of that in the short term or the
19 long term or --

20 DR. MILLER: Well, I think that the
21 primary endpoint should be you should
22 probably follow the patients out one year. I

1 don't think that in this, you know, that
2 relapse is, per se, for these trials the
3 number one endpoint or disease free survival.
4 Because now there's post-transplant --
5 post-relapse or immunotherapy or different
6 things you can do. And so what you are
7 actually looking for this device is to see
8 whether or not you could decrease acute
9 Graft-versus- Host Disease that will allow
10 engraftment. So I think those are what you
11 should test and then do secondary endpoints
12 for infections or secondary EBB but a short
13 term, and not test the long-term outcome.
14 Because those are the types of questions
15 you're going to ask out of -- you know, more
16 center-directed protocols that are designed
17 to look at that. Not at the device
18 specifically.

19 DR. VOSE: I think it is important
20 to follow the patients for maybe a year for
21 EBB, you know, for lymphoproliferative
22 disorders and infections, but I think what

1 we're saying is you don't have to say five-
2 year disease-free survival. Yeah, something
3 like that.

4 DR. O'REILLY: I also think in this
5 regard I think as far as I understood it, the
6 role of the FDA is to protect the public.
7 That's what they're supposed to be doing.

8 And, in real terms, for example, in
9 cytokines, you know, if you're looking at
10 this as the retrospective scope, you could
11 look at it in two ways. One would be, you
12 know, do the cytokines do what they're
13 supposed to do in terms of simulating a cell?
14 You take GCSF or GMCSF, do they simulate the
15 cell? The fact is they do. Can they, as a
16 result of that, potentially reduce
17 infections? The answer there was yes.

18 Now, based on that, fortunately
19 those guys got licensed. But if it were the
20 issue, does it alter the disease, the fact of
21 the matter is, these cytokines are supportive
22 care. They don't necessarily alter the

1 outcome of the disease. Would it be
2 appropriate for -- there were several in the
3 FDA who actually raised this that that's what
4 they should do. In other words, you should
5 give GCSF and the only basis for its being
6 approved would be that it improved long-term
7 survival. And I think somebody argued
8 successfully, hey, that's not -- test it.

9 My own read of it is, if it's not
10 really useful in the long run, the
11 marketplace will tell it pretty quickly, and
12 it just will be abandoned. However, in the
13 process you now have agents that in several
14 circumstances are of extreme use.

15 DR. SIEGEL: No, I think that's a
16 -- actually the one part I don't know is
17 correct is what positions may have been taken
18 many years ago in the agency, but with the
19 support of this committee, our position with
20 the CSFs and consistently has been that those
21 therapies which are adjunctive to
22 hematopoietic transplantation need -- which

1 includes some of the ones we're talking about
2 here, including a few cell depletion -- need
3 to assess their impact on hematopoietic
4 transplantation. And the issue of their
5 impact on the underlying disease, actually
6 the advice of this committee in the past has
7 been -- and the one that we continue to
8 promulgate is that if in studying the impact
9 on transplantation one powers a study out of
10 it to do that, say 100 to 150 patients, one
11 must capture -- one should capture the
12 outcome of disease of recurrence relapse
13 rates on those patients as well. But one
14 need not power the study to exclude a given
15 size of adverse effect except potentially in
16 exceptional cases where specific concerns
17 might rise like this committee originally
18 mentioned concerns about the abilities of
19 GCSF to stimulate myeloid leukemias and that
20 approval didn't occur until there was
21 specific evidence excluding the possibility
22 of a large effect in that regard -- or a

1 substantial effect.

2 But I think that does capture where
3 we're going and I think that is, unless we
4 hear otherwise, and I haven't heard otherwise
5 what we're looking for here. The realm of
6 things including immunological reconstitution
7 engraftment, you know, infections, and
8 Graft-verus-Host Disease, but not tumor
9 outcomes. There have, in the past, however,
10 been concerns expressed from this committee
11 about the impact on Graft-verus-Host Disease
12 leukemia effect in particular, I guess, in
13 the allogeneic setting.

14 And is what we're hearing that --
15 what are we hearing -- let me not guess, but
16 let me ask about that. What would it take
17 theoretically to make sure that you weren't
18 adversely impacting that and what is it
19 reasonable that -- because, you know --

20 DR. HENSLEE-DOWNEY: The most
21 important thing --

22 DR. SIEGEL: -- what sort of data

1 are you going to want see when you see these
2 products to make sure that you're not --

3 DR. HENSLEE-DOWNEY: The most
4 important thing would be a consistent patient
5 population. Because the disease and the
6 status of the disease is going to be more
7 powerful, particularly probably in the use of
8 alternative donors than perhaps T-cell
9 depletion will be.

10 So you'll have to study the exact
11 same patients if you wanted to ask it in a
12 randomized trial.

13 DR. KURTZBERG: And when you're
14 first testing your device, you're not going
15 to look at your easiest patients to study
16 that, you're going to look at your poorest
17 patients. And essentially get a negative
18 answer with that group.

19 DR. HENSLEE-DOWNEY: So I think
20 that's probably an unrealistic goal to expect
21 to answer those questions in these trials
22 that would be really looking at developing

1 technology that could facilitate
2 transplantation.

3 DR. SIEGEL: It would follow then
4 that if the trial were done in a less
5 homogeneous population where you couldn't
6 assess that, although those questions would
7 be outstanding, you're saying that if that
8 trial demonstrated a reasonable impact on
9 engraftment parameters that that ought to
10 suffice if nothing jumped out in terms of an
11 adverse problem.

12 DR. VOSE: But that's sort of if
13 you did have a lot of different patient
14 populations in a trial like that, they need
15 to be balanced for those, I think is what
16 Jean is saying, so that you're not, you know,
17 putting all the bad patients in the T-cell
18 depleted arm, for example, that wouldn't be
19 appropriate.

20 And the other issue --

21 DR. HENSLEE-DOWNEY: Well, you even
22 have patients you can just ask the question.

1 DR. VOSE: Right.

2 DR. HENSLEE-DOWNEY: And one of the
3 ways you can do that is you can look at even
4 the data in matched sibling donors. If
5 you're relapse rate is not outside of the
6 sort of range that you would expect relapse
7 to occur in the matched sibling donor
8 setting, then you don't need to really raise
9 your eyebrows because that's a feature of the
10 underlying disease.

11 DR. VOSE: One other issue I just
12 wanted to bring up too that we didn't really
13 discuss was that I think any trial like this
14 needs to have a quality of life component as
15 one of the important endpoints, too.

16 You're smiling, Jay.

17 DR. SIEGEL: Oh, we've been in the
18 midst of -- in totally unrelated situations
19 and diseases there's a great deal of
20 controversy at the present time as exactly
21 what quality of life means and how you
22 establish a --

1 DR. VOSE: Speaking from my own
2 from my own viewpoint and from these guys,
3 I'm sure they'll tell you that the quality of
4 life of some of these patients that have had
5 Graft- versus-Host Disease is awful. And so I
6 think, you know, that is an important
7 endpoint in this kind of patient population.

8 DR. KURTZBERG: But you're looking
9 at a much later endpoint. I mean, I think --

10 DR. VOSE: That's chronic.

11 DR. KURTZBERG: -- if you want to
12 get devices into the marketplace so they can
13 really be worked out and tested, then you
14 want to look at short-term endpoints, acute
15 GVH engraftment and 100-day infection rate.

16 DR. VOSE: Right. But even acute
17 GVH, I mean, there's quality of life issues
18 with that as well.

19 DR. KURTZBERG: Yeah, but I don't
20 know that you have to do special measurements
21 because you can measure bilirubin and stool
22 volume and rash for a lot less money and get

1 the same information.

2 DR. VOSE: I think it's important
3 personally.

4 DR. KURTZBERG: I think later when
5 you do, you know, the phase II kind of trials
6 and phase III trials you measure that. But
7 that's not where this would be.

8 DR. VOSE: Well, we're talking
9 about a phase III trial. We're talking about
10 a randomized trial. So that would be.

11 DR. O'REILLY: Well, you know, I
12 think one of the issues that's going to come
13 up would be if you -- if you assume that
14 there was equivalency, and I don't think that
15 that's going to be the case --

16 DR. VOSE: Equivalency of?

17 DR. O'REILLY: Let's say T-depleted
18 versus unmodified would be -- in the haplos
19 they're not going to be equivalent, I mean,
20 that's not an issue. But, I mean, even in
21 the matched sibling, if they were equivalent,
22 then the quality of life circumstance you

1 would really need to develop the kinds of
2 useful parameters of quality of life that
3 everybody can agree to. That has been the
4 real bugaboo and I think that that's what
5 you're saying. They're very loose,
6 unfortunately, that's the problem. They've
7 been cited in several papers, but they become
8 very loose.

9 My own read of it is though that in
10 terms of issues like acute GVH and other
11 things, if T-depleted grafts are going to be
12 good in the long term, and I think they are,
13 and certainly in certain diseases they are
14 sort of almost like a treatment of choice,
15 then, you know, I think that in very real
16 terms they should be able to do it and not
17 just on that.

18 DR. SIEGEL: I guess in part this
19 question is -- we've been having a very
20 useful discussion that moved all around the
21 actual formal questions we wrote which is
22 fine. In part we're getting to some of the

1 issues in number three which is less whether
2 you have an integrated measure of quality of
3 life, but how you do integrate different
4 outcomes. If it's anticipated in a certain
5 setting that the patients on the treatment
6 arm may have less Graft-versus-Host Disease
7 and say more infections, or a worsened
8 outcome in terms of engraftment rates where
9 we faced this situation before and it's often
10 very difficult to figure out how to integrate
11 that. I'm not sure there's a way to answer
12 those questions prospectively. Sometimes you
13 almost have to, you know, look at it and then
14 we come back to you and you say, well, why
15 did they design the trial that way -- or done
16 a different trial.

17 But I wonder what -- are there
18 specific comments on how to look at all of
19 these parameters? It's easy if one thing is
20 better like Graft-versus-Host and everything
21 is the same or better or equivalent within
22 height statistical bounds. But any

1 particular thoughts about the tradeoffs that
2 are appropriate or reasonable in these
3 settings?

4 DR. AUCHINCLOSS: Well, I think in
5 that context I guess I'd sort of like to go
6 back to this graft versus leukemia effect
7 which I got the sense everybody was sort of
8 pooh-poohing. To me it was --

9 DR. O'REILLY: No, no, I mean,
10 certainly from my standpoint, no. The allo
11 effect is very real. If you look at the
12 instance of relapse following a congenic
13 twin graft in AML and first remission, at 60
14 percent, that's what it is.

15 DR. AUCHINCLOSS: I mean, that to
16 me would be the critical issue in a T-cell
17 depleted graft is what is going to happen --

18 DR. O'REILLY: And all that has
19 come out of where we're at is -- and all
20 we're saying is that it goes beyond the allo
21 effect of GVH because the incidence of
22 relapse remains extremely low in the acute

1 leukemias. In CML, on the other hand --

2 DR. AUCHINCLOSS: That you can
3 separate the two?

4 DR. O'REILLY: Yes. I understand
5 that and I believe that's true. But it's
6 something that you need to actually -- I
7 think it's the most important variable in
8 this mix of what you're trying to determine
9 out of --

10 DR. AUCHINCLOSS: I agree with
11 that.

12 DR. KURTZBERG: I'm concerned that
13 the infrastructure isn't in place to answer
14 all these questions. I don't think they're
15 bad questions, but this is years ahead of
16 where the field is.

17 DR. O'REILLY: I understand that.

18 DR. KURTZBERG: And if this is what
19 the requirements are going to be and they're
20 noble, then how are they going to be funded?

21 DR. HENSLEE-DOWNEY: How do you get
22 the right patients?

1 DR. O'REILLY: See, one of the
2 things I'm also -- part two of the questions
3 to be asked was, you know, the issues of
4 covariates. I'm also really interested in
5 what the feeling of the group would be now
6 vis-a-vis the kinds of patients that we do.
7 Because one of the biggest covariates that we
8 have is the stage of disease. And right up
9 to now the haplotype disparate grafts are in
10 a position where at least you're saying you
11 got a lot less GVH than you would expect in
12 the unmodified grafts. The incidence of
13 engraftment is certainly within the realm of
14 what you would get with in a modified graft,
15 but the results in different groups basically
16 reflect the risk categories that we're
17 talking about. And the fact of the matter is
18 if we gave an unmodified graft now to the
19 high-risk cases that Jean does or I do,
20 long-term disease-free survival is 10 to 12
21 percent if it's that. So is that our
22 equivalence rate, or do we basically say

1 perhaps what we really should be doing is
2 really looking at this in, you know, earlier
3 disease. When in real terms we can -- and
4 that was one of the questions that was raised
5 before. And I think that that's a really
6 important one.

7 There are downsides to it, but
8 there are also some big upsides in terms of
9 being able to see a meaningful result. That
10 it's not quite so tarnished by the vagaries
11 of the patient's disease or the prior therapy
12 which so oftentimes mixes things up.

13 DR. VOSE: That's why I think you
14 should do the little bit better population in
15 the matched unrelated setting and then for
16 the phase II trial that you guys, you know,
17 are talking about in doing to do that with
18 the poor-risk population and then if they
19 come with both of those types of populations
20 into a separate type of trials, then that
21 looks a little bit at both of those issues.
22 It's a difficult question, I don't know.

1 Sure. Please identify yourself.

2 AUDIENCE: Mike -- Nexell. One of
3 the things that we're seeing with the
4 technology as it improves is the ability to
5 deplete T cells to the point where folks are
6 adding back to the graft -- graft
7 engineering. And I didn't want to leave
8 today without throwing that out for
9 discussion since what people add back to the
10 graft is going to be independent of my
11 ability to deplete T cells. And I think it
12 gets back to the system depletes T cells then
13 people are going to engineer graft for the
14 graft failure issues or the GVL. And I think
15 that's got to -- we're going to be faced with
16 that in trying to run a trial in unrelated
17 donor setting where they're going to be
18 concerned about that.

19 DR. SIEGEL: Actually that opens up
20 sort of an aspect of -- I think it was in one
21 of our questions, the first question
22 regarding differing in the amount of T cell

1 depletion. One design that's been discussed,
2 I wonder how the committee would react to
3 this, would be using the same device, either
4 using it more or less intensively or with
5 various add back to do a controlled trial
6 where you gave a graft with different amounts
7 of T-cell depletion both being within the
8 realm of what's considered acceptable,
9 potentially acceptable for whatever disease
10 and a degree of matching your treating, but
11 then through that comparison being able to
12 show that substantial difference in the
13 amount of T- cell depletion had significant
14 impacts on Graft-verus- Host Disease and/or
15 other parameters in such a way that one might
16 be able to conclude in combination with
17 comparison to historical expectations that
18 one or both regimens was a particularly
19 useful regimen.

20 DR. AUCHINCLOSS: I read that
21 portion and I found it very interesting
22 because it seemed to me the logic of it was

1 to start with the assumption that the device
2 works and then see if you can find some
3 number at which the device doesn't work. And
4 I wasn't sure that that's an appropriate way
5 to run a trial.

6 DR. HENSLEE-DOWNEY: I have a
7 concern too. And that is reading what you've
8 provided to us is that there's sort of an
9 assumption that already we know that we
10 should go to the peripheral blood compartment
11 to obtain haploidentical cells. And I don't
12 think in any way we're there. And perhaps
13 before you can ask any of those questions,
14 that might be a very good question to ask.
15 To what extent is the marrow and the
16 peripheral blood different and how does it
17 demonstrate that in a haploidentical
18 recipient? We don't have those answers at
19 all.

20 DR. MILLER: But that's the
21 responsibility of the transplant community
22 not the FDA or the sponsors to show whether

1 peripheral blood or bone marrow is better.

2 And I agree, I have a hard time
3 with the -- you know, with the assumption of
4 trying to find a different level of T cells.
5 Because the only way that it will work is
6 that if the one -- to show that you can get
7 the drug approved if the one is better than
8 the other. And you're never going to get
9 anybody -- I mean, if the one is clearly
10 better than the other, can you then
11 extrapolate to zero? I think that's really
12 pretty dicey.

13 DR. WEISS: There are a lot of
14 study designs though were you an just do dose
15 response. And we had it all the time in
16 conventional drugs where you have maybe
17 slightly better efficacy results at the
18 expense of a little more toxicity and dose
19 rate designs -- I mean, Dr. O'Fallen has been
20 very quiet. And, you know, there are -- the
21 comparisons are maybe not as great sometimes
22 between those and actually more conventionals

1 do have a, you know, control arm, a high dose
2 and a low dose for instance is much more
3 conventional -- to see. But you can do
4 things with several -- you know, at least one
5 or more different doses and doing some
6 comparisons on looking at dose responses.
7 And it isn't always one works and one
8 doesn't, and it always, you know, deluding
9 ourselves that we're giving something when
10 really we're actually giving somebody
11 basically nothing. It's really the idea that
12 you're having a range of responses.

13 DR. MILLER: I think the more
14 interesting thing would potentially be
15 something like they're doing potentially had
16 donor in their sequential studies at Sloan
17 Kettering, just do the first step and then
18 compare the first step with one plus two.
19 And then if you feel you had reasonable data
20 with the one step, adding the second step to
21 see if it's better than add T-cell depletion
22 with equivalent engraftment. And that may be

1 like --

2 DR. O'REILLY: Yeah, that may --

3 DR. MILLER: Could you do that, do
4 you think?

5 DR. O'REILLY: -- that's
6 potentially possible. I mean, one of the
7 issues that has come up with us with regard
8 to the doses is that in terms of the assay
9 systems we have now for looking at, for
10 example, doses of T cells in the matches we
11 got a fairly clean circumstance, but what I'm
12 disturbed by is that in the haplotype
13 disparate or unrelated it's not. They're
14 all, you know, those who do and don't get GVH
15 are in the same universe. And until we
16 really have a much cleaner view of what is
17 the actual alloreactive cell, we're a little
18 caught, you know, several groups have also
19 looked at, for example, HTLPs and CTLs
20 precursors, and assays in an attempt to
21 quantitate these and to then correlate that
22 with Graft-versus-Host Disease. And thus far

1 they've gotten again kind of mixed grill
2 results unfortunately.

3 In the unrelated circumstance
4 Carolyn Keeber has been with us and is the
5 co-author of the LDA studies looking at the
6 mixed T cells when she tried to look at this
7 for host-specific CTLs or host-specific HTLPs
8 thus far the clear correlations have not been
9 there. So I think a dose response approach
10 can be used. We're using it late after the
11 transplant and I think that that really has
12 offered us some real options because late
13 after transplant the potential to induce GVH
14 is considerably different from the time up
15 transplant. And that has opened up some real
16 possibilities. Whether that's going to be
17 possible in the mismatched graft, my own bet
18 is it will not because it just takes a few
19 alloreactive T cells to do the job.

20 I would like though, you know, this
21 issue that was raised before with regard to
22 the issue of a T- depleted transplant, and

1 what do you do to it is going to be sort of
2 thought about. And I think it is a real
3 problem because in the one -- what we're
4 caught by is you've got a huge number of
5 industrial groups now that have different
6 techniques that potentially can produce good
7 T-cell depletion that want to get into
8 clinical trials and people want to actually
9 get to do the trials. But the next step is
10 not just the T-cell depletion, the step is
11 going to be T-cell depletion for example plus
12 genetically modified effector cells that are
13 in fact overt alloreactive T cells that could
14 be used to actually induce a control GVH
15 response where you can eliminate those cells
16 but those cells could allow you to get grafts
17 in.

18 And that's going to -- I think that
19 the options now are extraordinary and the
20 query that we have to get to is exactly what
21 Hugh said and Carole is saying is we have to
22 get some sort of a system that allows us at

1 least to have a biological readout which will
2 at least give some kind of a -- you know,
3 even something like a tentative approval. I
4 don't know, at least to the point where, you
5 know, someone can sell them and someone can
6 actually pay for them. I mean, like a center
7 doing trial could actually because I can't do
8 this on philanthropy.

9 DR. VOSE: It is a problem because
10 we're always trying to be one step ahead and
11 the approval is always kind of ten steps
12 behind. So, I mean, it's a problem. I
13 agree.

14 MR. VANEPPS: Dennis VanEpps from
15 Nexell as well. I just wanted to reiterate
16 some things that Mike had mentioned here from
17 the company side. I think we're in the
18 position now where we -- in much of the
19 recent data that we have that we have a
20 device that will virtually eliminate the
21 majority if not all -- close to all the T
22 cells. The problem is that what I heard here

1 is that we're trying to get to the point
2 where we can manipulate the T cells
3 population.

4 Now, it's much more difficult to
5 try to make a device manipulate the exact
6 number of T cells that you want to have
7 harvested in the final product. And
8 ultimately what happens is, just as you had
9 mentioned here, Rick, that you're going to be
10 adding bad T cells at some point. And you
11 can control the number of T cells that go
12 back in. That really becomes a T cells
13 therapy, I think, outside of the device.

14 And I get back to the issue of the
15 device is designed to remove the T cells that
16 allows you now to do the T cells therapy.
17 And so, if that's the purpose of the device
18 and the cells will you allow then to do an
19 efficient transplant and get reasonable
20 engraftment comparable to what's done now,
21 then I think the rest of everything that goes
22 beyond that, then the T cell therapy is

1 really a totally separate issue and really is
2 the future of all the studies that will go on
3 beyond having the device approved. Obviously
4 a biased opinion on my part, but that's --

5 DR. MILLER: How are the cell
6 therapeutic machines regulated? I mean, why
7 is T-cell depletion devices, if they're
8 actually used, just to separate out these
9 cells different from like the code spectra or
10 something like that and who -- which we also
11 take the cells out and sort of manipulate
12 them back. Is this considered different
13 because we're -- it's sort of part of a
14 process as compared to just getting us a
15 population of cells?

16 DR. SIEGEL: I can't tell you
17 specifically about the device to which you're
18 referring other than I would be very
19 surprised if it's not an FDA-regulated
20 device. It could be regulated -- yeah, some
21 devices are regulated in the Office of Blood
22 some in the office you're with which is the

1 Office of Therapeutics because of their -- of
2 where they're used and how they're used and
3 some in the center for devices. They're all
4 regulated, however, under the same laws
5 pertinent to the regulation of devices.

6 DR. MILLER: So I think we're a
7 committee that's used to looking at -- or
8 physicians or groups that are very much more
9 used to looking at drugs which is much easier
10 and that's why I was sort of wondering
11 whether that committee -- how they deal with
12 that like the sponsor is saying, you know,
13 this device we give you a product, what you
14 do with the product is then your decision.
15 Tell me how good --

16 DR. SIEGEL: Yeah.

17 DR. MILLER: And that's, I guess,
18 what the hematologic products do, they say,
19 okay, how well can you collect out the
20 platelets. What you do with the platelets
21 after that is up to you.

22 DR. SIEGEL: That's actually not

1 correct. Platelets are also an FDA-approved
2 product. They have to meet performance
3 standards and --

4 DR. MILLER: But for qualitative.
5 You don't go in and see -- you don't have to
6 give them back a -- so the patient doesn't
7 bleed. You don't have to show that you have
8 a product that has the --

9 DR. SIEGEL: If you were to make a
10 new preservative solution for platelets a new
11 way to store platelets, a new way to freeze
12 them or whatever, the requirements on those
13 platelets would involve parameters to
14 establish that you still had an effective and
15 safe product whether that efficacy would be
16 determined by in vitro or by in vivo studies,
17 by bleeding rates or petechia or aggregation
18 rates, I can't tell you and it probably
19 varies depending on the issue. But they are
20 a valuation for efficacy as well as for
21 safety.

22 And devices in general are --

1 although there is some difference in
2 classifications of devices there are certain
3 types of what are considered low-risk devices
4 setting intravenous tubing which may not
5 require the same sorts of clinical trials as
6 certain types of -- like I say, cardiac
7 bypass pump might.

8 I think your point is well taken
9 that this committee, as compared to other
10 committees, just as these regulators at this
11 table as compared to some other regulators
12 have less familiarity with the regulation of
13 devices than with the regulation of drugs and
14 biologics and that does raise complex area
15 issues.

16 I can assure you that we are in
17 constant and regular contact with our
18 colleagues who regulate devices on a regular
19 basis. I personally meet on a monthly basis
20 with my counterpart office director in the
21 Center for Devices so that you hear
22 interpreted through me, you know, when I'm

1 talking with you about what are the standards
2 -- what a device does or doesn't have to
3 show. We hope that we are applying those
4 standards and those laws in a level and equal
5 way. It's a constant issue and requires
6 attention. And I think we recognize that
7 it's difficult for this committee to, you
8 know, even for issues that don't come up as
9 often, say, as accelerated approval if we
10 deal with the drugs committee to understand
11 the legal ramifications for that and how to
12 apply it can be difficult. It gets more
13 difficult with devices, on the other hand,
14 most of the device panels don't have anything
15 like the expertise in transplantation that
16 one finds in this committee. So we get our
17 advice where we can.

18 DR. VOSE: Are there other
19 questions that we haven't talked about that
20 you would like to discuss? I mean, in the
21 other two questions we kind of discussed in
22 --

1 DR. HENSLEE-DOWNEY: One of the
2 questions that you did write down, number
3 two, had to do with whether you did try to
4 conduct a trial or oversee a trial that used
5 the IBMTR experience as a historical control.
6 And you asked about what kind of covariate
7 would be important. And I did write down a
8 list of -- that I would be glad to share with
9 you if you would like me to.

10 DR. VOSE: Well, do you think it's
11 appropriate to do IBMTR as a control for --
12 for what?

13 DR. HENSLEE-DOWNEY: That's another
14 question.

15 DR. VOSE: Well, no, why worry
16 about the covariate --

17 DR. HENSLEE-DOWNEY: Ask this
18 question and I responded to the --

19 DR. VOSE: There's no sense
20 worrying about the covariates if you don't
21 think that's adequate control.

22 DR. HENSLEE-DOWNEY: That's true

1 too. And I think that the list of covariates
2 is long enough that it is going to be very
3 hard to go in and find those matching in the
4 IBMTR historical bank so that it's probably
5 unrealistic, I think I could answer it that
6 way.

7 DR. SIEGEL: Are you suggesting
8 then that it would be preferable to do
9 randomized-control trials in all of these
10 indications and settings?

11 DR. HENSLEE-DOWNEY: No. Actually
12 I thought it would be preferable --

13 DR. SIEGEL: Well, don't bother,
14 because there's no way we'll ever know. I'm
15 sorry. I don't want to put words in your
16 mouth, but if we're not going to have an
17 internal randomized control group -- external
18 control group. And you're saying that the
19 IBMTR is not a suitable one.

20 DR. HENSLEE-DOWNEY: It's going to
21 be a very difficult one, very difficult one
22 to get the right -- to be able to match the

1 right covariate so that it's a meaningful
2 historical control group.

3 DR. SIEGEL: Yeah.

4 DR. HENSLEE-DOWNEY: I mean, you
5 could make an effort at it, but it's going to
6 be hard.

7 DR. MILLER: I mean, that's why I
8 asked the question at the very beginning. Do
9 we have a control group that -- you know,
10 does anybody have a comparable control group
11 because if we -- I think I feel very
12 comfortable with the fact that we have a
13 control group that you have confidence in
14 that you compare then you don't need a
15 randomized trial. But you have to have
16 something. And I asked a question that came
17 around from the very beginning is that there
18 wasn't that --

19 DR. SIEGEL: Well, it sound to me,
20 from what I've heard from many of you,
21 including the presentations, is that we saw
22 this in some when we -- I think coming from

1 your institute Dr. -- talked about
2 mobilization that what has commonly been done
3 as a control group is the most recent prior
4 series in the same institution which maybe
5 has the same conditioning, variable --

6 DR. HENSLEE-DOWNEY: Right.
7 Exactly.

8 DR. SIEGEL: -- but you change one
9 factor at a time.

10 DR. HENSLEE-DOWNEY: And I think
11 that potentially could be done.

12 DR. VOSE: You know, I think that
13 the problem --

14 DR. HENSLEE-DOWNEY: Because
15 there's less changes. I mean, even though
16 there might be a new great infectious disease
17 drug that might come along and save a few
18 more patients in the new series. But still
19 the changes happen very slowly in reality.

20 DR. VOSE: The problem with --

21 DR. SIEGEL: -- are better
22 controlled than in external --

1 DR. VOSE: Yeah, the problem with
2 the IBMTR is that most of the data is old
3 data and it is from multiple different
4 institutions and the data is not as well
5 collected as a single or two centers for
6 example. So I think that suggestion of doing
7 the immediately prior, you know, historical
8 control at an institution --

9 DR. O'REILLY: You know, you could
10 however do a little bit a preempting here
11 because I do think at some time down the line
12 in the not too distant future the trial will
13 be, for example, the haplotype two or three
14 antigen disparate graft versus the unrelated
15 graft. Okay.

16 DR. HENSLEE-DOWNEY: That's what I
17 --

18 DR. O'REILLY: And my own read of
19 it is that the NMDP database is a pretty
20 tight database at the present time.
21 Certainly the National Heart Lung and Blood
22 trial is a very, very --

1 DR. VOSE: That trial is good. I'm
2 not sure that the NMDP is quite as good.

3 DR. O'REILLY: Okay. But I agree
4 with that. But even in that group that might
5 at least give you some kind of background
6 data these are the effects of age, disease,
7 stage of disease in terms of long-term
8 results.

9 DR. MILLER: Actually, that is a
10 potential control group. You could
11 potentially use the -- I didn't think about
12 that. You couldn't potentially use the
13 methyltracsate cyclosporin on that randomized
14 trial as the largest group of patients with
15 -- or unrelated transplants collected in a
16 similar way to use if you want to compare
17 unrelateds with a T-cell depletion one T-cell
18 depletion method, I guess.

19 DR. O'REILLY: Yeah, it's not going
20 to give you the absolute clean thing, but it
21 would certainly give the FDA at least some
22 sense of security that in fact insofar as

1 historically, for example, the incidence of
2 grade II to IV GVH in a matched unrelated
3 donor recipient pairing is roughly equivalent
4 to what you have with a two antigen disparate
5 within the family. Now, that may shift as we
6 get into better attuned typing. But still in
7 all, if you had something close to
8 equivalents or somewhere in that, or you were
9 looking favorable in comparison to that
10 circumstance, you would probably be able to
11 feel relatively secure that certainly the
12 public was being well served.

13 And that's a reasonable one.

14 DR. O'FALLEN: I'm finally going to
15 weigh in then. I thought we had someone made
16 a position statement earlier that the
17 historical controls were really out of the
18 question because we were faced with an
19 environment here in which things are changing
20 so rapidly and I was awed by the three
21 presentations to exactly that same position.
22 So if we're going to start saying positive

1 things about historical controls, I have to
2 wake up here and weigh in.

3 I think they are just fraught with
4 all sorts of problems. The idea of doing
5 these little stage-wise studies, that's just
6 wonderful. And you're doing the best job you
7 can do of having not quite concurrent
8 controls by doing that. But if you're going
9 to mount a moderately large study with the
10 kind of framework of a clinical trial, but
11 trying to use historical controls, I don't
12 think that that's got a chance.

13 DR. O'REILLY: My only point on
14 that though is, I think it does give you a
15 framework and it would provide you with a
16 reasonable approach to the construction of
17 stopping rules within trials.

18 DR. O'FALLEN: Oh, that's a
19 completely different thing. I agree with it
20 completely. Of course we should use every
21 piece of information we possibly can and even
22 to going to the point of having the -- I

1 think someone suggested earlier, a panel of
2 experts get together and decide what targets
3 we ought to be having for what could be
4 equivalently a phase II kind of study to see
5 if we can even approach those targets. It's
6 not a complete replacement for a randomized
7 clinical trial, but at least it's a real
8 organized systematic way of taking advantage
9 of all the data that you can to come up with
10 some targets. But it isn't letting someone
11 choose their favorite historical control
12 group or their favorite registry group to
13 decide what their own favorite target is.
14 It's quite a different picture.

15 DR. VOSE: Chris, the NMDP database
16 is the same as the IBMTR database for those
17 particular patients. They share information
18 so -- it's the same thing.

19 Other issues or questions that we
20 didn't talk about, anybody wants to talk
21 about?

22 DR. SIEGEL: There's one -- I do

1 think you raised -- in fact, I understand Pat
2 has come up in some discussions with sponsors
3 which would be a developmental program in
4 which perhaps in some settings in which
5 T-cell depletion is considered more optional,
6 for example, I guess matched or nearly
7 matched unrelated, there be control trials
8 with the possibility of extending
9 observations with -- through externally
10 controlled trials in some settings in which
11 it would be difficult there or impossible to
12 do a non-T-cell depletion. Is there a
13 general feeling in which case although if in
14 fact in the second -- the latter case it was
15 impossible to get data other than
16 historically controlled you would have in
17 support of that the control in the other
18 setting which would lend some, I guess,
19 intellectual credence to any conclusions you
20 might make about impact of Graft-versus-Host
21 Disease; is that a correct understanding of
22 what was proposed? And is that a type of

1 package that people might -- that this
2 committee -- after all, what we're talking
3 about ultimately, you're going to see the
4 results of these trials and we recognize that
5 perfect trials undoable and there's always
6 parts of the data that come in that we're
7 going to be unhappy with. Is that a type of
8 package there's thought would be a --

9 DR. VOSE: I think that's about the
10 best you're going to be able to do under
11 these circumstances.

12 DR. SIEGEL: I'm not suggesting
13 that there's only one way to do that or that
14 we would make that a requirement.

15 DR. VOSE: And then you could use,
16 you know, the most recent cohort from other
17 institutions to compare to -- in a loose way
18 to the phase II haplo portion of that.

19 No more questions? Comments?
20 Okay. Thanks to everybody for coming.

21 (Whereupon, at 3:30 p.m., the
22 PROCEEDINGS were adjourned.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

* * * * *