

1 public group comes up with the money to do that? Is that,
2 in fact, what you're suggesting? Okay.

3 CHAIRMAN SALOMON: You got me there.

4 I think until--I think we're looking at--we're
5 talking about what is long-term follow-up, right? And I'm
6 saying that the principle that I think the committee is
7 agreeing is that long-term follow-up is really just knowing
8 whether the patient's healthy, whether they've had--you
9 know, the key issues of pregnancy, malignancy, autoimmune
10 disease, neurologic disorder, and any other unexpected
11 diseases. You need follow-up on those patients long term.

12 DR. MILLER: I mean, the sponsor's required--I
13 mean, I think it's clear that the sponsor, whoever is doing
14 the therapy, is required to follow up the patient. I
15 disagree that it's in the public requirement to get the
16 scientists together to figure out how to use that data. You
17 know, as an academic community, generally the people who are
18 going to be doing these gene therapies, I think that it's
19 through the grant mechanism and the volunteer--you know, it
20 should be something like the IBMTR. The reason UNOS can be
21 some--a registry of scientific or a group of people who are
22 doing gene therapy, get together and say we're going to pool
23 our data, because I don't think that that--I don't think
24 that there's a mechanism as well to really do that.

25 Now, the reason UNOS works so well is because they

1 can monitor the number of kidneys and transferring back and
2 forth, and, you know, it's been legislated because there's a
3 product that goes back and--I mean, in some way there's, you
4 know, interstate and--isn't that what brought it up because
5 there's interstate and a sharing of organs that can be--are
6 a resource that has limits, where compared to this, where
7 anybody can--who has a product can--can expand to fulfill
8 the--to fulfill the need. So I think it's very, very
9 different. I think it's up to the scientists to figure out
10 how to use the data, but it's required in each individual to
11 follow their patients because that's good clinical practice.

12 CHAIRMAN SALOMON: So there's two kinds of
13 databases. I just want to clarify one thing. There are
14 databases that have come up where groups of scientists have
15 got together because they're interested in an area like the
16 International Bone Marrow Transplant Registry, I think is a
17 database like that, or the North American Pediatric
18 Transplant Registry. I'm not referring to that.

19 In UNOS, actually the driving thing has been
20 Medicare reimbursement for organ transplants, not interstate
21 commerce, necessarily.

22 DR. SAUSVILLE: So, I mean, one point of
23 difference that might exist, again, is--I mean, this field
24 is so new and lots of things are going--lots of people are
25 doing lots of different things, and I'm--I would be

1 concerned that the survival of the relevant population may
2 outlast the survival of the companies and academic
3 affiliations of the investigators doing these things. So,
4 to me, that's one reason or could be construed as one reason
5 as why--and, again, I look to our colleagues at FDA or my
6 colleague at NIH and say everyone said there's a
7 desirability to create some type of database. We agree that
8 it, you know, might not be public in the sense of a publicly
9 grazeable database as a first cut. I think whether it's
10 funded as a line item from NIH or FDA as part of their
11 appropriation or through a tax, ultimately, of currently
12 acting companies to have ultimately a user's fee, someone's
13 going to have to pay for this. And I don't think it's going
14 to productively arise from expecting voluntary sorts of--
15 people who write grants for these things.

16 DR. GORDON: I think one way to tie in these sort
17 of parallel issues of a database and what sort of follow-up
18 should be needed is--but I always thought of the database
19 being envisioned for gene therapy as an adverse events
20 database in many respects, not the only thing but certainly
21 one of the most important things.

22 I think one thing I would glean from this
23 discussion is that long-term follow-up is more likely to be
24 recommended to be observational in nature than
25 interventional in nature, and that there is already an onus

1 on sponsors to report adverse events. Long-term follow-up
2 could be tailored to indicate that anything adverse that
3 occurs to the patient down the road would be an
4 observational process that would be then a reportable event
5 to the database. The only disadvantage of that is if the
6 patient is cured, that wouldn't be an adverse event. And,
7 therefore, I'm not sure how to gather that information.

8 Personally, I think if we get into this discussion
9 of how to pay for this, we're going to get absolutely
10 nowhere, because I'm sure that we're not going to figure it
11 out, who's going to pay for it.

12 CHAIRMAN SALOMON: I think the--that's fair, and I
13 think that's what I was resisting earlier in the day. I
14 don't want to get into who's going to pay for it. But what
15 I was putting on the table for discussion--and we are
16 discussing it--is what this long-term follow-up will
17 constitute. Is this going to be something that each
18 individual protocol will devolve back to the sponsors? And
19 I think we've heard multiple lines of reasoning why that's
20 not a good long-term strategy. And, therefore, I think what
21 we need to consider if we're advising the NIH and the FDA on
22 what long-term follow-up should be, I guess my point then,
23 looking for feedback, is that we need to change the
24 paradigm. It needs to move from sponsor/investigator-
25 oriented long-term follow-up to more of a follow-up such as-

1 -again, I exemplify by UNOS as a long-term follow-up of
2 anyone who gets a bone--gets gene therapy trial. And that
3 follow-up is the responsibility of some government or a
4 group of governmental agencies.

5 DR. SIEGEL: Of course, these are very different
6 situations here. We're talking about products under
7 commercial development. In UNOS we're not. UNOS has
8 certain enforcement--not enforcement powers, but obviously
9 there's strong incentives to be a player with UNOS.

10 But, you know, I would like to terminate this
11 discussion, not because I don't think it's important but
12 because I firmly believe that there's a fundamental
13 presumption in current policy that if a sponsor sponsors and
14 proposes a clinical trial, that they are responsible for
15 collecting the safety data. We can discuss the merits and
16 the efficiencies of having a government essentially
17 subsidize that and do that other ways, and, in fact, there's
18 a lot of reasons why that's a good idea. And I think
19 there's a lot of discussion as to how best to do that.

20 But I think that what we have to--we have to
21 address now what information to collect, how to collect it,
22 under the assumption that until something else exists, it's
23 going to be collected by the sponsor. And while further
24 discussions need to be--need to occur about what else should
25 exist, I think that in the interest of time and the issues

mc

1 we need to face immediately, I'd like to focus more on where
2 we're going at the present time.

3 CHAIRMAN SALOMON: I guess my response--yeah, my
4 response, Jay, is fine, but you guys started this off with a
5 litany of reasons why sponsors are having trouble complying,
6 investigators moving, companies going out of business, cost
7 of a database, grants that only last five years; and yet
8 when we tell you that, yes, maybe the response to what you
9 introduced as the issues was to change the paradigm, your
10 response is, well, that's not what we're here to talk about.
11 So I'm just putting it in context.

12 Dr. Anderson--

13 DR. SIEGEL: That's right and that's fair,
14 although I would note that those issues are on the table for
15 any of a number of reasons. It's important for the--if you
16 look at the last part of Question 3, the issue is, okay,
17 given all of those factors, given the fact that we know that
18 even under the best paradigm we're not going to collect this
19 information, should we just--is it unsafe to continue the
20 research? Because one can assume that if you can't collect
21 on 100 percent of patients, you shouldn't do the research,
22 or maybe the goal is to collect as much as possible and get
23 a database, and maybe if you get 50 percent or 70 percent,
24 it's every bit as good a database as 100 percent, in which
25 case--and maybe you can improve that with techniques such as

1 postcards, in which case, you know, it isn't--or it isn't so
2 critical an issue or maybe the risks are smaller.

3 So there are many issues on the table, but--and
4 that's one--the one you keep coming back to is one of them,
5 but it's just not one we're going to solve today, so I'd
6 rather focus on those that we are going to try to solve,
7 although I don't think--having said that, I should qualify
8 that and say I'm not suspecting that we're going to solve
9 this issue today. What I see as a way we're probably going
10 to move forward is integrating your advice to try to develop
11 a somewhat more specific current approach and return perhaps
12 to the RAC or to this committee, either way, supplemented
13 with others, for further discussion and further input on
14 that, and evolve as we move along.

15 CHAIRMAN SALOMON: Okay. So let's accept that,
16 you know, guidance from--

17 DR. CHAMPLIN: I have one quick comment. There
18 are sort of two levels of questions. There's one product-
19 related. Is the individual product safe or unsafe? And
20 then there's the generic. Is gene therapy safe or unsafe?
21 And a much broader scale where it's not one company's
22 responsibility but more of a societal or professional
23 responsibility of the field.

24 And so a sort of tandem approach of the company
25 having intense follow-up for maybe some period of time like

1 five years, and then some registry function that would look
2 at long-term generic risks, maybe picking up after five
3 years of follow-up for individual patients.

4 DR. SAUSVILLE: Yeah, I would echo that, because
5 even with the way the reporting requirements are constructed
6 for drugs, I mean, you report adverse events that are
7 expected at one level or another, or unexpected. You sort
8 of divide the world into that. Long term is sort of beyond
9 unexpected. You're by definition sort of collecting things
10 in an observational sort of way that are unexpected to the
11 second power, but, nonetheless, by the nature of this
12 therapy is called for, I think, at some level. And that's
13 the--that's what I'm seeing as a tension between what is
14 reasonably expected of a sponsor and might then might
15 devolve to somebody else.

16 CHAIRMAN SALOMON: French and then Amy.

17 DR. ANDERSON: I wanted to add one other thing on
18 the record. Several times you folks have talked about the
19 scientists in the field, investigators in the field. Well,
20 what about the scientists and investigators in gene therapy?
21 And that is the American Society for Gene Therapy.

22 Since I'm Chairman of the Government Affairs
23 Committee and this is a government affair, I suppose I can
24 speak for the society, and Xandra is also here and there are
25 other people here.

1 We have discussed at the board of directors for
2 the society this very issue a number of times, and the
3 American Society for Gene Therapy is absolutely in favor of
4 long-term follow-up. It's absolutely in favor of a
5 database. It will cooperate in every way possible. But we
6 have no way to do it ourselves.

7 And so I just wanted to put on the record that the
8 society of investigators in this field is very supportive
9 and will cooperate in every way possible, but we can't do
10 it.

11 DR. PATTERSON: I was wondering if it might be
12 helpful to FDA if the discussion focused a bit on
13 distinguishing between active surveillance and passive
14 surveillance, and began to break out, for instance, for
15 integrating or replication-competent vector systems what
16 type of active surveillance would be appropriate during the
17 first five years, and then to what extent and how would the
18 passive surveillance in the subsequent 20 years be done,
19 what types of questions.

20 CHAIRMAN SALOMON: So, I mean, let's take that.
21 So we all agree that there's a very active surveillance for
22 the first year that we're not going to get into. So let's
23 talk about what we think is the level of surveillance for a
24 vector that--for a gene therapy trial that fulfills the
25 principles we articulated in the first part of the

1 discussion, from year one to year five. What should be the
2 responsibility of the sponsors, Jay, during that four-year
3 period?

4 DR. NOGUCHI: With respect, I'm not sure that that
5 discussion is necessarily the focus here. We do have on the
6 record for retroviruses what we expect. It's active for the
7 first year and yearly thereafter. It could be up to five
8 years. I'm concerned that without a lot of preparatory
9 work, I wouldn't want to raise the bar higher without a lot
10 of discussion and more surveillance of what would be the
11 scientific issues. In some of these areas we simply don't
12 know. Herpes, we only have two protocols ongoing.

13 So I would just say while I appreciate Amy's
14 concern there, I think that the level of the active
15 surveillance is not something we--I don't think we want to
16 really go there here. We are concerned about the more
17 general long-term follow-up.

18 CHAIRMAN SALOMON: Okay. So help me here. Now
19 I'm a little off. What is it--I mean, one thing I'm
20 thinking of is that we basically are done. We've answered
21 your questions. I think that there is very little sympathy
22 from the committee for anything more than after the first
23 year, I think, than passive follow-up. Passive, maybe
24 that's not the right word, actually. I don't like that
25 word. Follow-up that would require, you know, sort of a

1 series of epidemiological questions being answered and
2 recorded in a database. That's what I think everybody's
3 been very clear about today.

4 DR. SIEGEL: Actually, I heard some committee
5 members suggest a more active follow-up, and I thought you
6 were going there, through up to five years may be
7 appropriate, depending on the protocol, in terms of--

8 CHAIRMAN SALOMON: Well, I was going there.

9 DR. BREAKFIELD: That's kind of the consensus I
10 got, that maybe for five years of active follow-up and then
11 have some kind of more passive follow-up after that. I
12 would say--I'm not sure--and I think the FDA has really
13 dealt with sort of integrating vector in the context of
14 retrovirus vectors, but I'm not sure we've really dealt with
15 replication-competent vectors and whether we're really even
16 now monitoring shedding well enough over that--potential
17 shedding over that period, because you can't in an animal
18 model, given the tropisms of these viruses, ever know in an
19 animal model what that virus is going to do in the human
20 body. You have to accumulate that data. I mean, hopefully
21 it will be negative, but there's no other way to get the
22 data.

23 DR. SIEGEL: Shedding of which virus? I missed
24 that.

25 DR. BREAKFIELD: For instance, if you take a

1 replication-competent virus like an adenovirus that now has
2 an altered surface marker that potentially will change its
3 tropism or has now one of its essential genes under a
4 promoter that's for a particular, you know, human tissue,
5 you just don't know what it's going to do. You don't know
6 whether it's going to replicate. You don't know whether,
7 you know, it's going to find a little niche where it's a
8 little bit immune isolated and now it can replicate and be
9 passed. So I think we need to find that out.

10 DR. SIEGEL: Is there a specific concern, though,
11 if such a virus were not replicating or not being shed at
12 six months, a year, two years, that it might be at five
13 years other than for, say, a herpesvirus?

14 DR. BREAKFIELD: Well, I think that--I mean,
15 basically you would decrease the frequency of monitoring,
16 but there is the chance of kind of like a smoldering
17 infection that it might at some point--because as the virus
18 keeps replicating, it keeps accumulating mutations and it
19 changes its properties, so there's a chance that you would
20 have a very low level and then suddenly, boom, something new
21 would pop out from that reservoir of actively replicating
22 virus in a different tissue.

23 DR. GORDON: Yeah, I'd highlight the word
24 observational more than passive, if you're looking for a
25 word. But, again, one has to look at the practicality of

1 follow-up after a year and the active sort of follow-up.
2 That is, are we going to draw fluids from the person? Are
3 we going to biopsy the person or even bring them in for a
4 physical exam? And I appreciate what Xandra is saying, but
5 I think one of the messages I take from that is that we
6 really don't know what to expect. It's very difficult from
7 my point of view for us to devise a monitoring protocol that
8 will cover us for everything that we don't expect. I mean,
9 we have to--

10 CHAIRMAN SALOMON: If you take that, then I think
11 one of the things that I'm trying to anticipate where Phil
12 wanted us to go is over the next--from year one to year
13 five, if we don't know the answer to your question, then
14 maybe one of the things we ought to expect is a yearly
15 physical and archiving of blood and/or appropriate tissues,
16 depending on the type of protocol.

17 DR. GORDON: Right. I'm just saying that I feel a
18 little bit--while I'd like to see that happen, I feel a
19 little bit less zealous about it than perhaps you do.

20 DR. BREAKEYFIELD: Well, there are certain
21 standards for monitoring viral shedding. You know, I
22 wouldn't say we have to invent anything. We just go to
23 standard, you know, monitors of viral shedding, which can
24 occur in different body fluids, which aren't that difficult
25 to obtain, even.

1 CHAIRMAN SALOMON: I think that the issue here for
2 me is that I think what we need to advise the FDA on in
3 terms of the committee's opinion is how--you know, during
4 this period of time, from year one to year five, how much
5 should be demanded of the sponsor within reason. And those
6 are--this part of the discussion avoids a lot of the issues,
7 you know, of what happens at seven and ten years when grants
8 run out, et cetera, et cetera.

9 So within five years, I would put on the table
10 that it's very appropriate given that this is brand-new
11 therapy, that there are many things that we don't know. You
12 pointed out viral shedding. We've pointed out the
13 possibility of integration events, reactivation of viruses
14 that might be latent, unusual clinical events, antibodies
15 that might develop, so-called inhibitors, et cetera, all of
16 these things.

17 I can't imagine any reasonable gene therapy
18 protocol that isn't going to accept the onus of at least
19 yearly contact with the patient via the PI or one of his or
20 her designees. And at that point, you know--so that I think
21 is--that's what I'm putting on the table that we should
22 expect of any sponsor, in that five years, at least yearly
23 interaction with the patient and archiving of appropriate
24 tissues, and that would be appropriate to the vector. I
25 mean, if there's an issue of shedding, then you do saliva or

1 vaginal secretions or whatever is appropriate.

2 So I'm putting that on the table for--

3 DR. GORDON: What I'm trying to say is that this
4 all sounds fine to me, but to me, five years is an arbitrary
5 amount of time. I mean, we don't know that after five years
6 we don't need to worry about it, and I don't think we should
7 here be suggesting that we stop at five years because grants
8 typically run five years. I mean, this is a medical/bio-
9 logical question. It's not an administrative question.

10 CHAIRMAN SALOMON: We accepted the onus early on
11 of being practical, so I don't buy that that we can't put a
12 five-year thing on it. Secondly, you got to have some time
13 frame. The public doesn't want to hear that we discussed it
14 and we said, well, just sort of we'd follow them but we're
15 not really sure how long. I mean, you've got to say
16 something, and it will all be arbitrary, we agree, right?
17 But--

18 DR. SAUSVILLE: And, clearly, if new data emerges
19 in the five years to sort of influence your thinking, you
20 might extend it. I mean, you know--but, clearly if we don't
21 see anything at the end of five years, it's hard to make the
22 case that you should build a superstructure or require
23 sponsors to look beyond that, I think.

24 DR. GORDON: Well, I guess what I'm trying to say
25 is that I could make an argument for a year in the sense

1 that if something occurred in the patient that could be
2 defined as an adverse event, it would immediately be very
3 thoroughly characterized, and that would be a more sensitive
4 way of finding them than looking at random, searching the
5 innocent to find the guilty, as it were. But, I mean, it's
6 obviously, as you say, arbitrary. I mean, I have no logical
7 reason for objecting to five years.

8 CHAIRMAN SALOMON: Make it three years. I mean,
9 you know...

10 DR. MILLER: Well, then in your protocol you
11 justify why it's not, and if the protocol then goes through-
12 -I mean, you--I mean, this should be the standard for which
13 it's a reasonable assumption, but that if you want to
14 diverge from that assumption, you just have to justify why
15 your protocol doesn't require that, and if you provide
16 enough information that the FDA will say yes, you can go
17 ahead and push that protocol through, your IND is accepted,
18 then you follow--as long as you follow what's written in
19 your protocol, or at least attempt to--I mean, one of the
20 things about this is that if then you can--sponsors can go
21 back and put in their patients' consent forms. The
22 expectation is that you're supposed to be followed for five
23 years. The patients are told up front.

24 Now, they can, just like with anything else,
25 decide two years down the road that they hate Baltimore and

1 never want to come back and see us again. But everybody who
2 comes to transplant is told up front, at least in our
3 center, that the expectation included in our consent form
4 that they're followed up for five years, but, you know--and
5 I think that's something that we--that should be strived
6 for, and there's some guidance from that standpoint. But
7 the patient can always refuse, but, you know, at least
8 you're striving for that.

9 CHAIRMAN SALOMON: We also have plenty of examples
10 where we follow patients that have left our transplant
11 program, and you call the nephrologist up and, you know,
12 (?), and you tell him, you know, this is what I want to
13 know, what's the renal function, you know, how are they
14 doing, do they have a bunch of skin cancers. So even then I
15 think if the responsibility is in a finite period of time, a
16 five-year period, and that's demanded of the investigator, I
17 think that those are things that could be reasonably and
18 practically dealt with.

19 Now, that doesn't deal with the fact that two
20 years after the protocol's over the PI leaves, but, I mean,
21 I can't solve everything.

22 DR. SIEGEL: Are you suggesting for this sort of
23 approach for five years of clinical follow-up for all gene
24 therapy protocols or based on some of the factors that was
25 discussed before?

1 CHAIRMAN SALOMON: I was just putting something on
2 the table so it could be commented on, but I guess what I'm
3 saying is, yes, and then as--I think taking Carole's point
4 of view, that if a specific investigator has an argument
5 that's compelling to the FDA's review group, that they only
6 need a year follow-up or three years follow-up, then you
7 should have the latitude to do that for a given study, but
8 at least it gives you some sort of general guideline.

9 French?

10 DR. ANDERSON: This is one of the few things I
11 disagree with. In principle, Carole, of course, the
12 investigator can argue only to go three years, but the
13 reality, that's not going to happen. IRBs are not going to
14 look kindly if the FDA and everybody else expect it to go
15 five years, and you say, "Well, I only want to go three
16 years." The lawyers and the institution are not going to
17 let you do it. I mean, you're going to have to do it.

18 So our job is to struggle exactly like we're doing
19 and to say one year, absolutely, no question; five years,
20 after five years, I think it's pretty clear now, postcards.

21 Now, what is the category between one and five
22 years? It requires more intense. And we were starting to
23 get at that, replicating, integrating and so on, and I think
24 we've got to come out--you know, we've got to bite the
25 bullet and do it and provide it, so that when, say, if

1 you're talking about a plasmid, you don't have to have as
2 intense from the one to five years.

3 CHAIRMAN SALOMON: As I was arguing then, we use
4 the principles we articulated earlier. If you fulfill those
5 principles, you got five years of follow-up. If you don't
6 and it's gray, you know, then you make your argument for how
7 long the follow-up is.

8 DR. ANDERSON: But I think the issue is from the
9 one to five years, maybe for something which, you know, an
10 irradiated dendritic cell, which has a plasmid in it--I
11 mean, ex vivo--that instead of a intense for five years,
12 that could be an intense for three years. But if there's
13 some way we can give the FDA advice on that.

14 DR. SAUSVILLE: That gets back to tailoring it to
15 the expected biology of the issue, and that's something that
16 really is going to have to be judged on a case-by-case
17 basis, but I think the general principles that we've
18 articulated, I mean, as you say, would pass the test being
19 applied to most things that you could conceive of.

20 DR. SIEGEL: I think that this has been an
21 extremely useful discussion, notwithstanding some people's
22 feeling, perhaps, that we haven't gotten anywhere. I think
23 we have a--there's a general sense that, I think this is an
24 important notion, the notion of dividing long-term follow-up
25 into that which needs to be accomplished with direct patient

1 contact and that which can be accomplished through less
2 direct--through postcards and phone calls, and that
3 hopefully--and that latter can in fact--can and should be
4 focused not on anything that might happen to the patient, so
5 that everyone who gets a heart attack when they turn 65 is
6 going to be in the database, but should focus on those
7 issues that are of scientific concern, as I've said many--
8 heard many say.

9 And that both the intense personal--direct
10 contact, as well as the follow-up, be triggered by
11 scientific issues, and I think we can work with that to
12 devise a scheme, which as I said, that we will undoubtedly
13 want to--won't be able to devise a highly specific scheme,
14 because, you know, there's new vectors and new issues
15 arising all the time, and I think it would be inappropriate
16 to try to apply rules to fluid concepts, but propose some
17 general approaches that we can then come back, as I said,
18 here, and/or RAC for further discussion, make sure we're in
19 the right direction.

20 CHAIRMAN SALOMON: I think as a general principle
21 from what, at least trying to summarize what the Committee's
22 told you this morning, is that we've tried to be as
23 pragmatic as possible, yet everyone around the table still
24 sees a number of practical issues that have not been solved
25 here yet, right? And I don't think we need to go back over

1 those again, and that's, of course, the problem that we
2 can't solve this morning.

3 There is an issue of monies, but at the moment, if
4 you at least can define things as reasonably as we have,
5 then you could potentially incorporate those sort of costs
6 into NIH grant funding, and certainly the sponsors can deal
7 with that. I think when you start talking about these
8 numbers that got thrown around this morning, where it's
9 \$500,000 a year to do the database follow-up, that makes me
10 want to get out of my research job and take a database job.

11 [Laughter.]

12 CHAIRMAN SALOMON: I don't know how many--I can't
13 get my head around why it would cost that much. And I think
14 we have to just be--I think that maybe part of the issues
15 that the FDA has to deal with--and I don't think that's for
16 the Committee--but I think that one of the sense of the
17 community is that if you make the reporting so onerous that
18 it costs \$500,000 a year to do follow-up, then really,
19 you've not been responsible to the field either, and I think
20 that's something you want to make sure that you're being as
21 practical as we're trying to be.

22 Lastly, I think it's really clear that the
23 Committee is assuming that after five years, that there will
24 be some form of long-term follow-up, and as I now would
25 personally go back to making sure it remains on the record,

1 that I think that long-term follow-up from five years on is
2 societal and not as much any longer the sponsor's
3 responsibility. And I say that because I don't think it's
4 that practical after five years. But you made your point,
5 that also conflicts with a basic principle of the FDA's,
6 holding the sponsors responsible.

7 DR. SIEGEL: I think I agree with what you said.
8 I would add, so there's no confusion, as you and others have
9 used the word "pragmatism" here, that it shouldn't be fully
10 misconstrued. It means two things. There's the issue that
11 French raised, that at some point you get--you have to draw
12 a line somewhere on the curve, and there is some
13 information--there's always some information which you could
14 get for additional expenditure which is of some value, but
15 of so little value that it's just not the right place to put
16 your resources. And that's a point well taken, and it's
17 true.

18 But I do want to highlight, before we leave this,
19 as we talk about pragmatism in this context, there's another
20 issue which I raised which Dr. O'Fallon mentioned, which is
21 that, you know, if you go for--well, what our experience has
22 showed us and what we know, and what epidemiologists know is
23 that if you go for everything you want, you can wind up with
24 nothing. You wind up--you know, every time somebody gets a
25 cold, you get so much into a database at such a low

1 reliability level, that you just can't tell anything useful.
2 Whereas if you target what you want in a reasonable way of
3 where you think the most likely information is and a
4 reasonable way of getting it, then you stand a much better
5 chance of getting the type of information that you need.

6 CHAIRMAN SALOMON: And my point is exactly, and
7 that's why I was saying that the FDA then has to deal with
8 the fact that its reporting requirements are not so onerous
9 that it costs a half a million dollars a year to follow ten
10 patients--100 patients. Still, I'll still take that job.

11 [Laughter.]

12 DR. GORDON: I wanted to make one additional,
13 small seconding of Dr. Miller's comments in the context of
14 pragmatism. And I think one of the things we want to do in
15 follow-up is, of course, forestall adverse events and
16 protect people, as well as the general public, and of
17 course, that's what we want to do. It's not possible to
18 completely cover every base there. I would disagree that we
19 cannot survive another death in gene therapy. I would say
20 that we not only can, but we will survive another death in
21 gene therapy if there is one, because of the imperative of
22 the therapy. We're dealing with people who have nowhere
23 else to turn, and we're dealing with potentially
24 tremendously powerful technology for even better treatments
25 of diseases for which there already is an approach of one

1 sort or another.

2 Therefore, I think worked into this notion of
3 pragmatism in follow-up, must be the notion that we
4 understand that we can't get every piece of information that
5 in retrospect we feel that we should have had, that there's
6 going to be--the system isn't going to be perfect, but that
7 there is a great imperative for proceeding.

8 DR. SIEGEL: I'm assuming that that answers our
9 final question for this session, the concerns regarding
10 long-term safety of integrating vectors sufficient to
11 warrant stopping such research until these issues can be
12 better addressed. But there's not a sentiment here that
13 until we have a better mechanism implemented or whatever,
14 that we stop this research.

15 CHAIRMAN SALOMON: I think it's disappointing that
16 we don't have a--I think that, speaking for myself, I think
17 it's disappointing that we don't have a better mechanism in
18 place after over ten years of this, but I don't think that's
19 an issue in terms of going forward. I think the imperative
20 I agree with is to go forward.

21 DR. SIEGEL: I would just like to comment on that
22 though, that I think--I think it was Dr. Champlin made a
23 very important remark, which is there are the risks of a
24 specific therapy and the risks of a class of therapy. And
25 databases are most useful for risks of a class of therapy,

1 because the IND process is well designed for the risk of an
2 individual therapy, and I think--I would say, first of all,
3 if you look at the experience of the last ten years, there's
4 barely emerging a class of therapies, in that there just
5 haven't been more than a handful of trials with anything
6 that could be classed together as a class of therapies, and
7 I believe that--and I firmly believe that our systems in the
8 FDA of having reviewers who are working with related
9 therapies discuss things and share ideas and share
10 information, given the size of what we've seen and the
11 number of protocols in any one type of vector or one type of
12 class of therapy, have been reasonably functional to
13 accomplish that, although one might hope and project that
14 growth in this field would be such that that may change.

15 CHAIRMAN SALOMON: I'd like to bring the morning
16 session to a close. I think all of this served well to
17 summarize things, but before we absolutely close, I want to
18 ask is there anyone else on the Committee or at the table
19 who would feel that we haven't adequately summarized it or
20 they have an additional comment? I think these are very
21 important issues, and I think we got consensus on a number
22 of them, but I think there's still some issues out there.
23 So any other comments? I don't see anyone jumping. Amy,
24 specifically? Phil, did you get what you wanted out of
25 that? Carole? Okay.

1 It's almost 11:25. Can we take a 15-minute break,
2 and then we'll be back and start the second session. Thank
3 you.

4 [Recess.]

5 CHAIRMAN SALOMON: I apologize for being a little
6 bit later. I got to talking to Dr. Siegel and Noguchi about
7 some of the controversial issues and didn't check out, so I
8 apologize to everybody.

9 So this is the last session, Session IV of the
10 meeting. And these now carry over into one aspect of long-
11 term follow-up, and that's the issue of germline
12 transmission. So just in the interest of time, I'd like to
13 get right on with it. So what I'm going to try and do is
14 finish at 1:30, which is an hour and a half from now. So if
15 we can maybe make the presentations quick and to the point,
16 and then we'll get into the discussion, and that way
17 everybody gets what they want.

18 So, Mercedes Serabian is going to give the FDA
19 introduction.

20 MS. SERABIAN: My talk is kind of a lead-in, if
21 you will, to the next talk after mine, which is specifically
22 regarding germline transmission of a product that Chiron
23 has.

24 What I want to do is present a brief overview of
25 basically at this point CBER's recommendations for current

1 performance of preclinical biodistribution studies.

2 Okay. Basically, first, what is a biodistribution
3 study? They're preclinical animal studies that are designed
4 to determine the distribution of vector to sites others than
5 the intended therapeutic site.

6 So, obviously, then you want to know what are the
7 goals of the study? What are you trying to look for? What
8 are you trying to achieve? Well, first of all, there's
9 mainly two issues. First there's evaluation of the
10 potential dissemination of a vector to the germline, and
11 that's obviously the assay of gonadal tissue. Second is the
12 evaluation of potential distribution of the vector to non-
13 target tissues, and this can be gained from information such
14 as potential target organs for toxicity studies. And it's
15 important to try to determine the kinetics of the vector
16 transduction and persistence in these studies.

17 Okay. Now, one way to address these goals is
18 obviously by assaying the PCR--excuse me--DNA-PCR. And both
19 issues can be addressed in the same preclinical study as we
20 discussed yesterday, either using normal intact animals or
21 even possibly the use of animal models of disease. And
22 that's an important point I think.

23 The March 12, 1999 RAC meeting centered--one of
24 the discussion points centered around the issue of gonadal
25 distribution. Basically one of the conclusions they came to

1 at that meeting was that the risk of foreign gene transfer
2 to germ cells on future progeny was perceived to be low, and
3 this was the acceptance by those present in context of
4 somatic cell gene therapies.

5 And again, some of the results from this meeting
6 is that evaluation of biodistribution to the gonads--and
7 this is important--may not be needed prior to all Phase I
8 clinical trials. The consent form in this case then should
9 address the issue whether there's a lack of data in that you
10 have not done preclinical studies yet, or the unknown risk,
11 which is always the case, I think.

12 What I did--and I divided this into two slides,
13 because it was a bit on one--but this is a sample informed
14 consent form. And again, I stress the word "sample", just
15 as an idea as to what would go into an informed consent
16 form.

17 "Risks associated with treatment in this study
18 could cause permanent genetic changes in some of your sperm
19 (men) or eggs (women). These changes could be neutral or
20 may eventually cause abnormalities. Some of these changes
21 could lead to miscarriage or abnormalities in your future
22 children. Other changes may have no apparent effects but
23 could still be passed on to future generations."

24 And to continue with that, "The likelihood of such
25 outcomes is currently unknown." And there are certain

1 scenarios you could put in there, such as: "Studies to
2 estimate the likelihood of such effects have not been done
3 in animals or humans."

4 "Some studies (animals/humans) have been
5 performed, but the information available does not allow
6 estimation of the likelihood of such effects."

7 Or "Conclusive data regarding these potential
8 effects in animals and humans are not yet available."

9 So, obviously, then one question that comes up is
10 when can you postpone performing these biodistribution
11 studies? Several scenarios that one could think of would
12 be, first of all, if you have a previously defined vector,
13 which would be previous experience with a similar vector,
14 similar route of administration, formulation and schedule.
15 One example give here--and there's many others--is adeno
16 type 5 vectors.

17 Another thing would be if a transgene product is
18 innocuous if expressed ectopically, or if the size of the
19 vector is not excessively different.

20 And when in some cases do you need to perform
21 biodistribution studies prior to Phase I trials? New class
22 of vector, a vector that we've had little or no experience
23 with--and again, these are just some examples, and this will
24 change, obviously, as time goes on: AAV, lentivirus and
25 other vectors; there's a change in the formulation such as

1 the lipid carrier; if there's a change to intentional
2 systemic route of administration with an established vector,
3 or a change in the route of administration; if the transgene
4 has the potential to induce toxicity if it's aberrantly
5 expressed in non-target organs.

6 And this is, again, I stress the word, sample. A
7 regulatory letter that maybe would go out for studies that
8 have not performed biodistribution in animals at this point
9 would say something like: "The present submission does not
10 contain data that demonstrate the extent to which this
11 vector is able to disseminate out of the injection site and
12 distribute to gonadal tissues. These data are necessary to
13 determine the risk of inadvertent gene transfer to the germ
14 cells, which may result in genetic changes in subsequent
15 progeny."

16 I apologize for the small print, but I was just
17 trying to get it on a slide.

18 "In the course of development of your product, you
19 will be required to obtain these data and provide them to
20 the Agency for review and comment. Data may be obtained
21 either from biodistribution studies in animals, analysis of
22 clinical samples, or from a combination of preclinical and
23 clinical sample analyses. Clinical data should be derived
24 from peripheral blood cells and semen samples during the
25 treatment and follow-up periods for the clinical trial, and

1 from gonadal tissues (primarily ova) obtained at autopsy
2 from consenting patients. We will require that these data
3 be provided in a timely fashion so that the results may be
4 used to guide further development and optimization of your
5 product as a therapeutic agent."

6 Last but not least, "Please update the Agency on
7 the status of these studies at the time of each annual
8 report."

9 Well, so now the next question is, okay, we're
10 talking about biodistribution studies. Well, how do you
11 design a study, what do you do? What needs to be entailed
12 in the study?

13 Well, first of all--and we heard discussion
14 yesterday--species selection is important, and notice I
15 stress here that non-human primates is not always needed.
16 The next thing, animal gender. Could be male and/or female,
17 and this would reflect a patient population, such as
18 hemophilia, for example. Animal numbers are a crucial
19 point, and I state here 3 to 5 per sex per group minimum,
20 definitely minimum. Use of smaller animals such as rodents,
21 obviously, allows for inclusion of larger numbers.

22 Dose selection, important issue. You're going to
23 include the appropriate controls. You're going to include a
24 maximally feasible and clinically relevant dose level. You
25 want to be able to establish, to maximize exposure, and then

1 you're going to do a lower dose to establish a no-effect
2 level, no observable adverse effect level, which we went
3 over yesterday what that meant. I'm not going to get into
4 that. For vector presence in the target tissue, so you want
5 to see a dose response basically.

6 And then ROA or route of administration for short.
7 And, obviously, this should mimic the intended clinical
8 route of administration to the greatest extent possible.
9 And it's important to note that worse-case scenario may not
10 adequately represent the risk. It's your intended clinical
11 route which is of primary importance.

12 When do you kill these animals? When do you
13 sacrifice them? When do you sample tissues? Well, there's
14 certain time points that we suggest in order to
15 appropriately evaluate the kinetics of the vector
16 persistence, as well as the transduction peak. Early, at
17 the time of peak vector transduction or expression, and
18 again, it depends on your particular vector. Later, to be
19 determined by intended clinical route, and even later still,
20 in order to determine the clearance of signal from the
21 gonads and the non-target organs.

22 So you kill these animals. Well, you need to
23 sample them. What tissues do you take? Well, this is a
24 recommended list. It's by no means all-inclusive. It's
25 just strictly a minimal recommended list. Initially,

1 peripheral blood, gonads, injection site, at a minimum need
2 to be obtained. Highly-perfused organs for determination of
3 toxicity. Some of them I've listed here: brain, liver,
4 lung, kidneys, heart, spleen, et cetera, okay, major organs.

5 Then there's other tissues, and that's based on
6 the toxicology or pathology of your transgene. For example,
7 interferon, bone marrow. You know, you need to know your
8 product. And it could be based on the route of
9 administration. If you're giving sub-cu or IM, you could be
10 taking additional tissues, so it's important.

11 Okay. I'm not going into specifics on the
12 detection assay, other than just to say that the methodology
13 should detect a sequence of vector that's unique to that
14 product, and that the methodology should be appropriate to
15 adequately detect vector sequence.

16 And what's important is that tissue samples from
17 preclinical animal studies--you should also have, obviously,
18 a methodology that works for clinical samples during--that
19 you obtain during the clinical trial.

20 And on the NIH website, at the RAC discussion on
21 March 12, 1999, Dr. Steve Bauer, who spoke yesterday, went
22 through a bit of detail as to recommended methodology for
23 PCR assays, such as sensitivity, spiking, et cetera. So I'm
24 not going to go into detail on that, because it's out there,
25 and you can also--he nicely volunteered that he is

1 physically here, so if you've got specific questions, you
2 can ask him.

3 Okay. So briefly then, in summary,
4 biodistribution studies are designed to evaluate vector
5 dissemination out of the injected site in both gonadal and
6 other non-target tissues. The current method right now is
7 DNA-PCR analysis. And these studies are not always required
8 prior to initiation of Phase I trials. It depends on
9 previously defined vectors, the clinical context, and
10 obviously, you know, your data will be required during the
11 course of product development.

12 And last but not least, I found this slide, and I
13 thought it was appropriate for the lead-in for the next
14 study. It says, "Hey, was I supposed to wear a tie?" So
15 you always have that one that you're worried about.

16 [Laughter.]

17 MS. SERABIAN: So I guess we just continue on then
18 with the next presentation to keep moving, Debbie. Okay.

19 CHAIRMAN SALOMON: The next speaker is Dr. Deborah
20 Hurst from Chiron. She's going to talk about the use of
21 germline transduction after direct injection of retroviral
22 vectors. And again, as yesterday, we--I know I speak for the
23 FDA in appreciating that willingness of sponsors to step
24 forward with real data, because it's very important, and to
25 share it with us.

1 And I also point out that I will follow the same
2 guidelines as yesterday, that once the discussion gets
3 started, your specific protocol is not, you know, going to
4 necessarily be on target.

5 DR. HURST: Okay. I'm grateful to hear that.

6 You've already introduced me. I'm Deborah Hurst.
7 I'm director of clinical development at Chiron, Corporation.
8 I'm in charge of the Phase I retroviral vector trial in
9 hemophilia. I'd like to thank the FDA for inviting us to
10 present our data on yet another difficult issue facing this
11 Committee.

12 I'm going to first very briefly review Chiron's
13 history of retroviral vectors in the clinic and the
14 rationale for the current use of retroviral vector for the
15 hemophilia indication, then review our preclinical data on
16 germline transduction that was used to support the
17 progression to the Phase I study in the clinic, then present
18 data from the clinical trial, which is ongoing, and finally,
19 a proposal for follow-up based on our protocol.

20 Chiron began using retroviral vectors in the
21 clinic in 1993, first by ex vivo transduction techniques for
22 cancer, and then in 1994, moving to direct tissue injection,
23 intratumor or IM injections for cancer and immunotherapy for
24 HIV. A total of 250 subjects received this direct injection
25 of vector, and this formed basically the safety basis for

1 proceeding to an intravenous vector for the current
2 hemophilia study.

3 Now, patients who received repeated IM injections
4 over a period of up to 18 months, also had semen testing on
5 a previous protocol. 104 subjects actually were tested by a
6 PCR assay with single-copy sensitivity, and all samples were
7 negative in this past study.

8 Moving now to hemophilia, the choice of the
9 retroviral vector was based on the well-known property of
10 stable integration with a potential for long-term expression
11 of the expressed protein, and in addition, retroviral
12 vector's excellent safety record in the clinic since 1990 in
13 over 1,000 patients. In addition, transduction with the
14 Chiron retroviral vector for Factor VIII resulted in
15 therapeutic Factor VIII levels measured in preclinical
16 models. So even though transduction rates can be low with
17 retroviral vector, in hemophilia, of course, even a 2 to 5
18 percent increase in protein expression can make a tremendous
19 difference in a clinical course, and so this vector was
20 successful in the preclinical models in showing efficacy.

21 Finally, the decision to go with intravenous
22 delivery was based, of course, on the fact that this was
23 non-invasive. Basically, it's administered through a 25-
24 gauge needle in a hand vein, so it's no more invasive than a
25 patient's regular Factor VIII concentrate infusions, and

1 this avoids the increased risk and cost of surgical
2 procedures in this patient population, as well as avoids
3 possible risk related to delivering high concentrations of
4 vector particles directly to the liver in a population that
5 has underlying liver dysfunction.

6 Finally, Factor VIII can be expressed in multiple
7 tissues, so delivery via a peripheral IV injection, which of
8 course may go anywhere in the body, may be appropriate for
9 Factor VIII. We know that because this is a secreted
10 protein, which is unstable unless it binds to von
11 Willebrand's factor in the blood, that any cell expressing
12 Factor VIII needs to have direct access to the bloodstream.

13 The retroviral vector Chiron developed was derived
14 from a Moloney Murine leukemia virus that was amphotropic
15 and replication deficient, and carries the gene for a B-
16 domain deleted form of Human Factor VIII. There were two
17 novel features of this vector. It was produced in a human
18 cell line to render it human complement-resistant, and
19 prolong the half life in circulation. And also, it was
20 manufactured at a high titer, 10^8 to 10^9 transduction units
21 per ml, so that a large number of particles could be
22 delivered in a relatively small volume of IV infusion. In
23 fact, the volumes have ranged from 23 mls to 40 mls in the
24 doses given to date in the clinic.

25 Now, any systemic administration of retroviral

1 vector, of course, raises the question of--and the concern
2 about biolocalization, so extensive preclinical studies were
3 carried out in 122 rabbits and 4 hemophilic dogs that had
4 received intravenous vector. Tissue samples were obtained
5 at various time points after infusion, early time points to
6 get--at peak transduction and later time points for steady
7 state. And a PCR assay was used, which was validated for
8 single-copy sensitivity. Multiple replicates were tested, 1
9 microgram per DNA per replicate, the equivalent of 150,000
10 diploid genome equivalents per replicate. So the
11 sensitivity was basically the detection of 1 cell out of
12 150,000.

13 And for frequency analysis of PCR signals
14 standards, statistical assumptions were made, that the
15 reactions were independent of each other, and that sampling
16 was representative of the vector distribution in the
17 specimen.

18 Results of this study are summarized here.
19 Basically, the highest signal was seen consistently in liver
20 and spleen, and this was seen in 4 out of 4 replicates in
21 PCR studies, and this persisted as long as the animals were
22 followed or out as long as two years.

23 There was also high signal initially in bone
24 marrow and peripheral blood mononuclear cells. However,
25 this signal declined and was essentially gone after 70 days

1 in rabbits.

2 The brain showed no PCR signals, and other tissue
3 showed intermittent, threshold low-level signals. These
4 tissues included lung, thymus, kidney, lymph node and
5 testes.

6 Now, of course, actually seeing even a very low-
7 frequency signal in gonadal tissue raised a red flag, and
8 resulted in additional studies. These were done in a
9 collection from semen samples. We tested 2 available
10 hemophilic dogs at 6 months and 2 years, and semen samples
11 were negative in these animals. In addition, an extensive
12 rabbit semen analysis study was done, which I'm going to
13 describe in a minute.

14 But first I want to review a little bit more about
15 the testicular localization data, because actually this is
16 the data that allowed us to go forward into the Phase I
17 study in fertile individuals. And these data were presented
18 at the March '99 RAC meeting.

19 Basically, this figure shows the probability of a
20 transduced cell in rabbit testes over a period of time.
21 There are four time points tested: 4 days, 15 days, 90 days,
22 which would be following a cycle of spermatogenesis in
23 rabbits, and then 2 years to represent long-term steady
24 state. And notice the signal was higher at first and then
25 declined very quickly to practically undetectable levels

1 over time. And probably the most important thing to point
2 out is that even initially, the highest point was still a
3 probability of only 0.00005 or 10^{-5} of finding one cell in
4 the testes that was transduced with vector genome.

5 The semen analysis study was designed together
6 with the FDA to look at the tissue that was of course our
7 direct concern, sperm cells, and this was carried out in
8 adult male rabbits who were treated with intravenous Factor
9 VIII at clinically relevant doses that showed therapeutic
10 Human Factor VIII levels in the blood, and also gave a
11 positive PCR signal in the testes.

12 The semen samples were collected weekly for PCR
13 testing, and the duration of the study was 21 weeks in order
14 to span multiple cycles of spermatogenesis.

15 For each sample 20 replicates were tested, 10
16 replicates at each of 2 primer sets, and no positive semen
17 samples were confirmed in this study. There was a low
18 incidence of sporadic unconfirmed signals that was actually
19 higher in the control animals, 1 in 800, compared to the
20 treated animals, 3 in over 4,000 replicates tested. So the
21 false positive PCR rate for this assay was about 0.08
22 percent, which was certainly considered acceptable, and in
23 fact, lower than published rates from other labs.

24 The PCR results then, in rabbit testes and semen,
25 confirmed that the risk of any germline transmission event

1 was extremely low, and testes, after one cycle
2 spermatogenesis or 90 days, the risk of any single rabbit
3 testes cell containing the vector genome with 99 percent
4 confidence, was 1 in 709,000 cells. And in semen, all
5 samples were negative throughout weekly testing over
6 multiple cycles of spermatogenesis.

7 So our conclusion from the preclinical work was
8 that a positive PCR signal for vector genome in the gonadal
9 tissue was not associated with transduced sperm cells in the
10 semen.

11 Moving then to the Phase I study. Again, this
12 study actually started on the basis of the frequency of
13 signal found in testicular tissue and the rabbit semen study
14 concluded, as the patients were dosed with a lower dose from
15 the study. It was a single-treatment study design dose
16 escalation with end points of safety and circulating Factor
17 VIII levels. Subjects were adult men with severe Hemophilia
18 A. Informed consent required barrier contraception for the
19 study period, and an indefinite period thereafter if semen
20 samples were positive, and extensive information regarding
21 the possible risk of inadvertent germline transmission and
22 the implications thereof.

23 Now, semen test points include a baseline, and
24 then weeks 2, 6, 9, 11, 17, 29 and 53, plus additional
25 samples would be obtained in case of any positive result,

1 and the study's duration was one year.

2 Currently 12 subjects have been on study for at
3 least 3 months, and 4 dose levels have been administered,
4 with 3 subjects receiving each dose level. Infusions have
5 been well tolerated, and all subjects are alive in their
6 usual states of health.

7 Semen PCR results are available from 11 subjects
8 through week 17, 8 subjects through week 29, and 3 subjects
9 through week 53 at the end of study.

10 The clinical PCR assay consists of testing of 10
11 one-microgram DNA replicates per sample, again, with a
12 validated single-copy sensitivity, for a detection rate of 1
13 in 300,000 haploid cells, 1 in 300,000 sperm cells.

14 The assay procedures were designed, again, in
15 consultation with the FDA to minimize common sources of
16 contamination leading the false positives.

17 And collections were scheduled to maximize the
18 chance of detecting transduced sperm. The schedule was
19 based on our model spermatogenesis. First we assumed that
20 germ cells that were accessible to blood-borne retroviral
21 vector must be both dividing, and also on the blood side of
22 the Sertoli-cell barrier, otherwise known as, quote, "blood
23 testes barrier." And this would be then proliferating
24 differentiating spermatogonia of which there would be many
25 rapidly dividing cells, and then some smaller number of

1 dividing stem cells. This would mean that spermatocytes,
2 spermatids and spermatozoa were not accessible to
3 transduction, these later-stage germ cells.

4 The timing of the transduced sperm in semen was
5 predictable, timing of appearance, based on the time
6 required for maturation from transduced precursor cells, and
7 this can be calculated out to be a period between 49 to 92
8 days after vector infusion, but equivalent of out study
9 weeks 8 to 14.

10 This is a timeline just showing the semen sample
11 time points on a timeline, which also shows the first
12 possible appearance of semen in semen of transduced sperm,
13 and the last expected sperm in semen from the first cycle of
14 spermatogenesis. And the darker bar indicates the time of
15 expected peak appearance of a transduced sperm.

16 Sample collection points were planned so that two
17 samples would be collected during this peak period. In
18 addition, in case our model assumptions were perhaps not
19 right, and also to collect additional information, we have
20 two samples before this period, a sample after what would be
21 the expected washout period, and additional samples, which
22 aren't shown on this slide, at week 29 and 53. And, of
23 course, per protocol, additional samples are obtained
24 following any positive test result until three consecutive
25 samples test negative.

1 Results to date have been obtained on 63 semen
2 samples tested from 11 subjects. 61 have been negative.
3 We've had 1 positive in 1 out of 10 replicates tested, and 1
4 indeterminate with contamination suspected.

5 Our positive sample occurred in a subject who was
6 treated at a dose 4, which is the highest dose that's been
7 completed to date. It occurred after two previous subjects
8 had received the same dose and had had negative samples
9 through this period, the first 18 weeks. At week--the
10 positive sample occurred at week 9, which, as you can see,
11 would be early in the time of highest risk of transduced
12 sperm possibly appearing in semen. Following this, two
13 samples were obtained also in the risk period, and these
14 were both negative, and two additional samples since the
15 period have also been obtained in addition, and these were
16 negative as well. And the samples prior to the risk period
17 were negative. So the patient has had only the single
18 sample with the 1 out of 10 replicates positive, and all the
19 rest have been negative.

20 So, what's the interpretation of this result?
21 Well, there are several possible interpretations. First,
22 it's possible that the signal is coming from a non-sperm
23 cell, some somatic cell. The semen contains granulocytes,
24 macrophages, lymphocytes, epithelial cells, in addition to
25 sperm. And we know that the subject's peripheral blood

1 mononuclear cells are PCR-positive for vector in 4 out of 4
2 replicates throughout this period.

3 Also, despite efforts to reduce the chance of test
4 contamination, in any very sensitive PCR assay test,
5 contamination can't be ruled out.

6 And, finally, of course, the signal could be
7 coming from a sperm that was produced from a transduced
8 differentiating spermatogonia. The signal's low frequency,
9 detected during the first cycle of spermatogenesis, and
10 repeat samples were negative. So again, this all supports an
11 interpretation that probably this was a late-stage
12 differentiating spermatogonia that was transduced, which was
13 on a one-way path to developing into sperm and would not be
14 a source of continuing bursts of sperm production.

15 We went on to perform a frequency analysis to
16 better define the risk of possible germline transmission,
17 assuming that the signal was in sperm cells, looking at the
18 three subjects who had received dose level 4.

19 First we looked at pool data from all time points
20 measured, and see an instance of 1 positive out of 109
21 replicates, for a probability of any 1 sperm cell being
22 transduced of 1 in 57 million, or 99 percent confidence
23 bound probability of 1 in 8.6 million.

24 We then looked only at the data from the high-risk
25 period, in other words, the study weeks 9 and 11 samples.

1 And this would give an incidence of 1 out of 70 positive
2 replicates for a probability of a sperm cell being
3 transduced of 1 in 21 million, or a 99 percent confidence
4 bound probability of 1 in 3.1 million.

5 In summary then, with 99 percent confidence, the
6 worse-case frequency of a transduced sperm in our study data
7 so far to date is 1 in 3 to 9 million cells, or a
8 probability of 0.0000003, which is 10^{-7} . No positive
9 samples have been detected after the first cycle of
10 spermatogenesis, again, providing no evidence of a sperm
11 cell transduction or a continuing event, continuing release
12 of transduced sperm.

13 Our conclusions then are that current human data
14 in semen is consistent with preclinical data, supporting the
15 fact that the probability of the germline cell being
16 transduced is very low, and the probability of inadvertent
17 germline transmission with this retroviral genome at the
18 current dose is remote.

19 Now, FDA's current policy is to place a clinical
20 trial on hold if there's any positive PCR signal, regardless
21 of its intensity. However, with risk levels as low as were
22 presented here, we think that the approach is unwarranted,
23 and that in such cases the trial should be allowed to
24 continue while further investigation is conducted.

25 Features are built into the trial which are

1 sufficient to prevent safety issues. For instance, all
2 subjects are required to use barrier contraception, and all
3 are advised in the informed consent about possible risks and
4 implication of germ cell transduction events.

5 On the other hand, a clinical hold would be
6 appropriate if the subject's PCR signal were stronger, if
7 multiple patients showed positive signals, or if timing of a
8 signal suggested a continuing event based on the biology of
9 spermatogenesis.

10 For follow-up of individuals with positive
11 testing, our recommendations would follow the current
12 protocol. Repeat tests should be obtained as soon as
13 possible, and if three tests were negative over a period of
14 3 months, which would represent another cycle of
15 spermatogenesis, and negative following the routine testing
16 schedule to the study end at one year, then no additional
17 extra semen collection would be required.

18 If repeat tests are positive or sporadically
19 positive after the first three months and to study end, a
20 situation which we haven't encountered yet, then, of course,
21 one would ideally like to perform a cell fractionation
22 procedure in order to test sperm and other cells in the
23 semen, and determine once and for all whether sperm cells
24 are in fact the source of the signal.

25 However, there are technical difficulties in

1 performing a fractionation which would be pure enough to be
2 accurate and provide a reliable conclusion because of the
3 sensitivity of the PCR assay. In our research, we basically
4 couldn't find that there was a technique that was workable
5 on frozen semen samples. One would need to use fresh semen
6 and use probably a dual technique of sperm swim-up
7 technique, centrifugation, coupled with a discontinuous
8 percol [ph] gradient, and in that way, get a close to pure
9 sperm separation.

10 But because, as I said, we had one single sample
11 of a very low-frequency in a frozen sample, and so we were
12 unable to perform, even attempt to perform any study such as
13 this. But in a person who had repeated positivity, you would
14 certainly want to do the best you could and see if you could
15 accomplish this.

16 Following this, however, if it was possible not to
17 completely rule out the possibility of a sperm being
18 transduced, one would estimate the risk of germline
19 transmission based on signal frequency, and then provide
20 genetic counseling to the individual regarding possible
21 outcomes of conception and reproductive alternatives. If
22 the conclusion of the risk calculation was similar to the
23 risk that was identified in our study to date, in other
24 words, very low, then it's highly unlikely that there would
25 be any effect on reproductive decision making based on these

1 data.

2 Okay. I'd like to thank you, and be glad to try
3 to answer any questions.

4 [Applause.]

5 CHAIRMAN SALOMON: Thank you very much. So we
6 have one hour to go into this. Are there any questions
7 specifically to Dr. Hurst to clarify anything she's
8 presented, or should we go on to--

9 DR. BREAKEYFIELD: I just have one question. When
10 the FDA does put it on hold, what do you do in the interim?
11 Do you do--

12 DR. HURST: Well, getting additional semen samples
13 on the patients.

14 CHAIRMAN SALOMON: Okay. I think it's probably
15 good that we don't have a whole lot of questions, because
16 again, we didn't want to put your particular protocol under
17 examination. I don't think that's the intention here.
18 Thank you.

19 Okay. This one is--this is easy, right? No, just
20 kidding. So the questions to the Committee are two, and I
21 have, unfortunately, about six or seven different issues
22 though that I've put down in my own notes. So, we'll see
23 where this goes.

24 So the first question is: if semen positivity is
25 identified in patients in a clinical trial, our current

1 approach is to place a clinical hold on the study until it
2 is determined that semen positivity is transient, at which
3 time the trial may be resumed. Please discuss whether this
4 approach is appropriate.

5 In cases where a positive PCR signal is observed
6 in patient semen samples, discuss methods to determine the
7 cell source for the positive signal, in situ hybridization,
8 fractionation of the sperm, et cetera.

9 French?

10 DR. ANDERSON: Well, the issue of inadvertent
11 germline transfer has been, of course, with gene therapy
12 from the very beginning. It is an issue that I particularly
13 have to deal with because we are developing protocols for in
14 utero gene transfer, where germline is at even greater
15 issue, and therefore, we've had to deal with these issues in
16 some detail.

17 I'm pretty certain, in fact, I'm pretty positive,
18 that we are the only program that has actually obtained an
19 animal--in this case, sheep--this is with Ishna [ph], then
20 Johnny--where the semen was positive, and we were able to
21 fractionate and show that it's not in the sperm, it's in the
22 semen itself, the non-sperm portion. And all of that has
23 been published and was at the RAC's in utero gene therapy
24 policy conference and so on.

25 This study that was done by Chiron--it's a

1 beautiful study--there have been a number of other studies.
2 In addition, we have the description of Hank [ph] Kazazian
3 at the March '99--Hank, by the way, is right upstairs at an
4 HLVI meeting right now. And so I wonder if--I have to say
5 one other thing in preface. I'm very much aware of the
6 political issues, the social pressures that are on all of us
7 because of the issue of germline, and this has prevented, at
8 least at the RAC--how can I put it appropriately without
9 upsetting our RAC members here--a really rational discussion
10 of the issues.

11 And I'm very much aware of the issues. When our
12 in utero came out, I had lots of hate mail, death threats
13 and so on, because of it would appear that we were going to
14 do intentional germline gene transfer, so I'm aware of the
15 political issues.

16 But I really wonder if with all the data that is
17 now available, if we aren't getting pretty high up on this
18 patient safety versus cost, and we're starting to put so
19 much money into studies that hone down, it's not really
20 3,100,000 sperm, it's actually 2,845,000 sperm? I mean,
21 every piece of data says, "This is really, really rare."

22 I've talked with two pathologists in two different
23 institutions at two different times, who tried to specialize
24 in pathology of the reproductive system, and their feeling
25 was based on the presence of--and so on, and specifically

1 retroviral vectors, that the chances of a retroviral vector
2 actually getting into the sperm is extremely rare. That's
3 what all the data says.

4 So I just put out on the table--I'm aware that
5 politically we have to keep doing this, and we'll keep doing
6 our studies, but I really think we're way up on that curve
7 and we're doing an awful lot of posturing about an issue
8 that is really pretty minor.

9 CHAIRMAN SALOMON: Okay. Well, I think, number
10 one, we'll take on French's challenge that we have a
11 rational discussion here, as opposed to other groups.

12 [Laughter.]

13 CHAIRMAN SALOMON: But you guys can hold me to
14 that one later. I'm already in trouble. I know that.

15 Anyway, so I think that there--I think, French,
16 you've done a good job of sort of setting the stage here.
17 The question that's on the table though, you did confuse it
18 a little bit, in that one question, which we should talk
19 about, is how much we should demand as proof that we're not
20 getting transmission to the germline, but that's not what
21 the first question was.

22 The first question was: if we could demonstrate
23 that sperm were indeed positive, regardless of all the
24 semen--no, no, no, no--I know--but I'm saying that if semen
25 positivity is identified in a clinical trial, they put it on

1 hold. And the FDA wants us, I think, to go either tell
2 them, "Yes, that's great; any time semen's positive, put it
3 on hold." Or whether we should break it down and say, "If
4 semen is positive, you don't put it on hold unless you can
5 demonstrate that the sperm is positive." So that's kind of
6 the way I see the first question. So I'd like to make sure
7 that we focus on that, and not all these more complicated
8 things that French put on the table about, you know, if it
9 was positive, but it was only in 1 in 8 million sperm, what
10 the impact of that would be. I don't mind getting to that
11 later, but that's not what I want to discuss first.

12 So, Dr. Gordon, and then Xandra.

13 DR. GORDON: I think I was probably invited to
14 this meeting because of my background in this field.

15 I think what we have to consider here is--you can
16 call it political if you want to--but the fact is that the
17 insertion of new genetic elements into the germline has with
18 it special characteristics, which I think makes it incumbent
19 upon anybody regulating to do their utmost to make sure it
20 doesn't happen. I think it is intuitively improbable, but I
21 also think that a 1 in 1,000 event will certainly occur if
22 you do the procedure 1,000 times. There's also the problem
23 of vector proliferation and diversification. And so, while
24 we may think this or that with retrovirus vectors that we
25 use today, we don't really know what we're in for down the

1 road as vectors diversify.

2 So I would say that it is reasonable to put a
3 study on clinical hold if semen is positive, pending a more
4 close examination of exactly what cells have it, and sperm
5 fractionation, while it was sort of thought of as maybe
6 imperfect in the previous discussion, really is pretty good.
7 It is true you run the risk that it will also be positive
8 because of an occasional white cell in there after
9 discontinuous percol gradients, but reality is that it's a
10 reasonable thing to go forward to do while a study is on
11 hold. It's not that difficult to hold a study for a period
12 of time.

13 CHAIRMAN SALOMON: Okay. That's very clear.
14 Xandra?

15 DR. BREAKEYFIELD: Yeah, I just wanted to add also,
16 that I think there is a lot of data, and maybe at certain
17 doses and certain routes, maybe it shouldn't be required,
18 but I think in this example of this type of case, where
19 you're going suddenly intravenous, which hasn't been done
20 before, and you're starting to escalate, and then you're
21 looking at a situation where you say--well, I forgot
22 exactly--we're on the highest dose, but let's say 1 out of 3
23 people on the highest dose had it, I think you do need to
24 pause and consider, because you're in a different--it's not
25 the database you have. You're escalating dose, you're

1 changing route, and you have a small number of people within
2 that category that you're evaluating.

3 CHAIRMAN SALOMON: So, I don't want to put words
4 in your mouth, but you also are supporting the idea that if
5 in the conduct of a trial, the semen is positive, then you
6 hold the trial until they investigate what's positive in the
7 semen?

8 DR. BREAKFIELD: I would say certainly in a trial
9 like this where there's a novel route and a dose escalation.
10 On the other hand, if it's a route that's been done
11 extensively and there's a lot of semen data already
12 available and they've been negative, I'm not sure that I
13 would--and there was one positive, I think I'd be more--I
14 don't know what it means to put on hold to a company, but
15 I'd be more inclined to think it's maybe an--if there's a
16 lot of data out there, and depending how serious it is, when
17 you say you put it on hold, it sounds like oh my gosh, you
18 know--

19 CHAIRMAN SALOMON: Well, Xandra--

20 DR. BREAKFIELD: That's what I ask, what happens
21 when you put it on hold? Is it like put in limbo for a
22 year, or can they do something to get out of hold?

23 DR. WEISS: Sure. It's not necessarily a
24 permanent hold. It depends on what the issues are, and we
25 usually outline what it would take to get off hold, and that

1 just depends on how long those additional data take to come
2 to the FDA.

3 CHAIRMAN SALOMON: So I think, Xandra, one of the
4 things you said was, if you are monitoring semen samples and
5 it's positive, what to do? And then you sort of began to
6 segue into another question, would be, when do you demand in
7 a protocol that you monitor semen samples? Which I don't
8 think is irrelevant, but I think there's two things there.

9 So we're still basically--I still want to just
10 come to at least one clear piece on this first question,
11 because I think this is a big issue for the FDA, and I want
12 to be responsive. So do we so far agree that if you're
13 monitoring semen samples--we're not talking yet about which
14 situations you should monitor--but if you are monitoring it
15 and one is positive, should put it on hold?

16 DR. TORBETT: If it's an ex vivo kind of therapy,
17 for example, T-cell therapy, put the cells in, there is a
18 risk of getting T-cells in the semen. And under that
19 criteria, without paying attention to say categories, then
20 that would be considered on hold.

21 CHAIRMAN SALOMON: Okay. Well, I mean, I guess
22 that's a point of saying if you were doing--I guess there
23 we're kind of getting into what kind of a trial needs to
24 have semen samples monitored.

25 DR. TORBETT: I guess so. Yeah, exactly. you

1 have to look at the biology of the system and also what kind
2 of trials are being done. It doesn't make sense to me if
3 it's a T-cell therapy trial, for example, independent of
4 RCR, that if you see positive cells in the semen, you would
5 say, "Gee, perhaps we should put this trial on hold." And I
6 would submit that perhaps this isn't--you know, this would
7 be considered different than injecting it IV or in the brain
8 or wherever.

9 CHAIRMAN SALOMON: Point made. It's a good point.
10 But I think that again the point here is, if the FDA agreed
11 to be monitoring the semen samples, you know you could argue
12 that now as being a study that didn't need semen samples
13 monitored, and we could talk about that in a second, but
14 still, if semen samples are being monitored, and one's
15 positive, then the trial goes on hold. Is that--I mean,
16 again, is there a consensus on that?

17 DR. NOGUCHI: Well, a couple of clarifications.
18 We do not require semen analysis for ex vivo transduced
19 cells, one. And the second is the question is just slightly
20 different, because it describes a situation where there's a
21 positive sample, and on the next sampling or somewhere down
22 the line on sampling, the sample no longer is positive. So
23 that's all we're asking. Is it appropriate to call a halt,
24 look for further samples, and then take them off hold if it
25 becomes negative?

1 The second question is really trying to focus on
2 what are the current methodologies for us to go further and
3 distinguish between transduction of cells and just carrier
4 vector.

5 CHAIRMAN SALOMON: Well, let me follow up on that
6 then. So if we would agree that if in the course of a
7 study, a semen sample is positive, I won't know what the
8 sample is going to be a week from now, so you're going to
9 put the trial on hold, unless someone on the Committee wants
10 to argue that that's not appropriate.

11 Then we can talk about whether--you know, how many
12 negative samples after that you should have before you take
13 the trial off hold, right?

14 DR. ANDERSON: Having said my piece, but if nobody
15 is going to respond to that, then I feel compelled to
16 respond.

17 I can understand that the FDA still wants to be in
18 that position and the Committee still wants to be in a
19 position to say if there's a positive semen sample, put it
20 on hold. But that's a whole different category of clinical
21 hold than everything else you do. You put on clinical hold
22 when there is a real problem. And having what all the data
23 says will turn out to be a minor incident, and even if 1 in
24 100 sperm samples is a positive, one can then go on
25 protection so that one doesn't go on and have a pregnancy

1 and so on. But I'll simply raise this so it's on the
2 record. I know if we'd vote for it, I'd vote to say go on,
3 put it on hold and so on, because politically you sort of
4 have to do that. But it really doesn't make sense. There
5 isn't any danger for the patient. There isn't any danger
6 anywhere around. It isn't a patient safety issue. It is
7 simply that it is--until we get more information, it's nicer
8 to do.

9 DR. SIEGEL: Just as a clarification on the first
10 part, our reasons for hold--one of our reasons for hold is
11 significant and unreasonable risk, but another reason for
12 hold is insufficient information to assess risk. So one
13 could make a reasonable determination that if you had a
14 positive semen, and you yet had follow-ups or fractionation,
15 you don't know if you do have something that's in the sperm
16 cell, you don't know if you have something that's going to
17 persist for years or just be transient for days or weeks,
18 that that in a sense raises you to an--

19 And one other point about this that probably ought
20 to be considered in the mix, is if you don't stop a trial at
21 this point, you are in a somewhat awkward position regarding
22 consent of new patients that you enroll. As good clinical
23 practices creates an obligation to inform patients of any
24 information that might influence their willingness to be in
25 a trial. So now you're in the awkward situation of saying,

1 "Well, somebody's had this in their sperm, but it may well
2 be artifact, false positive"--I mean, in their semen--"it
3 may not be in the sperm at all, and it may be something that
4 lasts a week, but we don't know yet." So it's something to
5 think about as the option if you still continue enrolling in
6 a setting that you have to figure out what to tell the next
7 patient that comes into the study.

8 CHAIRMAN SALOMON: Good point. Dick?

9 DR. CHAMPLIN: I'm just wondering if this is an
10 overreaction. I mean, what's the worse-case scenario if you
11 put--a normal Factor VIII somehow got into a sperm? I mean,
12 it's a situation where even if that was passed on, would not
13 produce disease. So it strikes me as a sort of paranoid,
14 worse-view situation of a non-event. And clearly, any
15 patient going on a study like this should not be having
16 children in the middle of the study. We use a lot of drugs
17 routinely that we know is teratogenic, and we get consent of
18 patients not to have children while they're receiving
19 thalidomide, for example, as a therapy for a variety of
20 immunologic conditions. So, that should be part of the
21 consent process.

22 And the tradeoff, I would think, curing
23 hemophilia, would certainly be a major positive in terms of
24 a benefit, and we're talking about a theoretical risk that
25 could be minimized by an agreement not to have children in

1 the course of the trial.

2 DR. NOGUCHI: Of course, that assumes that the
3 hemophilia gene is properly expressed when integrated and so
4 forth. So I think that the question of potentially curing
5 your offspring is highly speculative at this time, and you
6 could get exactly the opposite--

7 DR. CHAMPLIN: I wasn't saying it was a way to
8 cure your offspring, but I would say the likelihood of even
9 if it was somehow passed on, it would not produce a disease.
10 You know, you could envision there would be genes that would
11 be dangerous. I mean, you put an oncogene in--

12 DR. SIEGEL: I mean, I don't--I concur in general
13 that we're talking about extremely low-level theoretical
14 risks, but it's non-zero. Even a normal gene can insert in
15 an area under abnormal regulation, so that it's expression
16 is pathogenic, or certainly can insert in an area where it's
17 mutagenic, and even if it's not disease forming, it raises
18 other issues that need some consideration. So I think we
19 can agree we're talking very small risks, but not--

20 DR. ANDERSON: You want to bring up Hank Kazazian
21 with him, or I will--

22 DR. GORDON: I don't think we should go on the
23 record as saying anything relating to if the gene goes
24 through, it will probably be okay anyway, because I don't
25 think the public is very likely to accept that probably true

1 statement.

2 But I just want to give you an example of how--
3 first of all, my intuition runs the same as those who have
4 talked. But let me just say that if you have a CMV P-53
5 gene that you're putting into somebody for a reason, let's
6 say they have a liver malignancy, you may do a wonderful job
7 of helping that malignancy in the liver. If that is
8 transmitted to the germline and P-53 is expressed
9 ubiquitously throughout a developing embryo, I think it
10 could be highly teratogenic, and could be a pregnancy
11 disaster. Furthermore, we all know that the people who say
12 they're not going to conceive for an assumed period of time,
13 do, and it's a matter of the profile of this type of anomaly
14 that we're dealing with.

15 So my recommendation would be that if a positive
16 semen sample is detected--and this takes into account not
17 retroviruses with Factor VIII, but let's face it, we're
18 looking at a huge variety of vectors and genes down the
19 road--that you're put on hold for one cycle of
20 spermatogenesis, pending repeat cycles, but that that hold
21 could be removed if sperm fractionation in the interim
22 determined that it was not in sperm.

23 CHAIRMAN SALOMON: French?

24 DR. ANDERSON: Jay, the FDA put on the RAC agenda,
25 back in March '99, this issue, and you remember that Hank

1 Kazazian was brought in--I might have the numbers a little
2 bit off--but it was something like the number of active
3 retrotransposons [ph] present in the germline tissue was
4 such--if the numbers aren't exactly right, they're close--
5 that a couple would need to have something like seven
6 children in order to have a mutation caused by a
7 retrotransposon, and they'd have to have something like 6
8 million children in order to have a mutation caused by a
9 retrovirus.

10 Now, so, your statement, although correct, is
11 quantitative, orders of magnitude or from what happens
12 naturally all the time.

13 DR. SIEGEL: No, I don't think so, because I said
14 we were talking about very rare events. I'm not sure how
15 that could be orders of magnitude higher than anything. It
16 depends on what "rare" means. But basically, I was simply
17 trying to say what Dr. Gordon said much more eloquently than
18 I did, simply that we can't call it zero. But I concur that
19 we're talking--

20 DR. BREAKFIELD: Let me also add--just according
21 with what Jon Gordon said--that it's one thing to do just
22 random mutagenesis, and I think that's going on all the
23 time. It's another thing to take in a very strong promoter
24 or some gene that can have biologic consequences and was
25 expressed in the wrong tissue.

1 CHAIRMAN SALOMON: I think basically I think we
2 can say as a consensus, that we agree that if a semen sample
3 is positive--now, that's providing that a trial was designed
4 with semen sampling as part of its demand--is positive, then
5 it is appropriate to put things on hold until we do what
6 we'll discuss next. And that is, analyze what is positive.
7 And I think what I hear as an underlying principle to the
8 whole thing is essentially a deal that is--to the public--
9 that we will not use gene therapy at the moment now, at
10 least within our ability, to inadvertently transfer anything
11 into the germline, regardless of our decision process about
12 how significant it is, how likely it is, that it seems to be
13 sort of a deal we're making to go forward, that we're not
14 going to do this at this point.

15 I think, is everyone comfortable with that as sort
16 of a consensus?

17 DR. GORDON: I just want to say one other thing as
18 a way of arguing with Dr. Anderson there. It's not that I
19 disagree with a single fact he says, but I can just not view
20 the scenario of a semen sample being positive, and then
21 somebody saying, "Well, go ahead. Go ahead and do what you
22 want", and then have to face the consequences of that later,
23 however remote those consequences might be. I mean, it just
24 wouldn't make any sense to the consumer advocate if that
25 approach were taken.

1 DR. ANDERSON: I agree. I agree, Jon.

2 CHAIRMAN SALOMON: I think that's fair. And the
3 other thing that I would say to French would be, in his
4 experience with current retroviral vectors, we also can make
5 these kind of calculations that you might need 6 million
6 children. I wasn't present for those kind of calculations,
7 but that even exceeds the wildest dream of any group I know
8 of for having children.

9 But essentially, we also have admitted already,
10 several times in the discussion, that we don't--that this is
11 all such a changing target, that we're all working toward
12 these hybrid vectors, et cetera. So I think that if we're
13 advising on general principles for FDA regulation, I think
14 we should be very cautious, rather than say, "Well, you
15 know, I know what it's like for retrovirus."

16 Okay. So then--

17 DR. CHAMPLIN: I wonder if the test should be
18 different. If semen is--if you're going to give systemic
19 treatment, the semen is likely to be involved passively in
20 that process, and some more direct sperm test should be
21 selected.

22 CHAIRMAN SALOMON: Let's go there. That's exactly
23 where we should go now. So what--do we agree that we should
24 be monitoring semen? And I'd like to point out that if we
25 do, then one of the questions I want to ask everyone is:

1 then, to me, if you want to be intellectually consistent,
2 that means that none of these trials should be done in
3 women.

4 [Laughter.]

5 DR. MILLER: A surrogate marker. I mean, it says
6 surrogate marker. I mean, you use what you can have. I
7 mean, I don't think you--

8 CHAIRMAN SALOMON: Well, wait a minute. Now I'm
9 having a problem, because, I mean--this is one of my notes
10 here--but if you tell me that we have--we just went through
11 this whole thing. I don't want to repeat myself. This
12 principle is we're not going to, you know, as a deal with
13 the public, allow inadvertent transmission to the germline,
14 but you tell me--and we're going to monitor the males in the
15 trial, but you can't monitor the females. And so, why
16 shouldn't we say that females then shouldn't be allowed into
17 these kind of trials until a point at which you can confirm
18 through enough data that it isn't being transmitted into the
19 germline? I mean, I'm just trying to be--

20 DR. ANDERSON: Okay. There is a scientific answer
21 to that, and that is, a number of mitotic events occurring
22 in the female is so much less the number of mitotic events
23 occurring in the male, that the risk factor is whatever it
24 is, 7 orders of magnitude or 8 orders of magnitude or
25 something.

1 DR. GORDON: I'd like to speak to the female a
2 bit. First of all, I was quite taken aback, and I must say,
3 a little bit put off by the notion that women of
4 reproductive age would be denied gene therapy because of--
5 they have to wait till they were in menopause or something
6 like that. The ovary is quite a bit different than the
7 testes. The primordial oocyte in a primordial--the primary
8 oocyte in the primordial follicle is the most accessible of
9 all of those cells, but even that cell is very difficult to
10 access. It's surrounded totally by theca cells which are
11 very tightly opposed. The minute the oocyte starts to
12 develop, the zona pellucida develops. It's almost
13 impossible to get compounds across the zona pellucida. The
14 egg does not divide. One of them is ovulated every month,
15 and that is out of 400,000 that sit in the ovary.

16 Now, that doesn't mean that there's no risk, and I
17 think that if the FDA told a woman to not conceive during
18 the period of gene therapy, that might be a good idea, but I
19 think if they told her to not conceive ever again in her
20 life because a primordial oocyte, infected when she was 20,
21 might be ovulated when she was 40, would be unfair to women.

22 I do think though that in conjunction with this
23 inability to be formal about the woman, as we can with the
24 man, that we need provocative animal-testing systems for
25 these vectors to know what their real potential is for

1 getting them into the egg.

2 And I'd like to take just one more minute to
3 describe examples of that that we're doing in our lab. For
4 example, we have adenoviruses that express Lac-Z [ph].
5 Sometimes people have found animal ovaries to be positive
6 during somatic gene therapy. We have said to ourselves, "If
7 that's a rare event that we could get it into an egg, let's
8 make it less rare." So we put 10 billion adenoviruses into
9 a mouse ovary, injected it directly in, looked for Lac-Z.
10 Couldn't even get the virus into the ovarian follicle. The
11 follicles are in fact surrounded by blue stain from Lac-Z.
12 You can actually see where the eggs are because they're not
13 stained. We then took 1,500 eggs out of mice, removed the
14 zona, so in the rare circumstance of a naked egg, exposed
15 them to 10^8 adenoviruses for an hour, fertilized them, saw
16 no evidence of entry.

17 Now, these are studies which are different from
18 "Let's make a sheep, and then if it has a few lambs, check
19 them." Now, these are looking at a few cells out of
20 hundreds of thousands, but if you are looking at the very
21 same cells that are exposed to the vector to see whether or
22 not they've taken it up, it's far more sensitive. And I
23 think one of the things we could recommend to the FDA is
24 they look aggressively towards designing test paradigms for
25 each new vector that comes along that will really look at

1 this, but I know I'm opposed to barring women who are
2 dreadfully ill from undergoing gene therapy or from
3 conceiving for the rest of their lives because they
4 underwent it.

5 DR. MILLER: Underscoring the need for long-term
6 follow-up.

7 CHAIRMAN SALOMON: Okay. Well, that was great. I
8 mean, that is a very good scientific answer to my query
9 about whether it was intellectually consistent to a lot of
10 the women in the trial, so the question--

11 DR. SIEGEL: Now the question should be whether we
12 should allow men.

13 [Laughter.]

14 CHAIRMAN SALOMON: So the recommendation here
15 would be that in any trial, as it's moved forward with new
16 vectors, new class of vectors, hybrid vectors, that there
17 should be definitely some sort of germline transmission
18 experimental work, and that should be part of the submission
19 for the trial. I think that's a very clear kind of
20 recommendation.

21 DR. GORDON: Can I just add one other detail here,
22 which hasn't made it to the RAC yet or to the FDA's--this
23 venue yet. And that is women who undergo assisted
24 reproduction. The number of women who undergo assisted
25 reproduction, in vitro fertilization, is rising every year.

1 There were 40,000 cycles of IVF done in the US and Canada
2 last year about. An increasing number of these involved
3 violating the integrity of the zona pellucida, which is a
4 major barrier exogenous vector access. Sperm injection now
5 takes place in a very large percentage of women. When
6 embryos are biopsied for pre-implantation diagnosis, the
7 zona is opened. Women over the age of 38, every women over
8 the age of 38 in my in vitro program, has the zona opened
9 because it helps the embryo hatch to implant, and it gives a
10 very slight percentage of increase of pregnancy rates.

11 These women who undergo assisted reproduction are
12 at exceptional risk for exogenous DNA integration; embryos
13 are very permissive for being infected, they like to
14 integrate things, as Rudy Inish [ph] will tell you about
15 retroviruses, and I think that this is a category where I
16 think the FDA should advise a sponsor to tell a women not to
17 undertake assisted reproductive technologies during the time
18 of gene therapy. This risk would pass quickly, but it is a
19 significant risk if it is undertaken during the time of gene
20 therapy.

21 CHAIRMAN SALOMON: Would you think that then if
22 the husband or the significant other, I should say, was a
23 male--was the male, and was undergoing the gene therapy,
24 that artificial insemination shouldn't be done during the
25 period of gene therapy?

1 DR. GORDON: Well, not only artificial
2 insemination, but now that we're thinking about this, if a
3 man was contributing sperm to an assisted reproduction
4 procedure, which artificial insemination would not qualify
5 because the zona's intact there. But suppose a man was
6 giving his sperm for sperm injection or so-called ICZ [ph],
7 what's already been demonstrated in mice, you have about a
8 30 percent gene transfer rate if you mix sperm heads with
9 DNA and inject them. And that's a very reliable
10 investigator. So, I think that men who would contribute to
11 an assisted reproduction procedure should be advised not to
12 do that during a time of gene therapy.

13 CHAIRMAN SALOMON: So the next question I have
14 down as a note is, we need to talk about how you should
15 tell--how you should separate--how you should analyze a
16 positive semen sample now.

17 But one question I wanted to ask sort of as lead-
18 in to that, is if you have a replication competent virus in
19 the semen, and even if it's not in the sperm, and you have
20 sexual relations, does that mean that you can't transmit it
21 in the uterus to the developing eggs? In other words, is
22 proving that it's not in the sperm, yet it is in the semen,
23 an argument that, you know, you should not put the trial on
24 hold and it should go on?

25 DR. GORDON: My answer to that would be it would

1 be okay for the trial to go on, because there's no evidence
2 that DNA-carrying or nucleic-containing agents in raw semen,
3 can make their way into the oocyte during the process of
4 normal fertilization and early development. It's actually
5 rather an interesting point because the embryo has to get
6 out of the zona to implant, where you'd think it would be
7 susceptible, but the fact is that vertical transmission in
8 viremic people is not a documented phenomenon to my
9 knowledge.

10 CHAIRMAN SALOMON: Okay. So if we have a positive
11 semen sample, I think the next issue--question two is: what
12 should be done to analyze a positive semen sample?

13 DR. GORDON: Again, I would propose that the
14 clinical study be put on hold for one cycle of
15 spermatogenesis, which is several weeks time--I don't know
16 the exact numbers of days in humans; I work more with mice--
17 during which time fractionation could be done.

18 Discontinuous percol gradients are very good at enriching
19 for pure sperm, and I think if a repeat study is done with a
20 discontinuous percol gradient--don't forget, you can always
21 get another sample the next day; depending on the age of the
22 man, you might have to wait a few days. I mean, I don't
23 know, but whatever--

24 [Laughter.]

25 DR. GORDON: You get another sample, and you know,

1 you can PCR a fractionated sample in discontinuous percol
2 gradients or swim-ups. Both work well, though the
3 discontinuous percol gradient works better. It's not
4 difficult to do, by the way. And if that were negative, I
5 would say take the study off hold and let them go forward.

6 CHAIRMAN SALOMON: So let's say you were--just to
7 play--I think--let me stop here. There's two ways we could
8 go here. We could spend a little more time talking about,
9 you know, should you do in situ hybridization, should you do
10 flow cytometry, should you do discontinuous percol gradient?
11 I'm not really certain that's very fruitful, but I don't
12 mind going that direction.

13 The other direction would be, you know, the way I
14 would see another question here would be, if you were doing
15 a trial, and you had one semen sample was positive, and then
16 one sperm rotation later which--did you say it was 90 days
17 was the period of time in the human, Dr. Hurst?

18 DR. HURST: Well, that will be completely through
19 the washout period, yes.

20 CHAIRMAN SALOMON: Yes. So, let's say in a 90-day
21 period of time you would now be negative. One question I
22 would have is, how many times do you allow that to go
23 through before you put the trial on hold for multiple--for a
24 longer period of time? So in other words, so you find out
25 whether it's 1 patient in 10 or 5 patients in 10?

1 DR. CHAMPLIN: What if it's 1 patient in 100, and
2 you have one positive; would you put the entire study on
3 hold or just that one patient?

4 CHAIRMAN SALOMON: No, I guess I was just--no, I'm
5 not trying to make it more complicated. I'm actually trying
6 to say that if--you know, one thing I have sympathy for is
7 if a semen sample comes up positive, you put the trial on
8 hold until it turns out to be negative. That's okay,
9 because if it never turns out to be negative, then we have
10 to think about what we do next, right? If it turns out to
11 be negative, the only question I was now asking would be,
12 okay, fine. Obviously, we'd agree to allow the trial to go
13 on, but how many times would that happen in a trial before
14 you'd have to say the trial really needs to be reevaluated?
15 In other words, after ten patients came up positive and 90
16 days later were negative, does that mean the FDA should look
17 at that again, or do you just repeat that for a hundred
18 times, which of course, would drive the sponsor crazy and
19 likely the FDA crazy.

20 DR. CHAMPLIN: But if the definitive test is the
21 sperm analysis, and you can do that the next day after your
22 positive test, you should probably just go to that
23 definitive test before doing anything.

24 DR. SIEGEL: I guess the question is--is the
25 question you're asking, well, what if it's in the sperm but

1 transient? Does that mean you just should stop the
2 research, or does that mean you just should make sure people
3 are aware and take extra care?

4 CHAIRMAN SALOMON: Well, I was just--I mean, at
5 some point it's easy to say if one's positive, you stop and
6 you get it in 90 days. I was suggesting that the next thing
7 that could happen, it seems to me, is that if every other
8 patient this was happening to, and then I was just saying do
9 you want to--I mean, shouldn't we maybe then--

10 DR. SIEGEL: Right. But Richard's pointing out
11 that, I guess, that you could--it could be in the sperm, and
12 I think in the paradigm you said that was suggested, we
13 might then--the sponsor might then do discontinuous percol,
14 and if they had another positive specimen and it was clear
15 that all the positivity was not in the sperm, was in other
16 cells in the semen, they might move ahead. So in which case
17 you don't have to wait 90 days. You just know you've got
18 something in the leukocytes. But what if it--I was
19 wondering if your question was, so what if they--if whether
20 if they do that and it is in the sperm, is transient
21 expression in the sperm acceptable? Or are you simply
22 saying, what if they can't find that out?

23 CHAIRMAN SALOMON: I guess I was just thinking of--
24 -here's a scenario. These guys are doing this trial. A
25 patient comes along on Tuesday positive in the semen. Put

1 the trial on hold. We already said we agreed with that.
2 And now the trial is on hold. 90 days go by or 30 days go
3 by, and it's now demonstrated that the semen is negative,
4 and further testing shows that the sperm were never
5 positive. It was a leukocyte in the semen. Now it's
6 Thursday. Another patient comes up semen positive. I mean,
7 how many times are you going to put the trial on hold until
8 you finally say that, hey, we know what's happening here, so
9 stop putting the trial on hold for a positive semen sample.

10 DR. SAUSVILLE: I mean, at one level--I mean, this
11 is similar to the familiar grading sort of system for
12 adverse events. I mean, clearly, if you document with
13 reliability that it's the white cells that are the problem,
14 and we've agreed that it's--that's something we basically
15 live with, I think probably stopping it a few times to
16 establish that is reasonable, but once you've reached the
17 ends that statisticians are familiar, telling us that that's
18 what it is, I have no problem going forward.

19 Now, on the other hand, if you do document that
20 it's actually in the sperm, okay, unexpectedly, I guess,
21 then I mean, that's sort of the way we've defined the terms
22 of this discussion. That's sort of a like a grade 3
23 societal adverse event, and if you do it with any degree of
24 frequency, that's--then the trial has failed, and that's a
25 problem.

1 CHAIRMAN SALOMON: So let's take now two
2 possibilities, just for point of discussion. So the first
3 possibility is that how many times can the semen be positive
4 and we demonstrate that it's in the semen but not in the
5 sperm, before we tell--before we relax and say it's not an
6 issue that we have to worry about for the rest of the trial?

7 And then the second issue is, how many times--if
8 the sperm is positive, is that the end of the trial?

9 DR. NOGUCHI: Well, we need to add some
10 practicality, reality in here. The occurrence of this event
11 is rare to the point that you may only have one semen
12 sample, that the next time you sample the same patient it's
13 negative, but you don't have enough to do any fractionation.
14 You only have enough to do a PCR reaction or maybe a repeat
15 PCR reaction, because they're doing 10 to 20 samples at a
16 time, replicates.

17 So you may be in a situation where let's say out
18 of a dozen people, three of them come down with one positive
19 event at some point during the course. You may never be
20 able to answer the second question, that is, is it in the
21 semen or the sperm?

22 DR. GORDON: I would propose that if a semen
23 sample is positive, there's no number of times that would
24 make me relax, but on the other--that should be taken in the
25 context of what Phil said. You're not going to--with the

1 current generation of vectors and administration procedures,
2 you're not going to come up with a very high number of
3 positive people. But let me also say, to get another sample
4 and fractionate it, we're talking about 72 hours. I mean,
5 it's not difficult to do a procedure with fractionation.

6 DR. NOGUCHI: That's correct, but it may not have
7 a positive signal.

8 DR. GORDON: I would say that if it didn't have a
9 positive signal on repeat with fractionation, that you take
10 the study off hold.

11 CHAIRMAN SALOMON: I guess what Phil's saying is,
12 if I understand right, is that these are relatively low
13 frequency events, and so that if you wanted to be--am I
14 following you--so if you wanted to be really careful, to
15 wait 72 hours or whatever, and get another semen sample and
16 it's negative, isn't necessarily when you should take the
17 sample on hold. You maybe need multiple negatives before
18 you could convince yourself that it would be negative.

19 Then that's in addition to what I was saying, is
20 how many patients can have semen samples that are positive
21 before you relax? And I guess then part of it is how many
22 semen samples should be positive that you prove are not in
23 sperm before you relax and say it will never be in sperm and
24 stop putting the trial on hold.

25 DR. SAUSVILLE: But doesn't this equally address

1 how confident you are that you're positive? I mean, you
2 know, we're giving lots of weight to one test that may be by
3 PCR, and depending on who's doing the assay, and what travel
4 to the lab, the--you know, this gets sort of very
5 complicated from a statistical point of view, right?

6 DR. NOGUCHI: I was not trying to put words in
7 anybody's mouth, but merely to point out it is a rare event.
8 The sample is limited. We may not be able to, on any given
9 sample, do both PCR and do any fractionation or in situ. So
10 part of the question is: when have we discharged to the best
11 of our ability at the current level of technology, our best
12 attempts at ascertaining what does this mean?

13 DR. SIEGEL: I guess there's--in response to your
14 question, there's no number of events of it occurring where
15 it's somewhere other than in the sperm that's going to tell
16 you that, that there's no possibility that it's in the
17 sperm. But what might happen, I suppose, if you were to
18 study a population where leukocytosis in the semen is high,
19 perhaps because of the nature of the population or the
20 disease, and with a vector where there's a high level of
21 transduction of leukocytes, then you might have a trial
22 where you're going to anticipate a more than rare event, and
23 when you start seeing it, you might ask, is it pragmatic to
24 put the trial on hold in an event that you expect to occur
25 in half the patients and not to be a risk, but simply the

1 transduced leukocytes occurring in the semen like as
2 expected. And I'm not sure we're facing that situation yet,
3 is what Phil's saying, but we probably can use some common
4 sense when we do.

5 CHAIRMAN SALOMON: I mean, another way to deal
6 with it would be not to test semen, but rather to test
7 sperm. So, I mean would it be--does anyone want to comment
8 on that? I mean, if it's not that difficult to separate the
9 sperm for these kinds of trials--I mean we're talking about
10 100 patients at a time--would it be reasonable then to
11 separate the sperm, do the PCR on the sperm. If the PCR in
12 the sperm was positive, then you put the trial on hold and
13 you investigate it further. If you don't, then at least you
14 stop putting the trial on hold every five minutes for
15 negative sperm, positive semen samples.

16 DR. GORDON: My own view of that right now is that
17 the frequency at which it's being discovered is low enough,
18 so they're--probably looking at semen alone is more cost
19 effective than going forward with fractionation. And down
20 the road, it may appear to be needed, that you need to do
21 fractionation from the get-go.

22 I do think one other idea should be introduced
23 here though as proliferation of vectors, et cetera, goes on
24 and different types of patients are treated, if you think
25 the risk is much significantly higher, we can also tell men

1 to freeze sperm before they undergo gene therapy. This
2 doesn't mean they won't have unscheduled sex with the sperm
3 that are inside their bodies, but if they really want to
4 conceive during the time of gene therapy safely, it's quite
5 simple to freeze sperm and use them in artificial
6 insemination.

7 CHAIRMAN SALOMON: Though of course if it--that's
8 fine, right. But I think then I would be--you could modify
9 what I said just modestly, and then say that you start off a
10 trial by screening semen. When you get a positive semen
11 sample, now you realize that you have an issue. From there
12 on, you just recommend to go to sperm separation, that would
13 be fine. And I'm trying to do that by way of helping the
14 sponsors and the FDA, because I can just see them, you know,
15 going back and forth every five minutes and some trial
16 canceling their study, because I think there are a lot of
17 leukocytes in semen.

18 DR. CHAMPLIN: What if you have a true positive?

19 CHAIRMAN SALOMON: A true positive sperm?

20 DR. CHAMPLIN: Yes.

21 CHAIRMAN SALOMON: Okay. Well, that was the
22 second thing we said we would talk about.

23 DR. CHAMPLIN: What's the frequency of that that
24 would be tolerable?

25 CHAIRMAN SALOMON: That's a really good question

1 as we sort of wrap up here. So let's say now we've dealt
2 with the negatives. Though we realize that there's a gray
3 here about how to do it, but I think the next question is,
4 if you get a positive in the sperm in one patient in the
5 trial--I mean, that's the start--what happens next? I mean,
6 what do you guys think?

7 DR. SAUSVILLE: Revise the informed consent and do
8 another one. And then if you do two and you get it two
9 times, then that's a problem.

10 CHAIRMAN SALOMON: I'm okay with that.

11 DR. GORDON: I think the other males who have
12 received the vector just need to be advised a positive
13 sample was found, and it's under further study, and I think
14 sperm fractionation should then proceed in a held trial.

15 CHAIRMAN SALOMON: So there's nothing you can do
16 about--

17 DR. GORDON: That's what I just said, yeah.

18 CHAIRMAN SALOMON: So the question would be modify
19 the informed consent, and you go on with the trial. So now
20 after X number of samples are positive, what is it that
21 we're finally saying here? I mean, maybe we can't say.
22 Maybe we shouldn't be saying it. And maybe if it turns out
23 that a particular protocol was making sperm positive again
24 and again, maybe that's not for this advisory committee to
25 deal with. That could be a societal or a philosophical

1 issue, what you want to do next.

2 DR. SAUSVILLE: It's societal, and it would also
3 have to be couched in what you're trying to fix of cure and
4 how much it's working, et cetera, et cetera, et cetera.

5 DR. CHAMPLIN: Again, we deal with teratogenic
6 drugs routinely all the time with the provision that you
7 don't have children while you're taking thalidomide.

8 DR. SAUSVILLE: That's why for the oncologists in
9 the crowd, this discussion is sort of interesting.

10 DR. GORDON: Well, I think a proper thing to
11 advise the FDA in the area of what about the future, is
12 again, that they need to look at preemptively provocative
13 testing systems that will give them some idea what risks
14 they're really facing. We don't really have great animal
15 test systems out there yet, and I think we should recommend
16 to them that they try to develop them or RFAs try to develop
17 them.

18 CHAIRMAN SALOMON: Well, I certainly think that,
19 you know, I agree with what Ed and Dick just said. It
20 certainly was the direction I was thinking as well, and that
21 is, if sperm continues to be positive, then it's simply a
22 matter of deciding for the specific patient group what the
23 relative risks versus the benefits of the gene therapy are
24 at that point and making a decision intelligently on that
25 basis.

1 DR. WEISS: Also, that if it's positive in a large
2 number of patients, you'd still want to know the duration of
3 positivity and how would that then--I mean, if it's only
4 through one cycle of spermatogenesis, then it still might--
5 that needs to be factored in, obviously, to anybody's
6 decision.

7 CHAIRMAN SALOMON: Yes. I think that that's a
8 good point. So if the situation was that on day minus 8 or
9 day plus 8, you've got an infusion of a replication
10 competent vector or something, and then there was a
11 transient 90-day period where you might have positive sperm.
12 But after that, there was no further positive sperm and
13 there was no further injection of the vector, one could
14 relax and go forward with it, and the idea then would be the
15 informed consent should be amended to say that, you know,
16 during that whatever--you know, reasonable period of time,
17 180 days, around the time of the injection at day 8, that's
18 when you don't have--we don't advise having unprotected
19 sexual intercourse. Is that reasonable? I think that's--

20 DR. SIEGEL: I would just add to this discussion
21 that, you know, in the hypothetical--one of the
22 hypotheticals that was discussed--and I'm not sure how much
23 discussion of various unlikely hypotheticals are necessary,
24 but in the hypothetical that there was repeated positivity
25 that was--could be localized to the sperm cell itself, I

1 suspect that we would make a determination that that's
2 something that merited public discussion, notwithstanding
3 the issues that we know there are teratogenic mutations in
4 sperm that occur all the time. If a particular vector and
5 approach were found to do that, I think that would be
6 information we would want to have out in the public domain.
7 We'd probably analyze it and discuss it. So I don't think
8 we need to decide so much in advance what to do. We'd
9 probably be at the RAC or here or somewhere, saying, "Well,
10 here are the data. What should we do?" Rather than the--

11 CHAIRMAN SALOMON: No, I agree. And that's what I
12 was saying, at a certain point here it's not really the
13 purview or the point of this advisory committee that there--
14 if there are societal issues, then those should be dealt
15 with in whichever way that society feels comfortable working
16 them out.

17 Though it does get interesting. It goes back to
18 what French put on the table right at the beginning, and
19 that is, even if you had a positivity of sperm, you know, he
20 correctly pointed out that at least for the current
21 generation of vectors that we have experience for, albeit we
22 don't know what's going to change in the future, but for
23 that current generation, we don't even know what the--you
24 know, the risks may be much less than natural retroposition
25 mutations are, and so that the significance of it is very

1 clear.

2 DR. SIEGEL: Right. No, and I wasn't suggesting
3 that would be a cause for panic, just a suggestion that this
4 is--the nature of this issue is such that we would want to
5 make sure that there were public discussion of what's
6 happening.

7 CHAIRMAN SALOMON: I think we've answered the
8 questions. So, 5 minutes early. So anyway, I'd like to
9 bring the session to a close. And thank, first of all, all
10 the FDA staff, particularly Gail Dapolito and Rosanna, and
11 Bill Fries and the others.

12 [Applause.]


13 CHAIRMAN SALOMON: And also all the speakers, and
14 all the members of the committee and the audience. Thank
15 you very much.

16 [Whereupon, at 1:25 p.m., the meeting was
17 adjourned.]

18

CERTIFICATE

I, THOMAS C. BITSKO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



THOMAS C. BITSKO