

1 particular message.

2 Also, the other issues I thought,
3 there's a lot of peripheral processing
4 obviously in a lot of markets. How do we
5 switch then the central processing? How do we
6 switch these people from thinking about
7 execution, et cetera, into clearly the
8 benefits and risks and other important
9 information?

10 Also, there's a lot studied about
11 correct placement of the warnings. I just
12 mentioned the primacy and recency effects. In
13 print ads, you know, exactly where are people
14 looking for the warnings, and especially if
15 you have deficiencies maybe with the elderly
16 population.

17 Children, more based on what we
18 know is how to promote learning cues and other
19 sort of educational efforts to enhance their
20 perception of risks and benefits and the
21 development of skepticism as I said before.

22 And then finally, ethnic and racial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minorities based on the scant research. There
2 are certainly issues on matching maybe ad
3 spokespeople who are already consumers, but
4 then you have the issue also. Again, that's
5 more of a peripheral effect. So you want to
6 try to switch them in a way from that maybe to
7 get them more involved, to switch them more
8 into central processing, and obviously you
9 have some opportunity to process limitations
10 that I think that are very real.

11 So that's about it.

12 CHAIRMAN FISCHHOFF: thank you very
13 much.

14 DR. ANDREWS: I don't know if there
15 are quick questions.

16 CHAIRMAN FISCHHOFF: No, I think
17 we'll do the two talks back to back and then
18 we'll have time for questions together.

19 DR. ANDREWS: Okay.

20 CHAIRMAN FISCHHOFF: I want to make
21 certain that Cheryl gets to speak.

22 DR. HOLT: Good morning. Thank you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the opportunity to be here with you today
2 to talk about some health communication
3 research issues.

4 Again, I'm Cheryl Holt, and I'm in
5 the Division of Preventive Medicine at the
6 University of Alabama at Birmingham. I'm a
7 social psychologist by training, and I've been
8 doing health communication research for
9 roughly 11 years, seven of those
10 independently. Most of my research funding
11 comes from places like CDC and NIH.

12 So probably a contrast with regard
13 to the previous presentation, which is
14 outstanding, is that my talk will be a little
15 bit less marketing focused and maybe also a
16 little indicative of public health background
17 or public health milieu that I'm kind of
18 immersed in.

19 But there are a lot of similarities
20 as well. I was really glad to see Dr. Andrews
21 bringing up discussions about elaboration
22 likelihood model which I've also worked with,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and also the work of William McGuire, which is
2 a couple of cornerstone models in terms of
3 theoretical models.

4 So a lot of overlap which I think
5 reflects the multi-disciplinary nature of our
6 group today, as well as this issue.

7 So what I hope to address or begin
8 to address, rather -- I don't think one little
9 talk can address it -- but is that chasm that
10 was brought up earlier by Dr. Paling, I
11 believe, is that chasm of where do we stand
12 with regard to best practices in communication
13 research, communication approaches for this
14 type of advertisement that we're talking about
15 today.

16 So I want to start broadly by
17 talking about some basic communication
18 components and then move a little bit more
19 into some what I feel are best practices for
20 health communication based on our research
21 experience.

22 So in terms of basic communication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 components, as was kind of, I think, touched
2 on in the previous presentation, we tend to
3 think of it -- and I'm going to break it down
4 real simple -- we tend to think of it as
5 source, message, channel, and receiver, as one
6 way to think about developing and
7 investigating a communication.

8 And so you have source factors like
9 source credibility, source similarity to the
10 receiver, and by source I mean the person or
11 whoever is sending the message, and so working
12 with some of the groups that we're talking
13 about today, source is very important,
14 particularly with under served communities,
15 you know, any community, but the source of the
16 message is important.

17 There are often trust and
18 credibility issues, particularly under served
19 communities that have been taken advantage of
20 historically, and so source credibility is
21 very important, a source that you can trust,
22 that you can believe, and the university and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the medical community is not always the most
2 trusted source, you know, in the community.

3 An example, too, is if we had a --
4 I think I saw a study reporting that
5 cigarettes are not bad for you, and then, of
6 course, my immediate reaction was who
7 sponsored the study. So we've been talking
8 about some of these issues here today. So
9 that is a little bit on source. What is the
10 agenda of the source? Okay? And I think
11 that's very important with this DTC issue.

12 Message factors, what is said?
13 What's in the message? How much information
14 is it, the type of appeal? Is it an emotional
15 appeal? Is it an informational appeal? The
16 amount of information, and that relates
17 directly to what Dr. Andrews was talking about
18 with regard to processing. So these things
19 kind of dovetail in together.

20 The channel, the channel being how
21 is the -- in what way does the message get to
22 the person? What kind of media is used? Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it a print media? Is it Internet? Is it
2 television? Is it an in-person talk with a
3 community health advisor or something of that
4 nature?

5 And I'll talk more about this in a
6 minute, but different channels are going to
7 be, of course -- and you know, you think you
8 don't even have to say something like this --
9 but it's always good to remember that
10 different channels are going to be appropriate
11 or more or less appropriate for different
12 audiences.

13 And then the final component is is
14 the audience or the receiver or the person
15 getting in the information, and that person or
16 persons are going to have demographic
17 characteristics that are going to affect their
18 ability to process, to affect their processing
19 of the message, to affect their behavior and
20 all of the outcomes that we've been talking
21 about.

22 So source, message, channel and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 receiver factors are, I think, important
2 foundational things to consider in developing
3 a health communication strategy.

4 Okay. With regard to direct-to-
5 consumer advertising and the ability to
6 communicate to subsets of the general
7 population, the subsets that we've been
8 talking about, elderly, racial and ethnic
9 minorities, children, they're very different,
10 and again, basic, but we have to remember
11 that, very different, and so different
12 communication strategies are going to be more
13 or less appropriate for each of these groups.

14 Okay?

15 So we're going to talk about
16 different sources. Different sources will be
17 credible for different populations, different
18 types of messages, and different channels.

19 So is the Internet the best channel
20 for the elderly population? I don't know
21 that, but I don't know that it is and I don't
22 know that it isn't, but we do have issues such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as the digital divide that was mentioned
2 earlier, and so you know, we just have to be
3 considerate of the type of channel as well.

4 And so what I'm getting at is the
5 targeted approach, and so I want to make this
6 distinction between targeting and tailoring.
7 I've done some work when I was at St. Louis
8 with regard to tailored communications that
9 are individualized for each individual person,
10 and that would be based on an individual
11 assessment. So I fill out a survey. My
12 responses are read into a computerized program
13 that's going to pull out the message for me,
14 okay, based on me being who I am, based on you
15 being who you are, and yours is going to be
16 different than mine.

17 A targeted intervention approach
18 alternatively is, I think more what we're
19 talking about here today, and it's developing
20 the health message for the senior population,
21 developing the message for the parents of
22 children with ADD. The targeted approach is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to target more of the groups.

2 With regard to DTC effect on access
3 to information, I think we need to focus that.

4 One way to focus that conversation, I think,
5 would be on the communication effectiveness of
6 that DTC ad, and that's going to depend, I
7 think, on a number of factors, including those
8 relating to source, message, channel, and
9 receiver.

10 And then the other kind of area
11 that I wanted to talk about today are some
12 what I think are best practices with regard to
13 health communication research and putting
14 together a communication strategy, whether
15 that be a DTC or some other kind of
16 communication strategy.

17 But there are particular
18 strategies, and this, I think relates to the
19 impact of DTC on health disparities. There
20 are particular strategies that we use to
21 target under served populations or to target
22 any population, relating back to the comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made earlier, the different strategies and the
2 extent to which we can do a good job in
3 developing a targeted strategy, then we may
4 have an impact on health disparities.

5 Of course, that's a very simplified
6 explanation, but the development of the
7 targeted strategy for under served population
8 I think may involve things that are including
9 but not limited to involvement of the
10 community at every step of the process.

11 So I think it's very important to
12 involve the I hate to use the word "target
13 community" or the "priority community" who is
14 going to be receiving the message. Those
15 people should be involved in the development
16 of that message for it to be, I think,
17 relevant and effective.

18 So targeted strategies, again, a
19 good fitting approach for a particular
20 population. Research has tended to show that
21 one size does not fit all.

22 There's a lot with regard to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 culturally appropriate communication, and
2 there are different levels by which a
3 communication may be considered to be
4 culturally appropriate. For example, it may
5 be that a standard brochure has pictures of
6 one type of people, and let's say women. To
7 adapt it to men, you take out the women
8 pictures and put in the men pictures. I mean,
9 that's not really culturally appropriate as we
10 think about it, but I think that's the most
11 shallow, if you will, level of cultural
12 appropriateness.

13 A deeper and perhaps more effective
14 approach is to address the cultural beliefs
15 and the things that are culturally relevant to
16 the population in the context of that behavior
17 that you're looking at, and so that may
18 involve things looking at the particular
19 population, looking at things like faith, like
20 family, like whatever kind of cultural factors
21 are going to be appropriate for that
22 population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Moving on, the importance of pre-
2 testing, I think, cannot be understated.
3 Pretesting the message using an iterative and
4 systematic process of doing, you know, whether
5 it's focus groups and see that the package is
6 put together appropriately or if it's
7 individual interviews, something also to
8 determine message understanding. We've been
9 talking a lot about message understanding
10 today and do folks understand the message, and
11 I think rather than kind of guessing on that
12 that we need to see with some folks from the
13 population and have them read the message back
14 to us in their own words to see if they
15 understand it is one way to approach it.

16 And then the notion of the evidence
17 based message or evidence based approaches,
18 and that is just basically something that you
19 collected data on and it's shown to be
20 effective or shown to have an effect.

21 So what effect does this message
22 have? If it's a colorectal cancer screening

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 campaign that we're putting together and it
2 increases screening rates, then that may be
3 said to be an effective or evidence based
4 approach rather than developing an approach
5 and hoping that it works, knowing that it
6 works, and the evidence based approaches are
7 the ones that we really want to disseminate.

8 Targeted strategies might also be
9 based -- I think we've been hinting a lot
10 today at our audience segmentation, audience
11 segmentation in which particular
12 characteristics, characteristics that vary in
13 the population and that relate to the outcome
14 behavior are identified and messages are
15 developed based on these characteristics or
16 sets of characteristics.

17 So at a very basic level,
18 demographic segmentation, you know, for senior
19 white women, for young African American men,
20 you know, what have you. So an example, but
21 demographics are not the only audience
22 segmentation. Variable, it's possible that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are some others, more psycho-social
2 variables that may even be more effective for
3 the development of unsegmented messages.

4 And then we've talked also about
5 health literacy and literacy concerns overall,
6 but specifically health literacy, and it seems
7 as though if literacy is potentially
8 problematic, health literacy is maybe going to
9 even be more problematic because of all the
10 terminology and things that a person may or
11 may not have been exposed to, and so use of
12 plain language, short sentences, pre-testing,
13 again just cannot be overstated.

14 So in conclusion, I offer this
15 contextual information from the field of
16 communication and health communication to ask
17 us to consider the role of source message
18 channel and receiver factors, as well as
19 offering what I consider to be some health
20 communication best practices.

21 CHAIRMAN FISCHHOFF: Thank you very
22 much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOLT: Thank you.

2 CHAIRMAN FISCHHOFF: Let's open it
3 for discussion. Actually let me make a
4 comment because I had an interjection I've
5 been feeling bad about for a while about why I
6 don't trust things that are in the gray
7 literature, and I think it sort of supports
8 the -- maybe it follows naturally from what
9 you have here.

10 So why do social scientists not
11 trust survey results that are in the gray
12 literature? Basically for the same reasons
13 that pharmacologists wouldn't trust results in
14 their respective gray literature., that there
15 are questions of disclosure. Well, first of
16 all, there are questions of human subjects
17 that we don't have a guarantee for things that
18 don't go through institutional review.

19 You have questions of archiving.
20 Can you get access to the data? And questions
21 of disclosure: so are the data archived so
22 that somebody else could check with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they're saying?

2 And then is there full disclosure?

3 Has somebody just cherry-picked the results
4 that support a particular conclusion? You
5 just don't have that quality control.

6 You know, as conscientious as
7 people want to be, you've just got to trust
8 them.

9 Third is you have the quality
10 control on the research. So you've gone
11 through four or five years of graduate
12 training. You've trained graduate students.
13 You've been in the field, and yet you still
14 need the peer review and you do the pre-
15 testing and you still need the peer review for
16 somebody to say, you know, you can't support
17 that claim with that question. You know,
18 that's biased in a way that you didn't really
19 understand.

20 So by the time that the thing has
21 gotten through peer review, somebody has -- my
22 papers have gotten through peer review.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Somebody has beaten up on me so that I'm not
2 making claims that I can't support, and that's
3 on the quality of the data. That's on the
4 statistics that I used, and I look even on the
5 subscriber to the former employer's
6 publication. They had a survey on this that
7 showed up in my door this week, you know, and
8 as much as I trust them, I don't know what to
9 make of their results.

10 And then finally, there's this
11 question of cumulative knowledge which came up
12 in these last two talks. So you show me one
13 result in which it looks like or you claim
14 that eight year olds have some kind of
15 critical capacity, and I don't know whether to
16 trust you on that or not.

17 But then if I know as in any
18 science that the research is a kind of
19 cumulative meta analysis on the theories that
20 underlie it, if you've gotten through peer
21 review, then you've cited the people who found
22 it and who haven't found it, and I know things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the robustness is fine, and that I don't
2 have the kind of consultants peer review,
3 consultants stand-alone project.

4 If there's an anomalous result
5 there, then maybe it's a fantastic
6 breakthrough in science or maybe there's
7 something wrong and the reviewers will have
8 beaten them up.

9 And just as an example, in one of
10 the submissions that came across our desk -- I
11 don't want to identify anything in particular
12 -- all the citations were to gray literature
13 or occasional government reports or I don't
14 know what the review process for them is.

15 There was one published study. I
16 went to the study and found that the summary
17 picked data that supported a particular
18 position, but had data that contradicted that
19 position.

20 I have no way of doing that if the
21 study were in the gray literature. So as
22 scientists, you know, we study gray literature

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is probably better than raw opinion, but it's
2 a whole lot worse than peer reviewed study.

3 So let me put that out there so
4 that people have a chance to discuss agree
5 with me and get it off my chest.

6 Please.

7 MS. GREENBERG: I think in a
8 perfect world it would be great if every what
9 are you calling it, gray literature? Gray
10 polling data, et cetera? If there were -- if
11 everything was peer reviewed, but I think you
12 can make some distinctions and there is a
13 hierarchy of the non-peer reviewed literature.

14 For example, it's absolutely appropriate to
15 ask where the funding comes from for various
16 studies.

17 But I happen to know that consumer
18 reports, for example, doesn't take any money
19 from anyone basically. They don't take money
20 from companies. They don't take money from
21 trial lawyers. They don't take money from
22 labor unions, and so they sort of stand closer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the ideal, the platonic ideal that you
2 might be looking for in terms of peer reviewed
3 literature.

4 But it is just, I think unrealistic
5 to imagine that everything is going to go
6 through that very rigorous process as we look
7 at all of the data that are coming before us.

8 But I think we should know where
9 the money is coming from to fund the various
10 studies. That's a very legitimate and
11 important question for us.

12 CHAIRMAN FISCHHOFF: Jacob.

13 DR. DeLaROSA: I agree with both
14 comments thus far, but I think it's important
15 to understand that old data, be it the double
16 blind prospective, randomized, all data is
17 biased, and you read the data. You look who's
18 written it. You make your decisions, but all
19 data.

20 Being part of these studies that
21 are landmark studies in JAMA and New England
22 Journal, I know who is doing the study for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what reasons and what's going to come out of
2 it.

3 So I agree there's a hierarchy. We
4 hope for the best, but all data, you have to
5 read it almost as you read the Bible, with
6 proof mentality, et cetera.

7 DR. NEUHAUSER: To the same point,
8 it's just striking how woefully inadequate the
9 data are that we have about such an important
10 topic as increasing consumers' access to both
11 the health benefit information and risk
12 information about all of the products that FDA
13 regulates.

14 And what's striking to me is if you
15 compare this with what we've done in this
16 country about looking at other issues, about
17 looking at diseases, billions and billions and
18 billions of dollars spent every year by NIH
19 and CDC on minutia of studying diseases.

20 So I think that what I would
21 recommend for the record is somehow that we
22 develop a research agenda of the most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important things that we would like to know.
2 A lot of them have been brought out. We have
3 a literature that we could debate its validity
4 here, but at least there's something to start
5 an agenda.

6 And then we think of creative ways
7 to find a way to fund that. Maybe that means
8 go to Congress. I would suggest that HRQ
9 would be a likely agency to provide funding,
10 and that with a research agenda, some of these
11 questions could be answered. I mean, I can
12 think right now of sources of funding, for
13 example, the NIH health literacy grants. I
14 have not seen any of them go towards looking
15 at these issues, but that could be proposed
16 somehow.

17 So I would welcome any of your
18 thoughts about where funding might be found
19 and a research agenda set so that we wouldn't
20 be here a couple of years from now just
21 saying, "Are there any studies on this, any
22 studies in what we want to know?"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, again, I would welcome
2 suggestions.

3 CHAIRMAN FISCHHOFF: We have time
4 for one more comment from John, and then we'll
5 break for lunch. We have an open public
6 hearing at one o'clock, and we'll reconvene
7 promptly then, but first John.

8 DR. PALING: Thank you, Mr.
9 Chairman.

10 I didn't identify at the outset
11 that I used to be a wildlife film maker and
12 producer for 20 years. Among my films were
13 such oddities as the mating behavior of fleas
14 and predation in alligators, and that of
15 itself is not relevant, but what is, is an
16 experience with otters that changed my life.

17 We from England used to struggle to
18 get money for films unless we found an
19 American net work to sponsor us. This is not
20 my experience, but that of a close colleague.

21 Otters are great because they're
22 entertaining. They put their heads up like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little people. They do slides in the snow,
2 and they make great entertainment.

3 So a margarine company sponsored
4 it. When the film was made, it was beautiful.

5 The otters put their head up, went down
6 slides, and swam through the weeds as otters
7 do, and a great film was produced, except that
8 it did not meet the acceptability of the
9 sponsor. Why? Because the visual message of
10 an otter in the weeds was in conflict with
11 what they wanted to show themselves to be.

12 We protested thinking, oh, that's
13 ecology. The truth was they taught me this
14 lesson. You're not making wildlife films from
15 your old professorial background. You're
16 making product, and you need to recognize that
17 when you communicate, the picture greatly
18 overrides any words put anywhere, let along in
19 the displacement position.

20 For this reason I am acutely aware
21 that there is a huge oddity in the way that
22 our country, my country tries to communicate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risks. The drug companies do great on the
2 benefits and poorly on the risks. In my
3 submission, FDA tends to do rather badly on
4 the benefits because that's not what it's
5 there to do, but is great on putting out the
6 risk and regulating, approving products.

7 So if you're a member of the
8 public, you struggle with this dilemma, and
9 the least I would ask of Kristin and her
10 colleagues is when you do look through story
11 boards, the bigger message, and since we are
12 the risk, guess what. Communication Advisory
13 Board, please be aware that the greater
14 communication is going with the images.

15 We ask the editors of film what
16 does the picture say, and you'd be in my
17 submission derelict not to recognize what's
18 really going on there. There's far too little
19 attention given to that. That's one
20 dissatisfaction.

21 So, Mr. Chairman, getting back to
22 the big issue of how does the public hear when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefits come beautifully from the drug
2 companies that, in essence, have a huge
3 problem because we give far more emphasis to
4 the negative than we do to the positive, and
5 we can worry you off doing what's best for
6 your good.

7 The whole world of risk
8 communication to me is sadly disappointing,
9 less good than it could be, and yet the way in
10 these groups we have to address it is to deal
11 with what the regulators ask us to do, and
12 that's how it should be.

13 I asked to speak just before lunch
14 because I have all sorts of what seem like
15 reaction reports, like I almost need to drop
16 my voice and whisper here, like, you know,
17 the emperor has no clothes. I even question
18 whether the mantra of the FDA and all of the
19 drug companies of safe and effective is not
20 actually the editor's clothes, namely, it's
21 not totally safe. It might be the highest
22 level of safety we can reasonably obtain, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's not effective always, meaning the number
2 needed to treat, all of this stuff.

3 And I'm even questioning in my own
4 mind what would be good practices of effective
5 communication. Without anyone here knowing it
6 in my committee, I have spoken to Lee and to
7 Nancy, who have been super at trying to answer
8 my millions of questions that go on behind the
9 scene just between here, and I realize that I
10 am really very inefficient. I am very
11 ignorant. I've actually had the ability to
12 talk to FDA officers who have interests in
13 what I'm interested in. I've learned a huge
14 amount.

15 And basically what I find myself
16 doing is to be learning all the while how
17 ignorant I am. You remember when I started I
18 said, well, I am also an American citizen.
19 What comes with this is the ability to act
20 sometimes independently.

21 And just as a matter of
22 information, I tell you that I have set up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our Website something called constructive
2 suggestions for the FDA where I pose to the
3 world key questions that are not regulation
4 driven, and there's nothing wrong in that.
5 It's just I feel so inept. I don't know
6 enough.

7 There are people out there, many of
8 you sitting there, who can tell me things that
9 I can learn from you, but what I'm trying to
10 do is to filter this site so that no one is
11 abusive upon it, but for my own personal
12 educational to learn for myself, and the first
13 question is the one that was posed two years
14 ago and has never been answered, and I've
15 referred to several times already, which is
16 what constitutes good risk communication
17 practices for industry and also for the FDA.

18 I'm just going to invite ideas. I
19 want in announcing this to make clear in
20 public I am in no way dissatisfied with what
21 Lee, Nancy and their colleagues have done.
22 They have bent over backwards to a storm of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 letters that I've been sending for six months,
2 always trying to help with dockets and
3 information.

4 It is not a criticism. It is not a
5 revolution. It is me trying to improve my own
6 knowledge, and I tell you that in public in
7 case it gets another agenda in some other
8 place. The Website, if you need it, is
9 risckcomm, r-i-s-c-k-com, c-o-m-m like
10 "communication," dot, com, forward slash, FDA,
11 and this is purely personal to me. I will
12 give the Chairman and all of my colleagues all
13 of the help I can in the particular topic of
14 the day, but I sit here thinking we're not
15 really addressing the biggest questions, and
16 this is my way to do so.

17 Mr. Chairman, thank you.

18 Sorry. Forever I'm being asked to
19 make sure it is my site and not the
20 Committee's site. If you go there, you will
21 find innumerable references in red and white,
22 not in blue yet, saying that very fact. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is personal to me, and the questions are not
2 those we're always being asked to address
3 here, and I don't want it to be seen as
4 critical, but it most surely will improve my
5 personal education.

6 thank you.

7 CHAIRMAN FISCHHOFF: Let me ask the
8 Committee members aMay 30, 2008 and the
9 consultants to see Karen or Ann outside about
10 lunch and then ask everyone to be back here at
11 one for our open public hearing.

12 Thank you.

13 (Whereupon, at 12:06 p.m., the
14 meeting was recessed for lunch, to reconvene
15 at 1:00 p.m., the same day.)

16

17

18

19

AFTERNOON SESSION

20

(1:05 p.m.)

21

22

CHAIRMAN FISCHHOFF: Okay. Let me
now call us to order again for the afternoon

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 session.

2 This session will be the open
3 public hearing. We have four people who are
4 listed as speaker. We'll first hear from
5 Ellen Liversidge and then from Peter Pitts.
6 There are two other people who have signed up
7 to speak, and Lee Zwanziger would like you to
8 consult briefly with her. These are Jenelle
9 Mayo Duncan and Mario Majette, if I pronounce
10 those correctly. So if either Jenelle May
11 Duncan and Mario Majette are here, please talk
12 briefly to Lee.

13 By the procedures of the Federal
14 Advisory Committee Act under which we're
15 operating, the speakers are in line, or at
16 least the first two where we know where they
17 are, are in alphabetical order. Everyone has
18 five to seven minutes to speak, and then we'll
19 have an opportunity for question and answer
20 between members of the Committee and the
21 members of the public on the matters that were
22 in your public testimony.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I'm now going to read something
2 into the record, which -- right? Do I read
3 this?

4 DR. ZWANZIGER: Please.

5 CHAIRMAN FISCHHOFF: Okay. Both
6 the Food and Drug Administration, FDA, and the
7 public believe in a transparent process for
8 information gathering and decision making. To
9 ensure such transparency at the open public
10 hearing session of the Advisory Committee
11 meeting, FDA believes that it is important to
12 understand the context of an individual's
13 presentation.

14 For this reason FDA encourages you,
15 the open public hearing speaker, at the
16 beginning of your written or oral statement to
17 advise the Committee of any financial
18 relationship that you may have with any
19 company or group that may be affected by the
20 topic of this meeting.

21 For example, the financial
22 information may include a company's or a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group's payment of your travel lodging or
2 other expenses in connection with your
3 attendance at the meeting.

4 Likewise, FDA encourages you at the
5 beginning of your statement to advise the
6 Committee if you do not have any financial
7 relationships. If you choose not to address
8 the issue of financial relationships at the
9 beginning of your context, it will not
10 preclude you from speaking.

11 So let me ask first Ellen
12 Liversidge to join us.

13 MS. LIVERSIDGE: My name is Ellen
14 Liversidge, and I have no financial anything
15 to report.

16 Thank you for allowing me the
17 opportunity to speak to the Risk Advisory
18 Committee. I don't believe I ever have
19 before. I am a consumer board member of the
20 Alliance for Human Research Protection, which
21 is a not for profit national network of lay
22 people and professionals dedicated to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advancing responsible and ethical medical
2 research and full disclosure of drug safety
3 information.

4 Of particular concern are
5 vulnerable populations, especially children,
6 the elderly, and people with disabilities. I
7 have attached for your interest a submission
8 the organization made on May 8th focusing on a
9 typical antipsychotic use in children in
10 foster care to the hearing on the utilization
11 of psychotropic medication for children in
12 foster care. The hearing was held by the
13 House Committee on Ways and Means,
14 Subcommittee on Income Security and Family
15 Support.

16 In addition to this testimony
17 today, I've presented at previous FDA
18 hearings, the last one in June 2007 regarding
19 the MedGuide Program, in which I pointed out
20 there are no MedGuides for atypical
21 antipsychotics at all.

22 I've presented in the public media,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in private publications, and even to France to
2 television. The majority of my presentations
3 have focused on psychotropic drugs,
4 particularly antipsychotics.

5 My only son Rob was killed by the
6 antipsychotic Zyprexa over five years ago and
7 would have been 45 years old today. At the
8 time he died, there was no warning about the
9 drug by the FDA, even though they were aware
10 that Japan had required its maker, Eli Lilly,
11 to place a warning for diabetes,
12 hyperglycemia, and death. My son died of
13 profound hyperglycemia, and I have worked to
14 warn of the dangers of this and other atypical
15 antipsychotics ever since.

16 Speaking out has been a memorial to
17 him and an act that represents the many
18 parents I know across the country who have
19 lost their children to antipsychotics and
20 other psychotic drugs, but don't live in the
21 Washington area and thus can't get here.

22 The direct-to-consumer advertising

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as it impacts children is, in my opinion, out
2 of control. Children of all ages are exposed
3 to advertising about erectile dysfunction,
4 intimate body issues such as incontinence and
5 the like, but the worst exposure to both
6 children and parents is to psychotropic drugs.

7 There is now a group called
8 Campaign for a Commercial Free Childhood,
9 which is targeting the flood of commercials
10 now appearing that is attempting to get young
11 children to want to attend PG-13 movies. I
12 quote, "Especially for kids. They'll see the
13 toys which are movie linked before they'll see
14 the movie ads. If they want the toy, they
15 usually want to see the movie."

16 The UN Rights of the Child ratified
17 by all countries except for the United States
18 and Somalia states under Article 17, Subset E,
19 "Encourage the development of appropriate
20 guidelines for the protection of the child
21 from information and material injurious to his
22 or her well-being."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the FDA is not protecting
2 children either from atypical antipsychotics
3 or their use or other psychotropic or sexual
4 or inappropriate advertising on television.
5 There had been talk of the pharmaceutical
6 companies keep erectile dysfunction and other
7 such ads contained to post-child bedtime
8 hours, say, 10:00 p.m. to 6:00 a.m., but this
9 has not happened.

10 The FDA may need statutory
11 authority to place such limits from Congress,
12 and if so, it should get it. But the agency
13 also needs to require strong black box warning
14 on atypical antipsychotics for children in the
15 first place. Currently, the only such warning
16 of these drugs is for the off-label use for
17 seniors with dementia who are dying in droves
18 from these drugs of heart attacks.

19 Among children, off-label use and
20 even now some approved use of atypicals has
21 skyrocketed partially due to the dubious
22 labeling of children as bipolar. Many doctors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 describe aggressive behavior, not bipolar
2 behavior among children so labeled, and states
3 are beginning to do their own monitoring of
4 use in the absence of firm protection of
5 children by the FDA.

6 Dr. John Holttum, a child
7 psychiatrist in the State of Washington, a
8 state planning a system-wide review and
9 control of atypical antipsychotic use in
10 children, says, "We absolutely need some
11 oversight of atypical antipsychotic use in
12 children. Some of the children who walk in my
13 office have been grossly mismanaged."

14 The serious adverse events of these
15 drugs, including gynecomastia or the growing
16 of breasts in adolescent boys given risperdal,
17 all the way to the death of little Rebecca
18 Riley, need to be given aggressive attention
19 by the FDA as soon as possible. Until all of
20 the risks are clear and clearly stated with
21 warnings, these drugs, along with all other
22 psychotropic drugs now aired as DTC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commercials should be contained to late night
2 hours.

3 And finally, any psychotropic drug
4 now appearing in ads should receive
5 extraordinary attention and scrutiny by the
6 FDA. As Dr. Ruth Day, Director of the Medical
7 Cognition Laboratory at Duke stated last week
8 in Representative Stupak's hearing entitled
9 "DTC Advertising, Marketing, Education or
10 Deception," studies across drugs and
11 pharmaceutical companies show that the benefit
12 is understood by the vast majority of ad
13 watchers, while the risk is understood by very
14 few.

15 Thank you for your attention, and
16 feel free to ask me any questions if you have
17 them.

18 CHAIRMAN FISCHHOFF: Thank you very
19 much.

20 Let's have our next speaker, who is
21 Peter Pitts.

22 MR. PITTS: Good afternoon. My

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 name is Peter Pitts. I am President of the
2 Center for Medicine in the Public Interest and
3 Global Health Affairs Director at Manning,
4 Selvage & Lee.

5 I have previously been an Associate
6 Commissioner at the Food and Drug
7 Administration, where I helped to draft the
8 current draft guidance on brief summary in
9 print advertising, and I am at present a
10 special consultant to this Committee, but I do
11 not appear as a consultant to the Committee
12 today.

13 Samuel Johnson said that the future
14 is purchased by the present, and that's as
15 good a place to start, I think, in a
16 discussion of direct-to-consumer advertising
17 on the elderly as any.

18 According to recent polls, older
19 Americans are more distrustful of the PhRMA
20 industry and the FDA than the general
21 population, and even more so in the wake of
22 the current debate over drug safety. Seniors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want safe drugs and rightfully so, but why are
2 they more negative than other groups of
3 Americans? I believe it is because throughout
4 the significant majority of their lives, their
5 only information about the medicines they took
6 came from a single source, their doctors, and
7 the only information offered was how to imbibe
8 the pill, with water, with food, minus
9 alcohol, and the occasional caveat against
10 operating heavy machinery.

11 There was no doctor-patient
12 discussion, and there was certainly no public
13 conversation. That was the environment in
14 which today's senior citizens were born, grew
15 into adulthood, married, raised children, and
16 grew grayer. It was an environment where
17 doctors were gatekeepers and the gate was kept
18 tightly padlocked, and second opinions when
19 they were offered at all were considered an
20 affront to Marcus Welby, M.D.

21 Juvenal said, "All wish to possess
22 knowledge, but few comparatively speaking are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 willing to pay the price." Today we must face
2 up to that dilemma. Like it or not, America's
3 senior citizens are 21st Century empowered
4 health care consumers.

5 Today the learned intermediary has
6 been replaced by the Internet. The patient is
7 a purchaser, and Dr. Welby is a vendor.
8 Managed care directs. Serious and life
9 threatening diseases have morphed from polio
10 and diphtheria to AIDS and Alzheimer's
11 disease. There really shouldn't be any wonder
12 why older Americans, indeed, most Americans,
13 are frightened. The entire health care
14 paradigm has changed.

15 Woody Allen said, "Change is
16 inevitable, except from vending machines."

17 (Laughter.)

18 MR. PITTS: Management guru W.
19 Edwards Deming said, "Change is not required.
20 Survival is not mandatory."

21 Change is frightening, and in the
22 21st Century, we must all be "pharmascenti,"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and that includes older Americans. The good
2 news is that an informed health care consumer
3 is a healthier citizen, and while information
4 comes from many sources outside of the
5 physician's office, one of the most pervasive
6 channels is through direct-to-consumer
7 advertising.

8 Consider the metrics. According to
9 FDA's own research, between three and five
10 percent of all doctor visits scheduled are
11 scheduled specifically because a patient,
12 otherwise known as a person, saw a DTC ad.

13 Now, we can debate whether or not
14 three to five percent is a lot or a little,
15 but I think that we can all agree that it is a
16 significant number.

17 Again, according to FDA research,
18 of patients who have visited their doctors
19 because of an ad they saw and asked about a
20 prescription drug by brand name, 87 percent
21 actually had the condition the drug treats,
22 and in six percent of those DTC generated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 visits, a previously undiagnosed condition was
2 diagnosed.

3 Again, according to the FDA's own
4 research, 18 percent of those recalling ads
5 said DTC had caused them to talk to their
6 doctor about a specific medical condition for
7 the first time. This is a remarkable result
8 suggesting that approximately one-sixth of the
9 adult population who have seen doctors in the
10 past three months have been motivated by
11 advertising to discuss a new health related
12 topic.

13 And this is particularly germane
14 when it comes to older Americans. The CDC's
15 national health and nutrition examination
16 survey found that nearly one-third of people
17 over age 65 or older whom the survey found to
18 have high cholesterol measurements said they
19 had not before been told by a physician or
20 other health professional that they had high
21 cholesterol.

22 Evidence is emerging that large

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 numbers of elderly patients underuse needed
2 medical care. According to a 2004 health
3 affairs study that examined the growing
4 philosophical conflict over the abundance and
5 inequities that characterize the U.S. health
6 care system -- that's their quote -- there is
7 evidence of significant underuse of
8 prescription drugs.

9 The preponderance of published
10 medical literature and clinical guidelines
11 according to the article compels the expansion
12 of pharmaceutical use among Americans, a tool,
13 again, of direct-to-consumer advertising.

14 A 2007 study in the peer reviewed
15 Drug Information Journal discussed the FDA's
16 theory of less is more when it comes to risk
17 information in print ads. I've added this
18 document into the docket. I'd be glad to send
19 it to the Committee as required.

20 And I should point out that the
21 protocol was vetted inside the FDA, both at
22 the Office of the Commissioner level, as well

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as with representations from CDER and within
2 CDER from DDMAC.

3 In closing, I urge this Committee
4 to ponder the question posed by T.S. Eliot who
5 asked, "Where is the information we have lost
6 in knowledge? Where is the knowledge we have
7 lost in information?"

8 Thank you.

9 CHAIRMAN FISCHHOFF: Thank you very
10 much.

11 For those who are unfamiliar with
12 this process, the docket is a wealthy place to
13 go to find information from members of the
14 public, including those who are not speaking
15 here, I strongly recommend people looking at
16 it.

17 We have a few minutes for direct
18 questions, for clarification. Is Mario
19 Majette here?

20 (No response.)

21 CHAIRMAN FISCHHOFF: Okay. I
22 understood wrongly, but I was right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we have a few minutes for direct
2 questions of clarification from members of the
3 Committee for either of our two speakers, and
4 if somebody has something to ask by way of
5 clarification.

6 (No response.)

7 CHAIRMAN FISCHHOFF: Okay. Well,
8 let me thank you for coming in and joining us.

9 We have a little bit of time now
10 before our next panel, and I thought that it
11 might be good to spend, with Lee's
12 forbearance --

13 DR. ZWANZIGER: Yes, go ahead.

14 CHAIRMAN FISCHHOFF: Yes, I thought
15 it might be good for us to spend a little bit
16 of time talking -- actually, I'd like to wait
17 until Lee is free. So I'll just wait for a
18 second.

19 Okay. I thought it would be
20 worthwhile, our spending a little time
21 thinking about how we as a Committee can work
22 most effectively in our role as advising to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA. That is, that's our charge, is to be
2 useful to FDA. And in some sense that's FDA's
3 commitment and my commitment as Chair to the
4 Committee, that everybody have a feeling that
5 their time is being used well.

6 And so with Lee's forbearance I
7 said, let me tell you what I understand to be
8 how the system works, and then Lee will
9 correct me if I'm wrong.

10 So this is an Advisory Committee.
11 That is, we don't make explicit
12 recommendations. We don't set the law in any
13 way for FDA. We just provide advice, and some
14 of the advice is in the form of what at EPA
15 they call consultation. So this would be an
16 EPA -- at EPA they call it consultations and
17 reviews. EPA has a formal status where
18 somebody gives you a document that is actually
19 reviewed and it goes through formal.

20 To the best of my understanding we
21 don't have any formal reviews. There are no
22 documents that officially summarize the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinion of this Committee. Everything we do
2 is in the form of a consultation, and in a
3 sense we prove our worth by saying things that
4 FDA finds useful.

5 And so how does FDA -- please stay
6 with me if you can. Are you here? Okay. I
7 just want to make certain I'm not getting off
8 line. Trying to stay within the Federal
9 Advisory Committee Act.

10 So one way we provide advice is
11 that at the end of the meeting Lee, as the
12 Designated Federal Official, writes up a
13 summary. There's a quick summary that she
14 develops in consultation with me and perhaps
15 with Nancy Ostrove that then goes up as high
16 as the Commissioner's Office to get what the
17 sense of the meeting was.

18 And there was such a summary. It's
19 unofficial, and there was such a summary that
20 went up from our last meeting, and then
21 there's an official summary of the meeting
22 that members of the Committee get that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed in conjunction with Nancy, Lee and
2 myself, and everybody gets an opinion, and
3 then it goes out and becomes an official
4 document.

5 The transcripts of our meeting then
6 become available for anybody who is interested
7 in this topic. The representations to the
8 Committee, that our meeting serves as a
9 catalyst for producing testimony like we've
10 just heard and all of the submissions to the
11 docket. So if someone is concerned with this
12 specific aspect of direct-to-consumer
13 advertising, then this is a place where they
14 can find the information, which is
15 distinguished from all of the work that's out
16 there about direct-to-consumer and that we're
17 looking at this particular topic.

18 In addition to that, there are
19 members of industry, of NGOs and of the FDA
20 staff who are in the audience, some addressing
21 us and some just in the audience, hoping that
22 we'll say useful things, and if we say useful

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things, then there's some chance that they'll
2 go off and if in industry, find out a way to
3 make a buck out of it, and if they're in
4 Government, find out a way to improve
5 processes, or if they're in NGOs, find out a
6 way to improve the operations of their work.

7 So there's a sense in which some of
8 our impact is intangible because we're not
9 saying, "Thou shalt do X," and waiting to see
10 when that comes through.

11 I'd hate to have this be a
12 situation in which we're hiding behind the
13 intangibility to feel that we're doing a great
14 job and not really doing it.

15 So John just before lunch had an
16 intervention that he felt somehow or other the
17 way things were being framed were not
18 producing the kind of input that he was
19 looking for and set up an independent
20 operation that as he carefully said has
21 nothing to do with FDA that will, he hopes,
22 help him to be a more effective member of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee and then help FDA additionally.

2 On the topic that we have now in
3 front of us, FDA has a requirement to produce
4 a study within 24 hours and report to the
5 Congress with a requirement to consult with
6 this Committee. I hope that we're making
7 ourselves useful to the FDA working group that
8 I guess Kristin described, and if we made
9 ourselves very helpful, maybe you'll come back
10 at our next meeting or the meeting afterwards
11 for even more pointed questions.

12 So that's my understanding about
13 how we're advising FDA. I'd like Lee or Nancy
14 or Kristin to correct me if I'm wrong and
15 perhaps to hear from members of the Committee
16 on how they see the process as working, and
17 then we'll go on to our next panel.

18 DR. ZWANZIGER: Thank you, Chairman
19 Fischhoff.

20 I think you've captured both the
21 advisory aspects of the Advisory Committee as
22 well as the public transparency and public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interaction components quite well.

2 Do you want to say anything
3 further?

4 DR. OSTROVE: No. I mean, I agree
5 with you. I would make two small, minor kind
6 of clarifications, which is that the
7 transcripts can go up before the official
8 minutes are made available to the public. So
9 they are available, I believe, within two
10 weeks approximately.

11 DR. ZWANZIGER: Two weeks is about
12 what we contract to get from them. So it's
13 usually a little longer than that.

14 DR. OSTROVE: Okay.

15 DR. ZWANZIGER: But as soon as we
16 can get them up.

17 DR. OSTROVE: As soon as we can get
18 them up.

19 And I have to say, Dr. Fischhoff,
20 that if we had to do the report in 24 hours
21 for this particular report, that would be
22 problematic. So they did give us 24 months to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do it.

2 (Laughter.)

3 CHAIRMAN FISCHHOFF: Twenty-four
4 hours, did I say -- there's a 24-hour report
5 from the meeting.

6 DR. OSTROVE: From the meeting.

7 DR. GOLDSTEIN: Okay, yes.

8 DR. OSTROVE: Okay. So that was
9 it. Thank you.

10 CHAIRMAN FISCHHOFF: So let me ask
11 if any member of the Committee either has
12 input or on these questions of process things
13 that they'd like to direct to the people. For
14 those who weren't here, the first meeting we
15 had one day in which we got a general
16 background. It was just very general. I
17 found it a very interesting discussion, and
18 the second day was a topic that was brought to
19 us by the FDA staff that was in the FDA
20 Amendments Act where we provided advice on
21 recall notices.

22 And now we've had topics that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been brought to us again from the staff, and
2 they're on their agenda. They're ready to run
3 with them. If you read the FDA Amendments
4 Act, there are a few other things that are
5 probably heading our way in the same sense.

6 That does not prevent us from
7 saying, "Here's something that we'd like to
8 talk about. Can we squeeze that in in
9 addition to the things that Congress has
10 required you to look at?"

11 So if anybody has any comments on
12 this or a chance to ask our staff, I'd like to
13 take this as an opportunity.

14 Marielos.

15 MS. VEGA: I just wanted to make a
16 comment regarding some of the documents that
17 we got in terms of what is available in the
18 Website. I was mainly looking at the
19 information that is available in Spanish.

20 And at the end of all, either the
21 brochures or the pamphlets or all the other
22 type of documents for the Spanish speaking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population, there's a number that you can call
2 if you require more information or you need
3 more clarification about something.

4 And I actually wanted to see how it
5 worked. So I did pick up the phone and made
6 the phone call, and I expected a message in
7 English first and then in Spanish, but no,
8 everything was in English, and there was no
9 way for me as a non-English speaking person to
10 know what to do after that.

11 So I think it would be very
12 important to look at that because I'm not sure
13 how many people will use it for information,
14 but I think there should be a message where
15 we'll direct the Spanish speaking population.

16 I don't know if the FDA has at any
17 point done an evaluation or looked at what is
18 the impact of all this information within the
19 Website. Are people really accessing the
20 information? Is it being cost effective to
21 put all of this out there?

22 And I think it goes back to a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the things that we were discussing this
2 morning, the benefits of advertisement, the
3 benefits of labeling. It's really getting to
4 the outcomes that we want to see.

5 So my main point was concerning the
6 number, but also I found that some of the
7 language materials, there is a disclaimer.
8 Then the documents are undergoing review by
9 Spanish speaking reviewers, but they have not
10 been edited. The English version of the
11 document is considered the official guide on
12 the topic.

13 So I'm curious to know if even when
14 it comes to the advertisement aimed for
15 example in Spanish, the Spanish language, if
16 the FDA reviewed any of those other Spanish
17 language materials or it's just the English
18 version is official because what I have found
19 is that many times when people take the
20 English versions, they just do a translation
21 word to word of the information and really the
22 message at the end is many times the wrong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 message.

2 So I don't know if anybody from the
3 FDA can.

4 MS. DAVIS: Sure. When we receive
5 materials that are in a foreign language and,
6 in particular, in Spanish, if there's an audio
7 component we do try to get a native speaker to
8 listen to that for the pacing, the
9 articulation and all of that.

10 To be honest, for some of the print
11 pieces that come in, they do have to come in
12 with an official translation, a certified
13 official translation, and for most of our
14 staff that would be what we look at if we do
15 not ourselves have the ability to read the
16 other language, whatever it may be.

17 And as far as the broadcast ads
18 where we do listen, whether it's radio or
19 television, you know, we find someone within
20 FDA that can help us with that. The only
21 language I'm aware of though is Spanish. I
22 don't think I've ever seen -- this doesn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean it doesn't exist -- a broadcast in a
2 different foreign language. So just to let
3 you know that.

4 DR. OSTROVE: And in terms of the
5 questions about the Web and our brochures for
6 which we provide translations, and I believe
7 some of your questions were concerning that, I
8 think there's more than one person you would
9 have to ask that question in order to get a
10 complete answer.

11 Now, one of those people, Ellen
12 Frank, is on the panel this afternoon, and we
13 can ask her about how at least the Center for
14 Drug Evaluation and Research deals with the
15 brochures that it translates into Spanish.

16 The other group that does a lot of
17 work that we heard from at our first meeting
18 in this particular arena in terms of providing
19 translations of brochures into different
20 languages is the Office of Women's Health. I
21 don't know if we have anyone here from that
22 group, but I do know that they do a fair

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 amount. Well, all of their communications are
2 at least focus tested.

3 Now, I don't know how they do that.

4 I'm assuming that they do that for other
5 languages as well, but I could not swear to
6 that.

7 So that is something that we could
8 get further information for you and then
9 transmit that information to you at another
10 time when we've kind of checked up on it
11 because I don't want to give incorrect
12 information today.

13 MS. VEGA: One of the reasons why I
14 asked that is because in a lot of the things
15 that I review that were sent to us in terms of
16 like consumer education about generic drugs,
17 in the Spanish version, a lot of the words
18 that were used, they were "drogas genericas,"
19 is generic drugs, but for a lot of people the
20 word "drogas" in Spanish is a term that they
21 will associate with actual drugs like
22 marijuana, cocaine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I did find a PowerPoint
2 presentation at the FDA Website that used the
3 word "medications genericas," which is more
4 appropriate for the Latino community. So
5 that's why I was interested in knowing how the
6 materials are tested.

7 DR. OSTROVE: And you bring up a
8 very important point that we really are
9 cognizant of. I mean, your point especially
10 which I need to follow up on concerning the
11 number, and I'm not sure what the number is
12 to. So I would have to do some more research
13 on that at the end of brochures that we put
14 out that are in Spanish, and then you made a
15 call and you really didn't even get the option
16 of hearing any information or talking to
17 someone in Spanish. That's certainly
18 something that we need to think more carefully
19 about because you do set up certain
20 expectations by having the brochure out there,
21 and those are expectations that we need to
22 consider in what we then kind of have on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 back end of that process.

2 With regard to the Web, that's a
3 project in evolution, and we are moving toward
4 trying to do a better job of determining what
5 the impact is. It is really undergoing a lot
6 of change. It's being done incrementally, but
7 it is happening. I think we had a short
8 presentation about that the last time, about
9 how our consumer Website home page has
10 changed.

11 So we're working on that one, and
12 that's as far as we can go at this particular
13 point, but we expect to make progress in the
14 near and far future.

15 DR. DeLaROSA: I have a comment in
16 regards to this Committee, and this Committee
17 is different or unique from other committees,
18 such as Biologics and Devices, et cetera, in
19 that when you were one of those committees and
20 you advise, you know the next week if your
21 advisement -- what happened with the device or
22 what happened with the biologic, if it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approved or disapproved by FDA, et cetera.

2 But I see that we advise and we
3 might not necessarily get back that feedback.

4 For example, as the last meeting that we did
5 have, we advised on sort of a central type of
6 communicator from the FDA to consumers, and
7 again, I don't know how far that's gotten or
8 not, but I think it would be nice to at least
9 get a feedback back to the Committee on every
10 meeting that we have on our last previous
11 meeting and where it has gone, how high it has
12 gotten. Is it being discussed or the Office
13 of the Director decided, no, we don't want to
14 do that, but at least get some feedback
15 because that is where we're different than
16 other committees. We don't know that our
17 product is now being used or FDA approved.

18 CHAIRMAN FISCHHOFF: Yes, and maybe
19 that suggestion, among others, was in the
20 report that went up and maybe the staff could
21 tell us, you know, put your heads together and
22 see what you could tell us by the end of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 day.

2 I don't want to put you on the spot
3 right now, but I want yo to think about what
4 you can tell us without internal secrets.

5 DR. OSTROVE: That's fair. We will
6 put our heads together and we'll absolutely do
7 that.

8 CHAIRMAN FISCHHOFF: Okay.
9 Madeline and then Linda.

10 MS. LAWSON: I just had a couple of
11 questions, well, one question and a comment
12 from the panel this morning since we have
13 time. Dr. Andrews' presentation, under the ad
14 research findings on minorities you had stated
15 that for African American consumers the
16 product evaluations were more favorable for
17 African American versus white models, but only
18 for African Americans who identified strongly
19 with the African American culture.

20 And I'm just curious how it was
21 determined those who identify more favorably
22 with their culture than others. That was very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 curious to me.

2 The other was basically a comment
3 to Dr. Holt. You had a very good presentation
4 from what I could hear. I couldn't hear it
5 all, and I just wondered if you could make
6 your presentation available to the Committee.

7 DR. HOLT: Sure.

8 DR. ANDREWS: To answer your
9 question, that's a great question. I wondered
10 about that as well. Tony Whittler was the
11 author on that, and it was a scale item. He
12 had a measure to measure this, and this was
13 given to all of the people in this experiment,
14 and I can find that for you later on.

15 MS. LAWSON: Okay.

16 DR. NEUHAUSER: I had a comment
17 about Ms. Vega's comments earlier when she was
18 talking about issues with Spanish language
19 adaptations or translations. I have seen this
20 as a cross-Federal agency major issue that has
21 been poorly addressed. I don't know of a
22 model agency, but there might be one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And one thing I could suggest is
2 that the National Library of Medicine in 2006
3 held a workshop on Hispanic outreach for
4 health communication, talked about a lot of
5 the issues that you just brought up and more.

6 It would be very relevant to the issues that
7 are being discussed by this Committee and
8 beyond, and I would recommend getting a copy
9 of the proceedings and recommendations. I can
10 help make those available, but Rob Logan at
11 the National Library of Medicine would be one
12 person to contact. He might be here. So that
13 was one thing.

14 The other is that in terms of
15 process, I think a lot of the issues that
16 we're asked to discuss here relate as we have
17 seen in the last meeting and this meeting to
18 -- they have research implications. So
19 anything that this Committee is asked to think
20 about and put forth advice, for example, on
21 the template that we talked about at the last
22 meeting and issues on this meeting relating to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 television advertising, et cetera, should be
2 evaluated for their impact.

3 And this brings up the issue of how
4 do you find the resources to do that. Are
5 they within the agency or elsewhere? So I
6 would recommend that as a process issue we
7 always have some focus on the research
8 implications, including the funding needed to
9 get that work done and perhaps think a little
10 more creatively as I suggested this morning
11 about how we might do this within the Federal
12 Government system.

13 DR. PETERS: I actually had two
14 questions for Craig Andrews, if you don't
15 mind. My first question had to do with the
16 statement you said, that older adults use more
17 peripheral than central processing in these
18 advertisements, and I was wondering whether
19 those were actually done on prescription drug
20 ads.

21 And the reason that I ask is that
22 there is some literature from Tom Hess and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of his colleagues suggesting that when
2 information is less meaningful and relevant
3 then older adults do sort of use this
4 peripheral kinds of processing, but if it's
5 more relevant and more meaningful to them,
6 that they actually engage more in central
7 kinds of processing more similar to younger
8 adults.

9 And I would suspect that if I was
10 an older adult and I had a condition and/or a
11 drug I was already taking, that would be
12 pretty meaningful to me.

13 DR. ANDREWS: No, I totally agree
14 with you, and a lot of these studies were not
15 specific to DTCA. However -- to advertising
16 in general. So I totally agree, and I think
17 that's the ultimate question in how you get
18 them to switch, to make sure that they're
19 fully processing in a central fashion.

20 DR. PETERS: Yes. My second
21 question was also around that same point.
22 There's one way that drug ads differ from any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other kind of advertising. Most ads present a
2 happy picture of a product and talk about all
3 of the benefits of that product for you or
4 your family or whoever.

5 Drug ads have two aspects to it
6 though. They do talk about the happy picture
7 and they talk about the benefits, but they
8 also have to include negative information.
9 They have to talk about the risks.

10 And there are actually two
11 possibilities from the theoretical literature
12 that would have implications that might
13 predict how older adults would respond. One
14 actually goes back to one of the public
15 comments, and I'm afraid I forget the
16 gentleman's name, but having that tradeoff
17 between positive and negative may actually
18 lead to increased upset and a desire to
19 delegate and avoid kinds of decisions. That's
20 one possibility.

21 A second possibility is, okay, you
22 have positive and negative information there,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it may be that, again, according to the
2 theoretical literature, that older adults may
3 focus relatively more on the benefits than the
4 risks, a kind of positivity effect from Laura
5 Carstensen's work and some other work from her
6 lab and related labs.

7 Is there anything that you know of
8 that would relate to either of those?

9 DR. ANDREWS: I immediately thought
10 of prospect theory with a focal attention to
11 more of the negative than the positive.

12 DR. PETERS: Shown mostly with
13 younger adults, by the way.

14 DR. ANDREWS: So I would think that
15 that might be weighed a little more, but
16 again, I can't generalize here, especially to
17 DTCA situation, but that just comes to mind.

18 DR. PETERS: Yes, the evidence for
19 prospect theory comes mostly from younger
20 adults and not from the elderly. There's very
21 few studies with elderly adults that I know of
22 at least.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ANDREWS: I think that would be
2 definitely worthy of some research.

3 DR. PETERS: Yes, thanks.

4 DR. MORRATO: I had a comment on
5 Dr. Holt's presentation that I thought would
6 be good to share in which she was talking or
7 discussing about the value of pre-testing.
8 And when one thinks about advertising
9 development, you know, there's pre-testing
10 that's going on in qualitative focus groups.
11 It's followed by quantitative testing and
12 such.

13 We were having some discussion
14 about the FTC as a model in which they
15 actually have guidance around standards for
16 concept testing and expectations of how those
17 kinds of studies should be done. It's
18 something I think the FDA may want to consider
19 as you're writing guidance documents for DTC
20 advertising, whether or not some of those same
21 principles could be adopted here so that you
22 get the notion of any of the pre-testing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more transparently presented, and it's not all
2 behind the scenes, just done by the
3 manufacturer and people don't know about it.

4 And oftentimes in the pre-testing
5 is where the rubber hits the road, and you
6 understand how things are perceived and are
7 you really communicating and what are you
8 communicating, and I think there would be
9 value in making that a more transparent
10 process.

11 CHAIRMAN FISCHHOFF: Could you say
12 a little bit more? Who does the work for the
13 FTC?

14 DR. ANDREWS: At least when I was
15 there a few years ago, they have in-house copy
16 test experts to do this. Of course, if it
17 would go beyond a consent agreement to trial,
18 there's outside experts that get involved in
19 copy test work, but there are some standards
20 that are out there for copy testing
21 guidelines.

22 CHAIRMAN FISCHHOFF: And just from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what you know about them, how applicable would
2 those be to pharmaceuticals?

3 DR. ANDREWS: I think that's worth
4 exploring, I think. There are other issues as
5 mentioned before as far as the communication
6 of fair balance of risks and benefits,
7 certainly, but I think you've got a
8 communication aspect with consumers to know
9 what exactly the take-away would be, the net
10 impression of the entire ad.

11 However, it's different. You've
12 got credence claims where they might not fully
13 understand all the aspects, let's say, of the
14 particular content.

15 CHAIRMAN FISCHHOFF: Thank you.

16 MS. MAYER: Just a question for
17 either Dr. Andrews or Dr. Holt. You know we
18 haven't talked much about the perception of
19 benefits, except in rather vague terms, and I
20 think that's likely because especially
21 television ads really don't make very specific
22 or quantifiable claims. They don't say, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 example, you know, X percent of patients with
2 this condition benefitted from taking this
3 disease.

4 Yet there is research from Dr.
5 Schwartz and Woloshin to suggest that actually
6 comprehension may be better with benefit,
7 quantified as well as harm is quantified.

8 So I think I'm just sensing I'm
9 putting myself in the position of a patient
10 trying to weigh risks and benefits, and I
11 understand that the physician is the
12 intermediary here and hopefully will be giving
13 a patient a clearer sense of benefit, but I
14 know that in the real world that often doesn't
15 happen. There's just simply a recommendation
16 in the ten minutes or so that the patient has
17 to spend with her physician.

18 So I'm wondering what the research
19 suggests and what you think about quantifying
20 both harms and benefits from drugs.

21 DR. ANDREWS: I can't comment
22 specifically on DTCA, but from what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presented, we were really concerned about this
2 idea of competing modality where you've got
3 benefits being portrayed visually in terms of
4 peripheral executions. I don't know. There
5 was an untitled letter years ago, I think, on
6 somebody doing cartwheels, I think, on a beach
7 or something where the risks are presented in
8 an audio fashion at the same time.

9 I think, you know, the research
10 shows that obviously the picture, the visuals
11 are very powerful, and so that does concern
12 me. As far as specific research on DTCA, I'm
13 not aware of that. I'd love to see something
14 in that fashion.

15 The other thing that can be done is
16 certainly on a copy test you can look for
17 specific beliefs that might be a fact in
18 different populations.

19 DR. HOLT: I don't have anything
20 significant to add. My area specifically is
21 more in the area of health communication than
22 risk communication. There are probably others

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the room more qualified to comment on this,
2 but quantifiable is probably better, it would
3 seem, than not, but I think we have to be very
4 careful because the literature seems to
5 indicate that there's a great deal of
6 potential for a problem in understanding, even
7 when a quantified risk is presented. Does the
8 patient really understand what that means for
9 them?

10 That's my limited two cents worth.

11 DR. HUNTLEY-FENNER: Again, a
12 question for both Drs. Andrews and Holt, and
13 this relates to the issue of persuasion and
14 credibility. My question has to do with how
15 the message and the source interact. I'm
16 thinking of an occasion. I'm a school board
17 member in my local community, and one of our
18 students commented on health education,
19 particularly with regard to sort of safe sex.

20 And he found one source to be
21 incredible in part because of their avowed
22 sexual non-history as sort of telling him

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 something that was relevant to his experience
2 as a high school student.

3 I know that individuals have very
4 sophisticated filters that they apply to
5 messages. It is possible that in some cases
6 you might look for benefits, let's say, from
7 the manufacturer and risk information from the
8 FDA, and even though both sources have both
9 types of information, you filter out one type
10 of information when it comes from one source
11 and filter in that type of information when it
12 comes through another source based on your
13 understanding of the source and your
14 credibility.

15 Is there any evidence bearing on
16 that hypothesis?

17 DR. ANDREWS: I think there's a
18 rational assumption on prior expectations
19 you'd have to know the expectations and levels
20 of involvement. I know back in my
21 dissertation we worked with source of facts
22 versus the message and the traditional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypothesis via ELM would be under low
2 involvement, that people would focus more on
3 source of facts rather than a message and vice
4 versa on high involvement.

5 So it really depends, I think on
6 the audience and what they're involved in.
7 Sometimes it doesn't always work, but you have
8 to look at the context. I would say the prior
9 expectations and involvement or motivation
10 levels would be important.

11 I don't know. Did that help answer
12 a little bit?

13 DR. HUNTLEY-FENNER: So then what
14 are the implications if you're going to design
15 a communications system that's going to
16 provide both risks and benefits? It seems
17 like multiple sources would be relevant and
18 you couldn't assume that a single source would
19 provide everything that every type of
20 individual would need in order to make a
21 rational choice.

22 DR. ANDREWS: I guess back to my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days at the FTC, I think copy testing would be
2 important to understand their expectations
3 about these sources or in a true experimental
4 design you'd want manipulation checks to find
5 out how the sources differ, and if you knew
6 that, then you would know maybe the
7 interaction with the message.

8 DR. HOLT: And you mentioned one
9 source, and again, that kind of goes back to
10 the one source is probably not going to fit
11 all, if you will, for the most credible, most
12 effective communication.

13 CHAIRMAN FISCHHOFF: Okay. Well,
14 then let's move on to our next panel, which
15 will be people from FDA. These are all slide
16 presentations.

17 MS. FRANK: Good afternoon. My
18 name is Ellen Frank. I'm the Director of the
19 Division of Public Affairs in OTCOM, which is
20 the Office of Communications and Training in
21 CDER, and what I'd like to do this afternoon
22 is give you a little bit of a background of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we do in my division and then show you
2 some examples of some of the education
3 materials that we're putting out and talk
4 about some of the vehicles we're using and
5 some of the things that we think we've been
6 effective in doing and some of the areas that
7 we need to work harder at.

8 First, I'd like to tell you about
9 our division. We've been going now for about
10 ten years, and when I first came to FDA about
11 12 years ago, there wasn't even a
12 communications office. We didn't even have a
13 way of getting messages out to the public, and
14 then there was talk about we're FDA. We've
15 got a great name. The consumer has a lot of
16 confidence in us. Why aren't we doing more to
17 take messages about using medicine safely and
18 getting that out to the consumer with FDA's
19 name on it?

20 So we started to come up with
21 education campaigns. Now, we couldn't compete
22 with private industry because we didn't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 funds. I mean, literally I operate on, you
2 know, in a good year \$40,000 a year, and you
3 know, one ad is \$80,000 in one magazine. So
4 we couldn't depend on a budget to actually go
5 out and educate consumers on certain issues.
6 We had to be creative.

7 And I'm going to show you a lot of
8 examples of how we've worked with partners,
9 national organizations and in some cases with
10 industry to get messages out.

11 Now, our messages have to be
12 general. We can't talk about a specific drug.

13 We don't want to promote or say anything
14 negative or positive about one drug. So we
15 have to come up with messages that had a
16 broad audience and what I'm going to focus on
17 today is not all of our messages. I'm going
18 to focus on messages we've developed for the
19 elderly, for children, and minority groups
20 because I know that's the focus of this
21 meeting.

22 First of all, in our division we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usually decide on what campaign we're going to
2 do in a variety of ways. Sometimes Congress
3 says to us, "Go and do education on generic
4 drugs," for example. Other times the national
5 organization will come and say, "There's an
6 important issue here. Can you do an education
7 campaign on this?"

8 And other times we within FDA
9 think, well, this is an issue that needs to
10 get out in a long term basis, beyond just a
11 press release or a public health advisory. We
12 need to take this message and have it go out
13 even further.

14 And what we've learned about our
15 education campaigns is there isn't a one prong
16 approach. It's a multi-channel approach and
17 people receive information in a lot of
18 different ways. Some people like the radio,
19 some TV, some magazines. Some like to pick up
20 a brochure. Some like to hear it from their
21 doctor.

22 So the way we've decided to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 since we don't have a budget is get to as many
2 different people as we can through as many
3 different vehicles with as creative a way we
4 can without a lot of funding, and that's what
5 I'm going to show you some examples of what
6 we've done.

7 I am always open for new
8 partnerships. Any ideas, because every time I
9 speak at a meeting if I can come up with one
10 or two new ideas on who to partner with or
11 what messages we should be talking about,
12 that's a success.

13 So first I want to focus on the
14 elderly. We've developed several products
15 that have been specific to the elder
16 population. Now, you can look at all of our
17 products and say they reach the elderly and
18 that's our audience because the highest users
19 of medications are the older generation. So
20 really everything we do is used for the
21 elderly.

22 But there are some specific pieces.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We partnered with the Council on Family
2 Health on this brochure, and what's specific
3 about this material is that it focuses on
4 what's unique about what happens to your
5 bodies when you're growing older and why you
6 should be looking and taking and asking about
7 medications differently because you're aging.

8 We've worked with the Substance
9 Abuse and Mental Health Services
10 Administration. They are focused on alcohol.

11 We were focused on medicines. We've joined
12 in a partnership to educate the aging on how
13 medicines and alcohol affect each other and
14 what the elderly could do to reduce adverse
15 events caused by the interaction of both.

16 We've come up with public service
17 announcements. Now, public service is just
18 that. We go and we send them out to
19 magazines, and then we kind of cross our
20 fingers and beg and hope that they're used.
21 Sometimes yes, sometimes no, but when we get a
22 hit, that could be a \$100,000 full page in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 magazine. That's a success. So we don't give
2 up.

3 We meet with magazine editors and
4 advertisers and try to convince them that
5 messages are worthy of them putting in.

6 These are some other ads we worked
7 on for the elderly. These talk to them about
8 making sure that when they're using their
9 medicines that they're reading the label.
10 They're not taking too much of the same active
11 ingredient, and they're taking the right dose.

12 We worked on a campaign about
13 aspirin. We realized that a lot of people
14 were taking aspirin incorrectly. They thought
15 they had heard in the media, hey, aspirin
16 prevents me from having a heart attack. I'll
17 just go and take a Bayer or take an aspirin
18 every day.

19 Well, wrong. That could cause
20 damage. That could cause adverse events. So
21 we wanted to educate the public about how to
22 take aspirin safely, and most importantly,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they should talk to their doctor first
2 before they go off and take aspirin on their
3 own.

4 We did an education campaign with
5 the Department of Transportation on driving
6 and taking medications, and this was kind of
7 tricky because we had to stick with what the
8 label says, and the label basically says make
9 sure that if you're taking a medication it
10 might cause drowsiness. Maybe you shouldn't
11 drive a vehicle. So this was just an
12 awareness campaign to get those using
13 medications to read the label and see if it
14 gives you a warning about driving, especially
15 the elderly under medications. Maybe they
16 shouldn't.

17 Oh, and I want to go back. This is
18 an example where we would creatively figure
19 out how do we get this message out. So one
20 member of my staff contacted Mapquest, and
21 Mapquest went ahead and for free put that
22 little tag line on top of every Mapquest.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Every time somebody clicked on Mapquest, they
2 would get that driving, click the medicine
3 label, make sure you're -- you know, check on
4 the medicine label to make sure you're able.

5 That was the message, that if the
6 reader clicked on that, it would take him to
7 the FDA Website where they would get more
8 information about the hazards of driving while
9 using medications.

10 So that's the kind of example of
11 where we're always thinking of creative and
12 inventive ways to get out messages out.

13 We worked with the National
14 Consumers League on this campaign, and it was
15 about mixing medications. Make sure that you
16 read the label so that you're not taking a
17 medication that has an active ingredient that
18 shouldn't mix with another active ingredient.

19 The misuse of acetaminophen and
20 ibuprofen is a big issue in FDA and outside of
21 FDA, and this was a hard PSA to get into
22 magazines because for some reason they didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want that next to their ads that the drug
2 companies were paying for. We understand
3 that.

4 So what we're trying to do is get
5 the message out from FDA that if you're taking
6 a medication, check to see if it has
7 acetaminophen in it. If it does or if it has
8 an active ingredient in it and you're taking
9 another medication over the counter or
10 prescription, make sure it doesn't have the
11 same active ingredient or that you talk to
12 your doctor before you take too much.

13 And we really believe that people
14 just aren't aware of this, and this is just a
15 good awareness campaign because there are a
16 lot of incidences coming into FDA where
17 acetaminophen is taken too much over too long
18 a period of time and there's adverse events.

19 We did a campaign on buying drugs
20 outside the country, and FDA's message on
21 this, I believe it still stands, is don't do
22 it. We know that the older generation, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general population is trying to reduce cost
2 and buying their medications from outside the
3 country. We want them to know that this is
4 risky. They don't know what they're getting.

5 They might get a counterfeit. They might get
6 a fake drug, a drug that has no ingredient at
7 all, and that they just shouldn't do it.

8 We worked with the United Health
9 Foundation Insurance Company, and we came up
10 with a message that we both felt passionate
11 about, and this was that exceeding the
12 recommended dose can do more than wipe out
13 your pain. Just ask your major organs.

14 Originally that said, "Just ask
15 your liver," but we didn't want to be
16 specific. So we had to compromise. That's
17 okay. That's still a good message, and it
18 gets the point across that if you take too
19 much of the same active ingredient, it can do
20 your internal organs harm.

21 Now, this was a success because we
22 wanted to get this message out. So did United

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Health Foundation. We worked on it together.

2 It was a real partnership, and fortunately
3 they had the funding to get this into all of
4 those magazines on the left-hand side, full
5 page ads over a six-month period. That's a
6 real success when we can get a message out to
7 that large an audience.

8 Our resources in terms of time went
9 in, but we didn't have the funding to do it.
10 So it was a great partnership.

11 There are public service ads that
12 we developed for the consumer, and this is
13 about making sure the consumer plays a role in
14 their health care, that they need to balance
15 the benefits and risks of taking medications,
16 and that they need to talk to their doctor,
17 know what questions to ask, look at what the
18 side effects might be and make the decision
19 that's best for them.

20 These are some more of our risk
21 management education public service
22 announcements, and these have had some pretty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 good play. In the little booklet that you
2 pick up at CVS and RiteAid, that little
3 booklet that a company called Medizine puts
4 out, they often put our one-page full color
5 ads in those when they have remnant space,
6 and that's a great partnership that we have
7 with them.

8 Generic drugs was and still is a
9 big campaign that we're running, and we have
10 done this, the generic drug campaign, and a
11 lot of the pieces I've shown you and I'll show
12 you will be in both English and Spanish, and
13 this was a campaign that was funded by
14 Congress, and it is still going on, and the
15 goal of this campaign is not to have consumers
16 switch from generic to brand name, but to give
17 them the confidence in generics that they may
18 not have so that when they take a generic or
19 are given a generic they understand that it is
20 equivalent.

21 We came up with a brochure that is
22 in consumer language that they can understand,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and this brochure we've done in several
2 languages, and it's one of our most popular
3 pieces with the drug stores. We meet
4 regularly with the top person at the major
5 chains, the people who can make the decision
6 as to what of our materials will go in the
7 drug stores nationwide, and this is one that
8 they are interested in.

9 Some of the problem is that we
10 don't have a printing budget to supply, for
11 example, 4,000 Walgreen stores, but Walgreen's
12 took our generic education brochure, and they
13 went and printed it and put it in all of their
14 4,000 Walgreen stores. So that's another
15 successful example of a partnership.

16 We developed this on-line My
17 Medicines Record, and what's unique about this
18 and great about this is the consumer can go on
19 line, type in the medications, all the
20 information there, print it out, take it to
21 their doctor, their pharmacist, their health
22 care provider, and they can go in and update

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it.

2 So it's a great interactive tool
3 because we know medications are always
4 changing, and we want them to have the most
5 updated list of what they're taking with them
6 at all times.

7 We have done quite a bit for
8 children in our division, and these are some
9 examples of the materials we're doing to
10 educate consumers about children. This is
11 Kids Aren't Just Small Adults, and it's a
12 brochure we did in English and Spanish in
13 partnership with the Consumer Health Care
14 Products Association. It's a really good
15 brochure for parents to learn how to give
16 medicines to children. It touches upon all of
17 the areas, dosing, the weight of their child,
18 the dosing mechanism to use. It's a great
19 brochure, and we're always running out of it,
20 and it was a partnership where Consumer Health
21 Care Products did a massive printing, and they
22 get a lot of requests for this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We did several public service ads
2 within our division. We wanted the consumer
3 or the parent to know how important it is to
4 know the weight of your child when you're
5 giving medications, and we have sent this out
6 to a variety of magazines like parent
7 magazines in hopes that they'll run this.

8 Antibiotics resistance, we've
9 targeted a lot of different audiences. We
10 have messages in Spanish. We have these
11 messages for parents about giving antibiotics
12 to children, and the message here is make sure
13 if you're giving an antibiotic to your child
14 that they really need it. Ask the doctor if
15 what they have requires an antibiotic because
16 of the misuse and the overuse of antibiotics
17 and the potential for resistance.

18 Medicines in my Home Program
19 started out to be initially a program aimed at
20 sixth graders for teachers -- well, that
21 really shows the slide up well. This program
22 was geared for sixth graders, and it was aimed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for teachers to use in their curriculum to
2 teach young children about the importance of
3 medicines at a young age, and that they should
4 also be aware of how to use over-the-counter
5 medicines, and it's never too soon to start
6 educating.

7 And Karen Feibus, Dr. Karen Feibus
8 is going to talk about all of the intricacies
9 and how this program has expanded and how
10 important it is in her presentation.

11 Well, we went to a little higher
12 age group of children. This is aimed toward
13 teenagers, and the goal of this is to -- what
14 we realized is that there is an increase in
15 teens using prescription pain relievers, for
16 example, like OxyContin and Vicodin, misusing
17 those, taking them to parties, putting them in
18 these big bowls, having kids just pop them,
19 and the kids, if they knew that they could die
20 from doing that just once, maybe they wouldn't
21 do it, and this is what the message is for
22 those kids, it's to tell them, you know, just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because they're approved drugs doesn't mean it
2 can't kill you if you misuse it.

3 Now, these drugs if they're used
4 properly, they are safe and effective, but if
5 they're abused in a way for kids just having
6 fun, they could be deadly, and that's such an
7 important message to get out.

8 We sent this out to hundreds, to
9 thousands of colleges to put this ad in their
10 newspapers.

11 Now, we send stuff out, but we
12 don't always know if they're using it, but
13 that's okay. We're hoping they do, and every
14 once in a while we get feedback. So what we
15 think is what we hear back of the use of our
16 materials is just the tip of the iceberg. We
17 know that a lot of our materials are being
18 used and people aren't just informing us or
19 showing us how they're using it.

20 We did for the Native American
21 community a brochure in cooperation with CDC
22 on educating them about the misuse of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibiotics. So we're reaching out to a large
2 variety of different audiences.

3 The Hispanic population is able to
4 learn about OTC medicines with this brochure,
5 English on one side; you flip it over and it's
6 Spanish on the other. This was in cooperation
7 with Consumer Health Care Products
8 Association.

9 We took our generic drug brochure,
10 and we had the field public affairs specialist
11 through a small grant that we gave them
12 translate these into a variety of different
13 languages. So when anybody ever asks us, you
14 know, are you doing anything in other
15 languages, we have this as an example to say
16 that we have.

17 I want to mention now some of the
18 things that we're doing to disseminate our
19 messages. One of the ways we disseminate is
20 we try to get it into the retail stores at the
21 point of purchase in pharmacies and
22 supermarkets where they have in-store

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacies and the in-store clinics that are
2 starting to open up, urgent care centers. And
3 this is an example of where Walgreen's took
4 our poster and reprinted it and put it in all
5 4,000 of their stores.

6 And I know this because I went to
7 Florida to visit my mom and I went into the
8 Walgreen's store down there, and there it was.

9 You know, then I went to another state and
10 there it was. It was like this is great. It
11 was in every Walgreen's store around the
12 country.

13 Fry's, for example, took our
14 Spanish brochure and put it in certain stores
15 where the Spanish population was greater and
16 sent me a photo.

17 So like I said, these are the tip
18 of the iceberg stories that I hear, but when I
19 meet with these folks from the retails once a
20 year, they come to me and say, "Thanks for
21 your materials. I've been using them all
22 year. What else have you got for me?"

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And that's the biggest feedback,
2 and that's powerful feedback knowing that they
3 are using our materials.

4 We also work with the company that
5 puts the little flyers on the bags when you
6 pick up your prescription. They're giving us
7 remnant space to let us put messages on those
8 little flyers that are attached to the
9 prescription bags. Fifty million people since
10 2003 have received our messages this way. K-
11 Mart and CVS have used our messages on their
12 bags.

13 This is newspaper articles. We
14 have some funding. We send it out to 10,000
15 newspapers. We have magazines, as I
16 mentioned, that are putting our PSAs in.
17 We've spent a little bit of money through a
18 company called Viacom to put ads at malls and
19 trains, and we even worked with Blue
20 Cross/Blue Shield, and they did a billboard in
21 Michigan with our public service message on
22 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701