

1 DR. COUCH: How about Japan?

2 DR. COX: They didn't make any mention of
3 using reverse genetics. At the WHO meeting I did
4 discuss our plans.

5 CHAIRPERSON OVERTURF: Any other comments,
6 questions?

7 (No response.)

8 CHAIRPERSON OVERTURF: We are about a half
9 an hour ahead. So I thought we would just go ahead
10 and take the break now, reconvene at three o'clock,
11 and we'll try to answer the questions for the vaccine
12 selection.

13 (Whereupon, the foregoing matter went off
14 the record at 2:33 p.m. and went back on
15 the record at 3:01 p.m.)

16 CHAIRPERSON OVERTURF: Okay. I'd like to
17 call the meeting to order again.

18 First of all, I'm going to have -- Roland
19 is going to give us a breakdown of the options for
20 strain selection. He's going to answer all questions
21 at this point.

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Okay. If you're
2 expecting me to boil this down to one number, I don't
3 think I can do that.

4 Something has happened to my slides here.
5 Where are we? Sorry for that.

6 Okay. So just to summarize a little bit
7 before I go into what the options might be here, for
8 H1N1 Influenza A viruses, as we heard this morning,
9 there are relatively few Influenza A H1N1 viruses that
10 have been circulating around the world, and at this
11 point in time, there is no firm evidence that there is
12 any H1N2 viruses that are still circulating.

13 There have been isolates sporadically from
14 a number of areas, including Africa, the Americas,
15 Asia, Europe, Oceania, basically everywhere, and there
16 has been a single outbreak that was reported in
17 Tunisia.

18 The HAs of the H1 strains are
19 antigenically all very similar to the current vaccine
20 strain, which is A/New Caledonia/20/99, and the H1
21 viruses that are currently circulating are also
22 generally well inhibited by antisera from people that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 have been immunized with vaccines that contain A/New
2 Caledonia/20/99.

3 The high growth reassortant of A/New
4 Caledonia/20/99 is available. It grows well. It
5 manufactures well, and it's a well know entity for the
6 last several years. So for H1N1, of course, the first
7 option is to retain the A/New Caledonia strain as the
8 vaccine strain.

9 And in favor of that, as I just mentioned,
10 most of the H1 viruses are the most recent H1 viruses,
11 the most recently isolated H1 viruses are A/New
12 Caledonia-like by their antigenic characterization.
13 The current vaccines do appear to be well matched for
14 the HA of the current strains, and manufacturing is
15 very well worked out.

16 Against this might be only that there have
17 been so relatively few strains to analyze at this
18 point in time, and the influenza season isn't exactly
19 over yet.

20 For the second option, the second option
21 would be to use a more recent H1N1 virus for
22 manufacturing, and this probe here is, I think, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fairly weak one. There might be a closer match with
2 a hemagglutinin and a neuraminidase of contemporary
3 strains. I think that's more likely in a genetic than
4 in an antigenic sense.

5 Against this option would be that a new
6 strain, of course, we're never really sure that it's
7 going to provide any superior immunogenicity or
8 efficacy compared to current vaccine strain, and
9 certainly nothing has been done to investigate what
10 might need to be done to support manufacturing for any
11 new virus that would be chosen.

12 A third option would be to defer the
13 recommendation, and in favor of that, that there might
14 be or I guess there could be some hope that there
15 would be some other contemporary strains that might be
16 identified that would look closer to what we might
17 expect for next year.

18 But based on what's happened so far, I
19 don't think we have any true expectation that there's
20 going to be any new forthcoming information on H1N1
21 viruses so that that would not point to a good option.

22 For the H3N2 viruses, the H3N2 viruses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have predominated globally during this season, and
2 that has actually been going on for quite a long time,
3 the global predominance of the H3N2 viruses.

4 A new variant that's represented by the
5 A/California/7/2004 strain has been identified already
6 in many areas of the world, although it has only been
7 recognized relatively recently. In January, I think,
8 is when it really became obvious and clear from
9 analysis of the strains that were being collected that
10 there was this new variant that was developing.

11 The HA, most of the strains have been
12 identified, not all, but most are antigenically
13 distinguishable from either the A/Wyoming/3/2003 or
14 the A/Wellington/1/2004 vaccine strains that have been
15 currently in use.

16 And as you saw from the serologies, it's
17 very clear that the overwhelming majority of new H3N2
18 strains are poorly inhibited by antisera from people
19 who have been immunized with the current vaccines that
20 do contain A/Wyoming/3/2003.

21 High growth reassortants, however, for the
22 A/California/7/2004-like strains are not yet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 available, but on the plus side, there are a large
2 number of egg isolates that have been recovered, and
3 they're being evaluated and work is ongoing in many
4 laboratories to prepare high growth reassortants that
5 could be suitable for manufacturing.

6 So for the H3N2, the first option, of
7 course, is to retain A/Wyoming/3/2003. In favor of
8 that would be the manufacturing has been very well
9 worked out. Yields are predictable, and that could
10 all be accomplished easily.

11 Not in favor of that, however, is that, as
12 mentioned, the HAs or most of the H3N2 viruses and
13 certainly the great majority of the viruses in the
14 last several weeks are antigenically distinguishable
15 from the current vaccine strain.

16 And in addition to that serologic results
17 from the current vaccines indicate poor responses
18 against the more recently circulating viruses, and we
19 also know that H3N2 influenza viruses are often
20 responsible for significant morbidity and mortality,
21 and so this choice should be made very carefully.

22 The second option is to change to use a

1 more recent H3N2 virus, and here in favor of that, a
2 more recent strain is likely to provide a closer match
3 with the contemporary strains, and the ones that would
4 be expected to be going forward.

5 The serologic results with the current
6 vaccines do indicate, again, that most of the current
7 strains are not well inhibited by current vaccine,
8 and, again, the morbidity and mortality of H3N2
9 viruses is often quite significant.

10 Not in favor of this, however, is the fact
11 that we don't at the moment have a high growth
12 reassortant for an A/California-like virus in hand,
13 and so yield potential for this, if that would be a
14 choice is really there's no information to go on at
15 all on this.

16 The third option is to defer the
17 recommendation, and in favor of this, the choice does
18 need to be made carefully because of the significance
19 of the morbidity and the morality, and a more recent
20 strain might be likely to provide a closer match for
21 the HA and the NA.

22 But against this is that we already have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a great deal of information from a number of sources
2 about their current H3N2 viruses, and we don't really
3 expect that there's going to be anything that we
4 acquire that's going to change, significantly change
5 or enhance our understanding of that in the next few
6 weeks.

7 And then moving on to Influenza B, as has
8 been pointed out and as has been continuing for some
9 time, the Influenza B viruses and the two known HA
10 lineages are co-circulating. Strains that are like
11 the vaccine HA have continued to circulate, and they
12 seem to be predominant everywhere, including in the
13 United States.

14 However, there are strains that are more
15 like the non-vaccine HA lineage, and they're making up
16 approximately 20 to 30 percent of Influenza B viruses
17 in the United States. Influenza B viruses haven't
18 been predominant most places, but where they have been
19 found, the majority of the strains have been
20 B/Shanghai-like, and as was mentioned, in Japan so far
21 with their influenza season, those are the only
22 Influenza B viruses that are being recovered. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 doesn't mean that that would be true in the future,
2 but that's certainly true at the moment.

3 We didn't dwell on, but there does seem to
4 be some antigenic drift in the non-vaccine HA lineage
5 Influenza B viruses, and for the most part, the
6 B/Shanghai-like viruses, B/Shanghai/361/2002 vaccine-
7 like viruses seem to be pretty well inhibited by
8 antisera from people who have gotten the current
9 vaccine. It's not as clear cut for young children
10 where responses may be less robust, and whereas we
11 have information that suggests that although responses
12 may be reduced against the non-vaccine lineage in
13 adults and elderly, it's pretty clear cut that for
14 very young children who haven't been immunologically
15 primed and/or boosted with both of the different HA
16 lineages that we can expect that immunization with one
17 lineage is not going to produce antibodies that cross-
18 react with the other lineage.

19 At this point no other vaccine strains
20 have really been evaluated for potential for vaccine
21 production, but there are some egg isolates that are
22 available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So having said all of that, the options
2 here, again, would be the first option would be to
3 retain the current vaccine strain, which is
4 B/Shanghai/361/2002-like. In favor of that,
5 manufacturing is very well defined. It's predictable
6 now. The predominant strains continue to be in the
7 same HA lineage, and they have been found in many
8 parts of the world.

9 Against this would be that Influenza B
10 viruses not in the HA lineage in the vaccine have been
11 increasing in frequency a little bit in some places,
12 and it's clear that they're not as well inhibited by
13 either post infection or post immunization antisera,
14 and in particular, in relation to the immunologically
15 naive young children.

16 That brings me to the second option which
17 would be to change to use a more recent B virus, and
18 in favor of that we might get a better coverage for
19 Influenza B viruses.

20 Against that, we don't really know that a
21 new strain would provide superior immunogenicity and
22 efficacy compared to the current strain. It's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clear that non-vaccine strains will increase any
2 further infrequency, and again, they're not found in
3 all areas of the world.

4 And adding another Influenza B strain may
5 cause difficulties in manufacturing. As you heard,
6 the B viruses are often the rate limiting ones these
7 days and particularly if a wild-type virus needs to be
8 used.

9 So the third option, again, is to defer
10 the recommendation. In favor of that, there may be
11 some additional information that comes out about what
12 strains might be closer matches with the
13 hemagglutinins and neuraminidases of the contemporary
14 strains.

15 But against that, there's not any way
16 really to know whether a new strain of either HA
17 lineage would prove to be superior either in
18 immunogenicity or efficacy compared to the current one
19 overall, and it seems at this point, although there
20 are still strains being collected, it's not clear that
21 there will be any additional significant information
22 to help to inform the recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this is the question for the committee,
2 just to put that up there. I'll leave that up there
3 during the discussions. Again, the question for the
4 committee would be: what strains should be
5 recommended for the antigenic composition of the 2005-
6 2006 influenza virus vaccine? And I would ask that
7 that recommendation be based on consideration of the
8 epidemiology and antigenic characteristics, serologic
9 responses, and availability of candidate strains.

10 And I'll stop there.

11 CHAIRPERSON OVERTURF: Roland, usually
12 it's intuitive in your recommendations what you're
13 recommending, but I didn't catch it for the H3N2
14 strain. Could you be a little more precise there?

15 DR. LEVANDOWSKI: I'm sorry. I'm not sure
16 I understand the question.

17 (Laughter.)

18 CHAIRPERSON OVERTURF: Did you have a
19 specific recommendation for the H3N2 direction? You
20 gave the pros and cons of three different decision
21 pathways.

22 DR. LEVANDOWSKI: Right.

1 CHAIRPERSON OVERTURF: Did you have a
2 preference for one of those pathways?

3 DR. LEVANDOWSKI: Oh, you're asking my
4 preference.

5 CHAIRPERSON OVERTURF: Yes.

6 DR. LEVANDOWSKI: Well, I think what is
7 very clear is that the current H3N2 viruses are
8 antigenically distinguishable from what's in the
9 vaccine, and furthermore, the current vaccines do not
10 seem to produce antibodies that cross react with those
11 viruses very well.

12 There is the difficulty of being able to
13 prepare vaccine, but as compared to some previous
14 years, we're in much better condition because CDC has
15 been able to get some egg isolates very quickly for a
16 lot of these strains, and it puts us in the position
17 of being able to respond.

18 And as you heard from the manufacturers,
19 they have some ability to wait to get the third
20 strain. If there's a change in a strain, they have
21 some ability to accommodate that in their
22 manufacturing, although obviously, it would be ideal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for them not to have any down time at all.

2 But there is the possibility of responding
3 with a new antigen for the H3N2, and I would say that
4 the data that's available to us points to that
5 direction.

6 CHAIRPERSON OVERTURF: Yeah, I think I
7 just want to clarify for the committee. I think the
8 options actually include recommending a lineage
9 reference for the H3N2 strain but could still defer,
10 if we wanted, the final selection until we have more
11 information about that.

12 DR. LEVANDOWSKI: Okay. So I really
13 didn't understand the question. Yes, if you make a
14 recommendation for a like strain, if it would be a
15 California-like strain, for example, we would expect
16 that it would be possible for us to meet that
17 recommendation with whatever strains that we find that
18 seemed to be appropriate.

19 And as the manufacturers indicated, we've
20 done this in the past where the recommendation could
21 be met by more than one strain if that's necessary.

22 CHAIRPERSON OVERTURF: Are there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional questions or comments from the floor? Dr.
2 Schwartz.

3 DR. SCHWARTZ: The California-like seems
4 to be the strain that's emerging, but if it were to
5 stop emerging and the strains that we saw circulating
6 were more like the Wyoming or the Wellington strains
7 that had been used in the past, I think that the data
8 that Nancy presented suggested that at least based on
9 the ferret studies that the California-like strain
10 would probably provide good coverage.

11 Can you go into anymore detail on that?
12 Does that seem like a reasonable assumption based on
13 the data? And are there any other data that you could
14 share with us that might provide an indication that a
15 California-like strain would still be effective
16 against some of those Fujian-like?

17 DR. LEVANDOWSKI: I think that's actually
18 a question more for Nancy than it is for me. I don't
19 have the tables of data in front of me to look at, nor
20 do I have an immediate response to that.

21 MS. COX: I think there are a couple of
22 points I'd like to make. One is that that serologic

1 data that we have from ferrets would indicate that
2 California antiserum does cover a variety of viruses
3 really quite well.

4 But the second point I'd like to make is
5 that we really haven't in the past seen a situation
6 where you have a new group of evolutionarily
7 successful viruses which the California viruses really
8 do appear to be now sort of falling off the radar
9 screen and then going backwards to a previous strain.
10 It might be that something even more successful and
11 advanced comes along that we haven't seen yet, but we
12 wouldn't expect to go backward.

13 But if we did, we would expect reasonable
14 coverage, yes.

15 CHAIRPERSON OVERTURF: Any further
16 discussion, questions?

17 (No response.)

18 CHAIRPERSON OVERTURF: What I would
19 entertain then, I suppose, is a motion from somebody
20 on the committee regarding perhaps the H1N1 strain,
21 and then we can proceed with further discussions about
22 the next discussions.

1 Dr. LaRussa?

2 DR. LaRUSSA: I make a motion that we
3 retain the current H1N1 strain.

4 CHAIRPERSON OVERTURF: Okay.

5 DR. MONTO: Second.

6 CHAIRPERSON OVERTURF: We'll start with
7 Dr. Wharton, and please vote yes or no.

8 DR. WHARTON: Yes.

9 CHAIRPERSON OVERTURF: Now, the motion
10 actually, just to restate that, was that the current
11 H1N1 strain would be retained.

12 Dr. Monto.

13 DR. MONTO: Yes.

14 DR. MARKOVITZ: Yes.

15 DR. ROYAL: Yes.

16 DR. FARLEY: Yes.

17 DR. McINNES: Yes.

18 DR. PROVINCE: Yes.

19 COL. PHILLIPS: Yes.

20 DR. COUCH: Yes.

21 CHAIRPERSON OVERTURF: I also vote yes.

22 DR. LaRUSSA: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SCHWARTZ: Yes.

2 DR. WORD: Yes.

3 DR. DOWDLE: Yes.

4 DR. EICKHOFF: Yes.

5 DR. SELF: Yes.

6 DR. KARRON: Yes.

7 CHAIRPERSON OVERTURF: That was unanimous
8 to retain the current H1N1 strain.

9 So I think we should proceed next to a
10 discussion about what the committee's wishes would be
11 for the H3N2 strain or the B strain for this year. Is
12 there any discussion or suggestions?

13 DR. COUCH: I'd just like to make a
14 comment that I think is important for us to keep in
15 mind with our actions, and that is that we already
16 have recommendations that are very specific from the
17 World Health Organization. You see, we used to do
18 this, and then they met after us. Now they meet, and
19 we meet after them.

20 And harmonizing is a strong criteria for
21 selection. If we don't agree with that, I would say
22 that we're in the of having to need very strong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence. We've got manufacturers who are already
2 underway, and that is what they're doing, and their
3 primary manufacturing sites are in Europe, not in this
4 country.

5 If we want more vaccine in this country,
6 harmonization, I think, has to be a part of our
7 consideration.

8 Now, having said that, I would say that,
9 you know, all of the data points to needing to change
10 the H3N2, and they have selected A/California, and
11 we've heard data all about A/California. So we vote
12 for A/California, for H3N2.

13 DR. MONTO: Second.

14 (Laughter.)

15 CHAIRPERSON OVERTURF: I would agree. I
16 think the clarification that was necessary was to know
17 that all we're really doing is voting for a lineage.
18 We're not really the ones. Obviously the virologists
19 have to decide how to do that, and I think that's all
20 we really are voting for at this point.

21 Yes, Dr. Eickhoff.

22 DR. EICKHOFF: A question for the maker of

1 the motion. Is your motion to be construed as
2 including A/California or an A/California-like virus?

3 DR. COUCH: A/California is never a
4 specific recommendation. Any time you say that it
5 will always be an A/California-like virus. I think
6 that's implied. Oh, sorry.

7 It's always an A/California-like virus,
8 never a single specific virus.

9 CHAIRPERSON OVERTURF: So there's been a
10 motion for a change in the H3N2 virus to an
11 A/California-like virus. I guess at this point we can
12 start on the other end of the room and ask Dr. Karron
13 to vote.

14 DR. KARRON: Yes.

15 CHAIRPERSON OVERTURF: You can make any
16 comments you wanted to when you vote.

17 DR. SELF: Yes.

18 DR. EICKHOFF: Yes.

19 DR. DOWDLE: Yes.

20 DR. WORD: Yes.

21 DR. SCHWARTZ: Yes.

22 DR. LaRUSSA: Yes.

1 DR. COUCH: Yes.

2 CHAIRPERSON OVERTURF: That's right. Yes.

3 COL. PHILLIPS: Yes.

4 DR. PROVINCE: Yes.

5 DR. McINNES: Yes.

6 DR. FARLEY: Yes, and my only comment, and
7 it's really a question that we can discuss after we
8 finish, but the idea of coordinating this with WHO's
9 decision making process and the timing of such
10 meetings comes to mind here. I mean, we don't want to
11 just be rubber stamping another organization, but if
12 we're all doing the same thing, is there some benefit
13 of working together in some way more directly?

14 So my vote is yes.

15 DR. ROYAL: My vote is yes.

16 DR. MARKOVITZ: Yes.

17 DR. MONTO: Yes.

18 DR. WHARTON: Yes, and a comment. My
19 compliments especially to I guess my colleagues at CDC
20 for having had the foresight to assure the
21 availability of a number of egg isolates so that we're
22 in a good position this year to move forward to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 development of a high yield reassortant.

2 CHAIRPERSON OVERTURF: Yes, Dr. Markovitz?

3 DR. MARKOVITZ: Yes. I'd also like to
4 make the same or to second that thought, that really
5 I was very impressed with the preparation this year,
6 and I think that's very nice.

7 CHAIRPERSON OVERTURF: Well, sometimes I
8 think we are endowed with good luck in terms of having
9 isolates, and I think this year that seemed to be the
10 problem, and I think actually deferring most of the
11 recommendations at this point would probably have
12 little influence.

13 And having been through this process now
14 this is the fourth year for me, that rarely results in
15 any major change. Two to three weeks more data just
16 doesn't usually provide us with enough information.
17 It has on occasion.

18 So the next issue the B viral strain for
19 this year, and the suggestions and options for the B
20 viral strains included retaining the
21 B/Shanghai/361/2002-like viruses or to consider using
22 a more recent B virus or to, again, defer that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendation to another point in time.

2 So I open it up to the committee's
3 discussion as to whether or not they would like to see
4 more data or whether they think we should vote on the
5 B strain now.

6 CHAIRPERSON OVERTURF: Dr. LaRussa.

7 DR. LARUSSA: Well, it seems to me that
8 since most of the strains are still Shanghai and we're
9 not going to get a whole lot more information and
10 we're not in any position right now to recommend
11 putting two B strains into the vaccine, but I think at
12 least for now it would be wise to recommend retaining
13 the strain, but moving towards the future to
14 potentially providing a pediatric vaccine with the two
15 Bs where it's most needed.

16 So my recommendation would be to retain.

17 CHAIRPERSON OVERTURF: Any other
18 discussion? Yes, Dr. Farley.

19 DR. FARLEY: Well, I guess I'm wondering
20 what would put us in the position of making such a
21 recommendation. I mean, what do we need to come
22 together for that recommendation to make more sense?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LaRUSSA: You mean of having two
2 strains? Well, I think the studies need to be done
3 that you can actually get a good immune response in
4 young children to two strains and that you could
5 actually provide enough viral antigen to actually make
6 that response.

7 Once that data is available, then it
8 becomes a logistics problem of whether it's physically
9 possible, but at least you'll know that if it works
10 immunologically that you're going to have a constant
11 need for it every year, and you'd feel much more
12 comfortable about doing it, and then you could go
13 ahead and do whatever you want for the adults.

14 CHAIRPERSON OVERTURF: Actually, this
15 particular issue has been discussed almost every year
16 that I've been on this committee, and I think there
17 has been always a great desire on the committee's part
18 to expand the B strains.

19 What is unique now is that we have a
20 pediatric option, which we did not, and that may be a
21 unique way to enter the problem and begin to
22 accumulate the data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Yes, Dr. Dowdle.

2 DR. DOWDLE: I think this issue about two
3 circulating B strains or at least some concern about
4 there being two types that are essentially two groups
5 that seem to be around at different times and changing
6 and prominent and so on is not new. This has been
7 going on for a long time, and it seems that looking
8 back, it seems that we've been more in this quandary
9 than we have not had this quandary. I mean it has
10 been more of a usual thing.

11 It would be very useful if I think next
12 meeting that we could have a little paper on Influenza
13 B and some of the issues over the years about how
14 this, number one, has been dealt with, what are the
15 issues in the past, and what are the advantages and
16 disadvantages of going with two strains?

17 I mean, it would be nice to have some data
18 for a change, and I think we've been faced with a lot
19 of opinions and a lot of memories, but my memory could
20 be completely wrong. It's just certainly what I
21 remember in the past, and we've had this discussion,
22 many, many times.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRPERSON OVERTURF: Dr. Farley.

2 DR. FARLEY: I fully agree, but I wonder
3 what will motivate. Who will do? Who would produce
4 the data and who's motivated to produce that data? Is
5 that the manufacturer of the pediatric vaccine or is
6 that FDA? You know, I guess I'm wondering about the
7 process so that we won't be in the same place each
8 year without some new data.

9 CHAIRPERSON OVERTURF: Yes, Dr. Eickhoff.

10 DR. EICKHOFF: I think the process or the
11 discussion here has gone maybe a step beyond in what
12 Walter commented on, namely, it's now at a level where
13 are we going to talk about a whole separate pediatric
14 product.

15 That raises a host of issues, and we would
16 need manufacturer input on it, but a pediatric use of
17 influenza vaccine we kind of anticipate is going to
18 grow almost logarithmicly for the next several years.
19 It's an issue that needs to be considered very
20 carefully, but maybe not in this immediate framework
21 of strain selection.

22 But, again, I think manufacturers will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have lots to say about that.

2 CHAIRPERSON OVERTURF: The assumption I
3 had from Dr. LaRussa was that he actually is talking
4 about expansion of the or exploring the use of two B
5 strains in young children, the current population in
6 whom routine annual immunization is now recommended,
7 the six to 23 month old children; is that correct?

8 For this year. We still have the
9 difficulty of deciding which of the three options the
10 committee would like to proceed with in terms of the
11 option. My personal feeling is that I don't see it.
12 From the data that Dr. Cox presented this morning,
13 it's clear that the B/Shanghai lineage was about 80
14 percent of the isolates in the United States so that
15 there seems very little reason right now to change
16 that, although there are these disturbing data --
17 there always are -- of isolated settings where there
18 is perhaps a 50-50 breakdown in certain settings.

19 PARTICIPANT: Dr. LaRussa made the
20 recommendation to keep (speaking from an unmixed
21 location).

22 CHAIRPERSON OVERTURF: Did you make that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendation?

2 DR. LaRUSSA: Yes.

3 CHAIRPERSON OVERTURF: Do you want to make
4 it as a motion?

5 DR. LaRUSSA: I'll turn it into a motion.

6 CHAIRPERSON OVERTURF: Okay, fine.

7 DR. LaRUSSA: I make a motion that we keep
8 Shanghai this year.

9 CHAIRPERSON OVERTURF: Would somebody like
10 to second that motion?

11 DR. WHARTON: (Show of hand.)

12 CHAIRPERSON OVERTURF: Dr. Wharton. Okay.

13 Well, we will start with you for the first
14 vote. So the motion on the floor is to retain the
15 B/Shanghai isolate for the current vaccine.

16 DR. WHARTON: Yes.

17 DR. MONTO: Yes.

18 DR. MARKOVITZ: Yes.

19 DR. ROYAL: Yes.

20 DR. FARLEY: Yes.

21 DR. McINNES: Yes.

22 DR. PROVINCE: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 COL. PHILLIPS: Yes.

2 DR. COUCH: Yes.

3 CHAIRPERSON OVERTURF: Yes.

4 DR. LaRUSSA: Yes.

5 DR. SCHWARTZ: Yes.

6 DR. WORD: Yes.

7 DR. DOWDLE: (Speaking from an unmicked
8 location) Yes.

9 DR. EICKHOFF: Yes.

10 DR. DOWDLE: Yes.

11 DR. SELF: Yes.

12 DR. KARRON: Yes.

13 CHAIRPERSON OVERTURF: This may have been
14 done in record time this time.

15 (Laughter.)

16 CHAIRPERSON OVERTURF: Is there any
17 further discussion or clarification? Does anybody
18 want to speak? Dr. Eickhoff.

19 DR. EICKHOFF: Now that we have voted and
20 we're not slavishly following WHO recommendation, the
21 WHO recommendation for the Southern Hemisphere was
22 just a little bit different than that for the Northern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Hemisphere and the B component, and a question for
2 Roland or Nancy, I guess: do you have any insight
3 into why this recommendation changed slightly?

4 DR. LEVANDÓWSKI: Actually, maybe I misled
5 people. The serologies were done with an older
6 Southern Hemisphere vaccine. The current vaccine is
7 recommended by WHO for Southern Hemisphere includes a
8 B/Shanghai/361/2002-like vaccine virus. The previous
9 vaccine that was used in the Southern Hemisphere had
10 B/Brisbane/32/2002, and that's the serum that was
11 available for doing serologies because the new vaccine
12 for the Southern Hemisphere, according to the current
13 recommendations is only just now being produced and
14 used. So it was not available at the time the sera
15 were collected for the studies.

16 So maybe I confused people with that, but
17 the recommendation, the current recommendation for the
18 Southern Hemisphere is B/Shanghai/361/2002-like.

19 CHAIRPERSON OVERTURF: Dr. Schwartz.

20 DR. SCHWARTZ: I'd just like to briefly
21 raise three issues. Just to get a sense, and this is
22 the first time I've been at this committee meeting.

1 So I don't know how the committee deals with these
2 types of recommendations, but I think Monica mentioned
3 the possibility of changing the timing of this meeting
4 to perhaps more closely coincide with the WHO meeting
5 or at least to have maybe better communications
6 between the two groups.

7 I was wondering if that's a recommendation
8 that the committee could make and how the committee
9 would handle that particular idea.

10 It has also been discussed that we believe
11 that a pediatric vaccine containing the two different
12 B lineages should be studied, and I don't know if
13 there is additional force if there's a vote and if
14 that becomes an official recommendation of the
15 committee.

16 Also I don't know if the manufacturers
17 have candidate vaccines that include the B/Victoria
18 lineage and whether there is material right now that
19 could be used or whether new pilot lots would have to
20 be produced and, therefore, there would be
21 substantially increased time and costs involved with
22 doing that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The third issue that I just wanted to very
2 briefly mention has to do with H5N1, and Pam earlier
3 talked about some clinical studies that NIH is doing
4 of investigation of lots of H5N1 vaccine, and I think
5 it would be useful for this committee in some future
6 meeting to discuss under what circumstances it might
7 be considered to have H5N1 as a component of the
8 influenza vaccine which would provide priming to those
9 folks who were vaccinated in case H5N1 or H5 emerged
10 as a pandemic strain.

11 That's certainly not something to talk
12 about now, but I think it would be an interesting
13 discussion to have in the future.

14 CHAIRPERSON OVERTURF: To me the pride --
15 and somebody from the FDA can correct me -- I would
16 think that if there's a process that we really want to
17 devote an entire meeting or a day to expansion of the
18 B types, which would include presentation of data, and
19 then an official recommendation and a vote, I think it
20 would require me to -- I don't think we can do that
21 here. I think this has come up over and over and over
22 and over again, and perhaps it's something that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA needs to take into hand and perhaps plan a meeting
2 for the VRBPAC specifically to address that single
3 issue some time with some inclusion of data.

4 I think that's the process that has
5 usually been used; is that correct?

6 Yes, Dr. Dowdle.

7 DR. DOWDLE: I'm going to change the
8 subject. Go ahead, Nancy, please. I'm going to
9 change the subject.

10 DR. COX: Yes. Well, I was going to
11 change the subject as well.

12 (Laughter.)

13 DR. COX: And for those of you who haven't
14 been involved in the vaccine strain selection process
15 as long as I have, it may seem to you as if this
16 meeting could be a rubber stamp and that may feel
17 uncomfortable to you.

18 We used to have the U.S. meeting first.
19 This caused a great deal of discomfort on the part of
20 the rest of the world which felt that the U.S. was
21 preempting the vaccine. It also put the U.S. at a
22 disadvantage because our meeting was first. We didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have access to the global data.

2 We had our WHO vaccine meeting in Geneva.
3 It finished on Friday at about noontime on Friday, the
4 11th. We're here only a few days later really if you
5 take the weekend into account. We were able to bring
6 back all of those data, the global data, and compile
7 them. And so some of the charts that I showed you
8 really had incorporated the data from the other four
9 WHO collaborating labs as well as data from some other
10 national influenza centers.

11 And, of course, Roland was able to include
12 the serologic data from the other four WHO
13 collaborating centers in his presentation. So from
14 the perspective of completeness, I think it is an
15 advantage for the committee to actually see all of the
16 data that's available globally, and so I think I just
17 wanted to clarify that and make sure that you
18 understand that there is extremely close coordination
19 between CDC and FDA and WHO and the NIH and WHO as
20 well, even if you don't see that specifically as a
21 committee member.

22 DR. FARLEY: That's very helpful. Thank

1 you.

2 CHAIRPERSON OVERTURF: Dr. Markovitz.

3 DR. MARKOVITZ: If I could just add to
4 what Nancy said, from what I've seen -- I think this
5 is my fourth year on the committee -- is that having
6 the meeting earlier would not be good because we seem
7 to get like a lot of our best data within the last few
8 weeks right before the meeting actually takes place.
9 So I'm less concerned about appearance of rubber stamp
10 and more concerned that we have everything together to
11 make the best decision.

12 CHAIRPERSON OVERTURF: I think the only
13 thing I would add is that at the time the presentation
14 is made about what the options are, I really think we
15 should -- it was in the very first presentation that
16 I think Dr. Levandowski made this morning, what the
17 recommendations for the Northern and the Southern
18 Hemispheres were, but it probably needs to reappear at
19 the time just prior to the vote again because I think
20 Dr. Couch --

21 DR. COUCH: I think Nancy and Roland could
22 confirm it. The technical comment, as I understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it, the WHO is a global recommendation, and then it is
2 up to each individual country and organization who
3 deals with these decisions to then consider and make
4 their own independent decisions.

5 But that doesn't negate the comment I made
6 earlier. It's important for us to harmonize unless
7 there's a strong reason not to.

8 CHAIRPERSON OVERTURF: Norman.

9 DR. BAYLOR: I just wanted to comment on
10 the comment made about in a sense the vaccine
11 development, like the H5N1, incorporating that into
12 the current vaccine and whether this body would be the
13 one to discuss that and make recommendations.

14 I think that process starts with the
15 manufacturers and sponsors of INDs, and we have that
16 discussion with FDA, and there's a process to have
17 those type of meetings. And as those discussions
18 expand and we start moving into clinical studies, that
19 may be something that we would bring back to the
20 VRBPAC, but the process will start with a discussion
21 between the manufacturers, sponsors, and the FDA.

22 CHAIRPERSON OVERTURF: Any other

1 discussion, questions? Dr. Markovitz.

2 DR. DOWDLE: If I understand your
3 question, Ben, it really is how would an avian flu
4 vaccine interdigitate with this one, right? So
5 that's, I think, your question. What would be the
6 answer to that? I'm curious, too.

7 No takers.

8 CHAIRPERSON OVERTURF: Dr. Levandowski.

9 DR. LEVANDOWSKI: Well, I think there are
10 many precedents for going all different directions
11 with influenza vaccines. Historically people have
12 been telling me all day how we've had five and six
13 antigens in the vaccine in very early days. In early
14 times it would be something like PR/8 plus another H1
15 and B/Lee plus another B, and some of those uses, I
16 guess we wouldn't go back to doing it exactly that
17 way.

18 But we've had monovalent vaccines. We've
19 had monovalent supplemental vaccines. Most recently
20 the one that comes to mind was in 1986, the
21 A/Taiwan/186 supplemental vaccine. It's possible to
22 have a monovalent vaccine. I mean we're used to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thinking about trivalent vaccine, and that's a
2 convenient way to administer the product, but in
3 reality that's just one way to do it, and monovalent
4 is always available for us.

5 And this may be a consideration not only
6 for an H5N1 vaccine, if it comes to that, but it could
7 be a consideration for priming and boosting young
8 children as well. If we need an additional component
9 for a specific population that might be an alternative
10 way to go.

11 But, again, thinking about how we get the
12 different valencies of vaccines, before H1N1
13 reappeared it was an AB. It was a bivalent vaccine
14 and not a trivalent vaccine.

15 So there are ways to get there, and I
16 don't think there's anything that's so set in stone
17 that it couldn't be evaluated and worked out

18 CHAIRPERSON OVERTURF: Dr. LaRussa.

19 DR. LaRUSSA: Just two quick comments.
20 Can we work on some way to get some updates on what's
21 going on with the California light strains once you
22 see how the reassortments work out?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then the second thing is I'm not sure
2 how we left things with the discussion about the
3 pediatric vaccine. Is that something you're going to
4 go get back to us about, whether we could discuss that
5 at a future time?

6 DR. MIDTHUN: Karen Midthun, FDA.

7 I think with regard to trying to explore
8 bivalent B vaccines in a pediatric population that's
9 certainly an excellent suggestion. I think what's
10 needed though, and I think Dr. Baylor was alluding to
11 this in the context of H5N1 is that you need to have
12 a sponsor working in conjunction with a manufacturer
13 who is willing to undertake such studied, and
14 certainly we as FDA are there to work with them as
15 they put these particular products into clinical
16 trials to evaluate them.

17 So I think the question really is, you
18 know, there has to be identification of an entity who
19 is willing to make such a product, and then there has
20 to be a sponsor who's willing to take that product
21 into an IND. And obviously we're there to work with
22 whoever would want to do this and think that would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very good information to be able to have for
2 everyone's consideration.

3 But you know, we, FDA, don't have the
4 ability to make let's say a candidate vaccine and then
5 conduct a clinical trial. We're there to provide
6 oversight as this goes forward, and I think that's
7 certainly hearing the discussions that have been made
8 here. You know, we can get together with the rest of
9 the other agencies and Health and Human Services and
10 discuss, you know, are there some ways that one could
11 facilitate moving into that direction.

12 CHAIRPERSON OVERTURF: I think it's a
13 problem a little bit of agency overlap. I think
14 there's issues that a lot of who makes recommendations
15 about research and what needs to go forward.
16 Obviously the NIH is involved. NVAC and other
17 advisory committees really have to make a decision
18 about whether this is a viable alternative.

19 I don't know whether this committee can do
20 anything more than get it on the table, and I think
21 that's what we've done, but I still think that it
22 could be carried as a topic, even as a portion of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 meeting some time in the future so that we give it a
2 little more airing.

3 Dr. Dowdle, did you have a question?

4 DR. DOWDLE: No, no, no, no, no. The
5 point I was going to make was exactly the same point
6 Nancy made about a plea not to change this meeting in
7 relation to the WHO meeting, and just to point out
8 that as Bob said, if there's a good reason to change,
9 we certainly could change. And I would add that it
10 wouldn't be the first time that we went against U.N.
11 advice.

12 CHAIRPERSON OVERTURF: My experience has
13 been the same. This is my fourth year on this
14 committee, is that the data that are available from
15 all sources seem to come together for this meeting,
16 and usually it's very close to the WHO meeting. It
17 would seem unlikely that it would be helpful to move
18 it back much further. We would have even less data to
19 try to develop our own policies and recommendations.

20 DR. COUCH: I have one more comment. I
21 need to point out to this audience my name is not Ed
22 Kilbourne, but we continue not to give the kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 emphasis that many of us think we should to the
2 neuraminidase.

3 You see, we've gotten it from when Roland
4 talked about selections, he didn't just say the
5 hemagglutinin. He said the hemagglutinin and the
6 neuraminidase, and when Dr. Cox presented her virus
7 isolates, she gave us the evolutionary development of
8 the neuraminidase.

9 It's an important antibody most of us
10 think. I'm a strong believer. We really want the
11 hemagglutinin as the primary antigen, but the
12 neuraminidase is an important second antibody. I
13 think that was one of Walter's terms one time, and
14 we've never really come to grips with what kind of
15 standards or that we could adopt to include the
16 neuraminidase.

17 It does contribute and it is desirable,
18 and we do know from some of the tests that have been
19 done on vaccine marketed preparations that the
20 quantity of neuraminidase activity varies all over the
21 map, and presumably some of them lack immunogenicity
22 for the neuraminidase at all, and others are probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pretty good.

2 This is not to anything other than make
3 that as a point of record, that I think this committee
4 needs to continue to think and to try and make
5 attempts to how to address the neuraminidase in some
6 sort of standards, and that's not easy in itself, for
7 those of you who are worried about what would you say
8 is your standard for the neuraminidase in each
9 vaccine. I have my own ideas. That's a different
10 discussion.

11 But I don't think we should lose sight of
12 that antigen as an important one that we all recognize
13 is a part of the vaccine immune response that we'd
14 like to have and somehow get it in with not hopefully
15 in the too distant future some criteria for its
16 presence and appropriateness of immunogenicity.

17 CHAIRPERSON OVERTURF: Dr. Schwartz.

18 DR. SCHWARTZ: Let me just ask you a
19 question about that. So we're talking about a number
20 of potential California-like strains that we're
21 developing reassortants for, and so let's say that
22 there are reassortants for five different California-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 like strains that go to the manufacturers.

2 Some of them may produce more
3 neuraminidase than others and some of them may grow
4 better in eggs than others. Are you suggesting that
5 their neuraminidase production be a criterion for
6 which is the best California-like strain that could be
7 selected?

8 DR. COUCH: No. I think it's a
9 manufacturing product decision, not an antigenic seed.
10 I think that what CDC would contribute to that and the
11 FDA would be that if there are neuraminidase
12 differences among the A/California-like strains, then
13 they would select one that is more characteristic and
14 more changed perhaps than A/Wellington or an A/Fujian,
15 just for that hope that that gets the appropriate
16 neuraminidase.

17 So far I don't think there have been any
18 examples in which that's been necessary, but that
19 would be the seed control on that. No, we're talking
20 about manufacturing control on the presence and
21 immunogenicity.

22 CHAIRPERSON OVERTURF: Any further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments?

2 Are there any further comments from the
3 floor or the manufacturers?

4 (No response.)

5 CHAIRPERSON OVERTURF: Christine Walsh has
6 an announcement.

7 MS. WALSH: I'd just like to ask all of
8 the committee members to please take your red folders
9 with you tonight. They do contain confidential FDA
10 material that's in them, and we cannot leave them in
11 the room overnight.

12 Thank you.

13 CHAIRPERSON OVERTURF: The meeting is
14 adjourned.

15 (Whereupon, at 3:51 p.m., the meeting in
16 the above-entitled matter was adjourned, to reconvene
17 at 8:30 a.m., Thursday, February 17, 2005.)

18

19

20

21

22

