

1 probably need between 110 and 170,000 kids to be
2 followed up to find significant results here.

3 I mean, this could be a couple of years of
4 enrollment if the whole VSD population starts using
5 the vaccine, or it could be much more if their take
6 takes more time. This could be very well all infants
7 by the inclusion of other HMOs.

8 I don't know if Melinda Wharton would like
9 to comment about this or somebody else from CDC.

10 And this is very quick and dirty. There
11 is no consultation or discussion on sample size
12 officially yet.

13 CHAIRMAN OVERTURF: Dr. Wharton.

14 DR. WHARTON: Well, just to build just a
15 little bit on Dr. Izurieta's comments, what happened
16 in the study that Dr. Komars has published in
17 Pediatric Infectious Disease Journal, because there
18 was not widespread use of RotaShield in the
19 participating VSD sites back in 1999, there was a
20 study rapidly initiated involving a large number of
21 managed care organizations that had the same type of
22 electronic records or computerized records available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the VSD sites, and that was pretty rapidly
2 implemented in response to the signal.

3 So if the VSD sites are not participating
4 yet in the program, the other ways that ascertain that
5 in populations that are using the vaccine could be
6 developed, although I think pretty difficult to rely
7 on that for a routine study. This was done in an
8 emergency setting in a very resource intensive way,
9 and I think we wouldn't want to rely on it for a more
10 routine ascertainment.

11 CHAIRMAN OVERTURF: Yes, Dr. Malonardo.

12 DR. MALONARDO: I would like to ask a
13 question to the FDA and the people in CDC who work on
14 these, and please understand my bias. I don't work in
15 vaccines. I work in drugs, and when Dr. Izurieta
16 actually said that for RotaShield there was an
17 opportunity to detect a risk of one in 10,000, I was
18 very impressed.

19 So there must have been a tool already
20 back then that had the ability to discriminate even
21 in these very small numbers. So do you have a sense
22 that for what Merck has proposed and what you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 planning to do with the VAERS, the VSD and the study
2 from the sponsor? Have you increased the
3 possibilities of even improving into what's been
4 pharmacoepidemiology in the past?

5 In other words, are you better suited now
6 to either at least detect that risk that RotaShield
7 had or improve on that number?

8 DR. WHARTON: Well, in terms of the basic
9 vaccine safety infrastructure, which the Public Health
10 Service maintains at CDC and FDA, the vaccine safety
11 data link is really the primary piece of that ability
12 to ascertain that level of risk by using linked
13 electronic databases in large managed care
14 organizations where you're able to capture both
15 exposure and outcome in a relatively efficient way.

16 These associations can be identified and
17 then chart reviews can be performed when needed. It
18 still ends up being a -- it still can't be done
19 immediately. It still requires a lot of planning and
20 work, but compared to performing a clinical trial,
21 it's a vastly easier way, an observational study where
22 you end up having to recruit and then do follow-up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 without the infrastructure that these computerized
2 databases provide.

3 DR. BRAUN: Can I?

4 CHAIRMAN OVERTURF: Please introduce
5 yourself.

6 DR. BRAUN: Yeah, Miles Braun from FDA.

7 I'd like to address that question in two
8 ways. One is I think since the RotaShield experience,
9 the VSD has expanded to include a few more HMOs, or
10 managed care organizations. So that increases the
11 amount of subject under potential study. So that's
12 one thing that's different.

13 Now, the other thing, I think, that we can
14 improve on, and Dr. Izurieta alluded to this, is that
15 I believe in the RotaShield experience, the VSD sites
16 overlapped with the Phase 4 study. So the Phase 4
17 study was going on in an HMO that was also part of the
18 VSD.

19 So in a certain way you're double counting
20 the same people, and I think what Dr. Izurieta was
21 saying, and which, you know, I think we would support,
22 is the CDC has invested in that infrastructure. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exists, and I think it would be desirable that if
2 there is going to be another study done, and this is
3 what the sponsor had proposed, that it be done in a
4 way that it doesn't overlap so that you're not
5 studying the same patients in the Phase 4 that you
6 have in the VSD because how much really additional
7 information is there.

8 So those are, I think, two ways. One way
9 we have improved with the VSD because it's expanded,
10 and this is on the table, I think, today, this overlap
11 issue .

12 CHAIRMAN OVERTURF: Dr. Malonardo.

13 DR. MALONARDO: Yes, just a follow-up.

14 So that means that you hopefully will be
15 equipped to detect the risk of at least a one in
16 10,000 or even actually even smaller, because you're
17 improving whatever tools you had in the past. So
18 that's -- okay. Thank you.

19 CHAIRMAN OVERTURF: I guess I'm a little
20 bit confused by that because obviously in a post
21 licensure study, particularly in the VSD study, I
22 guess there will be a small control group. It depends

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little bit. It depends a little bit on what's
2 recommended for the vaccine if, indeed, it is licensed
3 because if it's licensed for a routine use, then at
4 least theoretically the only people getting -- nearly
5 all of the children will be eligible and will end up
6 getting vaccine. The only control group will be those
7 for whatever reason, missed vaccine. That's the group
8 that you'll be comparing against?

9 DR. IZURIETA: You're right. Choosing
10 the right control group is going to be a challenge
11 with reality. In some VSD studies, historical
12 controls have been used. In other VSD studies other
13 HMOs who have not used the vaccine or the product have
14 been included. We could use different background
15 estimates from different groups. I don't know if
16 Melinda has additional.

17 Right, you can use case series analysis,
18 which is very efficient, if you study, you know, a
19 window of exposure and then a window of nonexposure,
20 and that has been done with RotaShield and with other
21 products. That's probably the most refined way of
22 doing it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, again, if you do rapid cycle
2 analysis, then there are certain ways you can do it.
3 You cannot do it in this particular way.

4 So to be VSD efficient, it can be done.
5 It depends on how many resources you invest, how much
6 you are going to do, how far you want to go, but it
7 can be done as Melinda said.

8 CHAIRMAN OVERTURF: All right. Dr.
9 Markovitz.

10 DR. MARKOVITZ: Yes, I just wanted to echo
11 a couple of comments. First of all, what Dr. Karron
12 was saying about the seizures.

13 I'm not sure I understand why that
14 happened or if it really is anything, but it certainly
15 looks like something to emphasize in any post
16 licensure follow-up that takes place.

17 Also, just echoing what Dr. Overturf said,
18 certainly there's a lot of room for studying people
19 who have various types of immunosuppression, and I'm
20 glad to hear that the sponsors are planning on doing
21 that because obviously that will be important both in
22 terms of whether to vaccinate those vulnerable groups,

1 as well as the concern about the vaccine being shed to
2 those vulnerable groups. So in both ways it will be
3 very good to get those data.

4 And speaking of groups not yet tested,
5 this is a recurring theme, but I think that the
6 sponsor has been very thorough in most ways, but
7 really the vaccinated population in these studies,
8 again, doesn't reflect that of the U.S. Very low on
9 people who are African American, very low on people
10 who are Hispanic, and very low on people who are
11 Asian. And I don't think that this is the best way to
12 conduct these trials.

13 I don't think it obliterates the meaning
14 of the trials or anything, but I think that more
15 effort has to go into having these trials actually
16 represent what modern America actually looks like and
17 not for political correctness reasons, but rather for
18 vaccine efficacy reasons.

19 CHAIRMAN OVERTURF: I would like to ask
20 one other question. You know, are sera still
21 available to reevaluate this issue of serological
22 interference? And are there plans to actually relook

1 at the sera?

2 Because there was this question about an
3 unvalidated assay for the pertussis antigens.

4 DR. HEATON: Yeah, the question about
5 whether sera is still available. We actually had sera
6 from the original group that was tested, and we have
7 run pre and post immunization titers in a different
8 laboratory.

9 Although I guess I just want to back up
10 and say that the assays in the previous laboratory
11 have been validated, and we have reviewed those
12 assays, and I think FDA though is still going on with
13 their review of those assays.

14 But doing repeat testing and then we're
15 also testing another subset of kids within the REST
16 study where we had sera available, and then in
17 addition, we will have another concomitant use study
18 with the Pertactin containing vaccine and that we need
19 to do in Europe as well. So we'll have data from that
20 study.

21 CHAIRMAN OVERTURF: Yes, Dr. Gellin.

22 DR. GELLIN: The background material and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the presentation, I think from the FDA, highlighted
2 that there was some discrepancy about numbers. I
3 guess I'd like to have some understanding of what
4 that's all about, and what the implications of that
5 might be.

6 DR. KOU: My name is Jingyee Kou. I'm the
7 statistical reviewer for this product.

8 Actually the discrepancies on efficacy
9 looks as just differences in numbers, but it's a long
10 story behind it. What happened is that when we get an
11 application from sponsors, usually they submit two
12 sets of data. One they call it raw data, listing
13 data, which has individual subjects, and then they
14 have what they call analysis data, which is derived
15 from raw data.

16 And so this is a miscommunication that
17 Merck believes that FDA only uses the analysis data,
18 and it happens that we use both, and then I'm the one
19 that believes that I wanted to arrive at the same
20 conclusion as the sponsors from the raw data. So I
21 worked with the raw data.

22 But because Merck believed that we only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work with analysis data, so they didn't provide as
2 much detail as they had with the analysis data, and
3 also because the size of the data set, and by the time
4 I realized I didn't have certain crucial information
5 and asked them for it, the timing is already.

6 And so that's the reason why you see the
7 discrepancy. This happens; when we review data, it
8 happens. You know, it just happened this time that I
9 can't make it before and we can resolve the difference
10 between the two before this committee meeting.

11 But I have to say the difference, like I
12 said, I don't really know at this point because we
13 still need to communicate with Merck to really fine
14 tune, to find out where the difference are, but I
15 think one of the possibilities how to handle missing
16 data, I delete all the missing data, but then there
17 are different interpretations and so that's one thing
18 that I would like to look into.

19 But the thing is that if you look at how
20 my number is different from Merck's number, it's in
21 the same -- the reason that we get to the same
22 efficacy estimate is because we apply -- I mean, at

1 least I feel comfortable because we both apply the
2 same criteria to both arms, both vaccine and placebo
3 arms.

4 So even though my numbers are different,
5 are lower than theirs, but they're lower on both arms
6 in the same fashion. So that's why I come out to have
7 the same efficacy estimate, even though the number of
8 cases are different. That's probably just due to we
9 have this disagreement on which ones we call a case.

10 I hope that --

11 DR. GELLIN: So we're asked a big question
12 about efficacy. Is this interesting or is it relevant
13 is the real question.

14 DR. KOU: Well, okay. So if you have my
15 briefing document from, you know, a month ago, which
16 I was still working on, at that time I was not
17 comfortable with the number because the discrepancy is
18 not in any fashion, and then I realized the reason is
19 because I didn't have the crucial information for the
20 rotavirus season for each of the sites, and so I
21 couldn't determine when the first rotavirus season was
22 over. So, in other words, it ends.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This time, and after we have communicated
2 with them, that information was provided. So this
3 time when I look at the number, and like I said, even
4 though the number of cases are different, I mean, yes,
5 that's important, but in terms of the efficacy itself,
6 I felt, you know, comfortable that number will not
7 change dramatically.

8 So for 006 they have the hypothesis is
9 great than 35 percent for the lower bound and for the
10 007 it's zero percent. I would say it's highly likely
11 that it will meet those criteria.

12 CHAIRMAN OVERTURF: Yes, Dr. Wharton.

13 DR. WHARTON: I just want to follow up on
14 the question Dr. Self asked earlier about the burden
15 of disease and likely impact of the vaccine on public
16 health. The figure of one in 65 children being
17 hospitalized I've heard often cited, but it has never
18 been clear to me whether or not there were risk
19 factors for hospitalization that, in fact, have been
20 identified.

21 And if the risk factors for
22 hospitalization are the same as the risk factors for

1 not being vaccinated on time, we may, in fact, not
2 realize the full benefits of this vaccine, given the
3 relative narrow window in which the vaccine series
4 needs to be administered.

5 CHAIRMAN OVERTURF: Do you have any
6 information in terms of the nine months of the
7 previous vaccine what the uptake was?

8 That was a vaccine which was recommended,
9 I believe, by ACIP as well as by American Academy for
10 routine immunization for children. Do you have any
11 idea in that first nine months whether the uptake was
12 comparable to other vaccines in the first nine months?

13 DR. WHARTON: Yeah, although the vaccine
14 was really pulled during the ramp-up phase of
15 introduction. So I'm not sure that's a comparable
16 experience. Really the issue I was raising didn't
17 have to do with recommendations. It had to do with
18 our ability to deliver vaccines on time to children
19 who may be, in fact, at higher risk of serious
20 consequences due to rotavirus, although I don't know
21 that. I mean, I've never actually seen if the data
22 exists about risk factors for severe outcomes due to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rotavirus. I'm not familiar with it.

2 CHAIRMAN OVERTURF: A lot of that data is
3 from the CDC's groups, from Roger Glass, but he's not
4 here I take it.

5 Are there other comments before we proceed
6 to the other questions? Yes, Dr. Gellin.

7 DR. GELLIN: This may follow from OPD
8 experience, but what are the recommendations if the
9 infant spits this stuff out?

10 DR. HEATON: So the question is what are
11 the recommendations if the infant spits the vaccine
12 out. We do not recommend to repeat the dose because
13 if they spit it out, we don't know how much they've
14 still maintained, and in fact, all of our efficacy
15 data is just based on not repeating the dose and
16 keeping those kids in the efficacy analysis. So it
17 does take that factor into account.

18 CHAIRMAN OVERTURF: Are there any other
19 comments, particularly in regard to Questions 3(a)
20 through 3 -- I've made it now 3(e) because I added
21 seizures to that group that need to be examined
22 carefully.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For those of you who are not
2 pediatricians, seizures is an extremely difficult
3 problem to deal with in that age group. It is not as
4 easy to diagnose seizures in small infants as one
5 would guess. So I suspect that that's a very
6 difficult issue to deal with. It's not as
7 straightforward as it perhaps sounds.

8 Any other comments? Dr. Word.

9 DR. WORD: I don't know if this goes under
10 your pharmacovigilance or does it go up into the
11 safety, but I think as Dr. Wharton pointed out,
12 pediatricians are generally trained to always do
13 catch-up immunizations, and if they only have data up
14 to 34 weeks of age, what are you going to tell someone
15 if someone walks in the door at four months? Do you
16 still go on and give that three dose series or do you
17 say, "Don't worry about it"?

18 And I don't know what area -- because I
19 think that's one of the questions I had, but you asked
20 it already. So I didn't need to repeat it.

21 CHAIRMAN OVERTURF: I think that's the
22 point made by Dr. Wharton, and I think it has to be

1 part of the post licensure studies, which is to look
2 at how you extend the dose interval, as well as
3 beginning the series. It has to be a critical
4 feature, not only its effect on safety, but also its
5 effect on effectiveness has to be part of those
6 studies because this will continue to be an issue with
7 providers until that information is complete.

8 CHAIRMAN OVERTURF: Any further questions?

9 (No response.)

10 CHAIRMAN OVERTURF: Well, I think without
11 further ado, we'll go ahead and begin to consider the
12 other questions, and we will take votes on the first
13 two questions.

14 And so I'll ask the first question first,
15 and then ask each member of the committee to address
16 the question. The first question is: are the
17 available data adequate to support the efficacy of
18 RotaTeq in preventing rotavirus gastroenteritis caused
19 by serotypes G1, G2, G3, G4, and G serotypes that
20 contain P1, for example, G9.

21 When the two doses of vaccine administered
22 at six and 12 weeks of age -- excuse me -- when the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 first dose of vaccine is administered at six and 12
2 weeks of age followed by two subsequent doses
3 separated by four to ten week intervals; so the dosing
4 intervals are very specifically specified in that
5 question, and the time of initial dosing.

6 So I'll start with Dr. Markovitz.

7 DR. MARKOVITZ: Back to first. Well,
8 fortunately this one seems pretty easy to me. I think
9 that this vaccine looks highly efficacious. I don't
10 see any holes in the presentation in terms of
11 efficacy. So I would vote yes. It certainly
12 satisfies those criteria.

13 CHAIRMAN OVERTURF: Dr. Farley.

14 DR. FARLEY: Well, I guess we didn't spend
15 a lot of time looking at the serotype specific data,
16 and I guess there are some holes there that kind of
17 cross over, that if you look at it by just efficacy
18 against disease, what is it? That maybe the numbers
19 weren't there for three, four, and nine, but then if
20 you look at hospitalizations and ER visits, it fills
21 in those gaps.

22 So, I mean, I think it's the composite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data, and since most of the disease is G1, that there
2 is a comfort zone there. I mean, I think that by
3 inference that the P1 containing ones other than G9
4 might be covered. So I'm not sure if we need to get
5 down into that detail, but overall, you know, I think
6 the efficacy data -- and I think we have to emphasize
7 the time of administration being very tightly studied
8 and our recommendation would be to keep it tightly
9 linked to those age groups for now at least.

10 So I guess I vote yes.

11 CHAIRMAN OVERTURF: Dr. Royal.

12 DR. ROYAL: I would also vote yes as well.

13 Certainly the data show that preventing infection in
14 a significant number of these kids will prevent
15 secondary complications. Again, you know, these
16 vaccines or the infection itself, the primary effects
17 of the infection are not what damages intestinal
18 tract, and to a large extent, increased surveillance
19 does have an impact, but certainly the vaccine itself
20 by preventing infection certainly carries a tremendous
21 benefit.

22 So I would vote yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN OVERTURF: Dr. McInnes.

2 DR. McINNES: I think the data presented
3 do support efficacy against rotavirus gastroenteritis
4 of any severity and against severe rotavirus
5 gastroenteritis caused by vaccine serotype at the ages
6 and within window as presented in the question.

7 CHAIRMAN OVERTURF: Dr. Wharton.

8 DR. WHARTON: I agree that the data
9 supports efficacy for prevention of rotavirus
10 gastroenteritis using the study or the schedule that
11 we studied.

12 CHAIRMAN OVERTURF: Dr. Gellin.

13 DR. GELLIN: Yes, I agree as well that the
14 data support the efficacy. Interested given the
15 global reach of the disease and the vaccine to
16 continue to look for emerging serotypes and how the
17 vaccine does against them.

18 CHAIRMAN OVERTURF: Dr. Word.

19 DR. WORD: I think I too would say that
20 the data they presented does support the efficacy. I
21 guess I'm a little challenged with the term "severe"
22 versus just general efficacy because I still have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problem with that table and how things were calculated
2 because when I do my math, when I get down to a number
3 of less than 16 and it's not severe but I've had a
4 seizure, I'm troubled.

5 But I am satisfied to say that it's
6 efficacious, and they've proven that.

7 CHAIRMAN OVERTURF: Dr. Malonardo, you're
8 not a voting member, but you can give an opinion if
9 you want at this point.

10 DR. MALONARDO: (Speaking from an unmiked
11 location.)

12 CHAIRMAN OVERTURF: Okay. Dr. Karron.

13 DR. KARRON: Yes, I believe that the data
14 are adequate to support the efficacy with the schedule
15 as specified.

16 CHAIRMAN OVERTURF: Dr. Self.

17 DR. SELF: I vote yes and just note that
18 what we're voting on is not the type specific, but
19 it's the overall, including all of those types just
20 per a comment earlier.

21 I guess I'd also like to commend the
22 sponsor on putting together a very coherent and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comprehensive program. I wouldn't say it was a joy to
2 review, but it was a pleasure.

3 (Laughter.)

4 CHAIRMAN OVERTURF: I also would assert
5 that I think the efficacy is well demonstrated by what
6 was I think a relatively exhaustive trial, and I
7 congratulate the sponsors on that as well.

8 I think the biggest issue is obviously
9 when you do a large trial like this, one requires in
10 a prospective fashion doing it under ideal conditions,
11 making sure that every trial gets started and given
12 exactly a specified time. That may be very difficult
13 to accomplish in the public health scheme and will
14 have to be worked out in post licensure studies, but
15 I also vote yes.

16 The second question there may be a little
17 more debate on, is are the available data adequate to
18 support the safety of RotaTeq when used in the three
19 dose vaccine series beginning with the first dose at
20 six to 12 weeks of age, followed by two additional
21 doses separated by a four to ten week interval.

22 And so we'll start on the other side at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this point and ask Dr. Self to begin answering that
2 question.

3 DR. SELF: My answer is generally yes,
4 although there are a few safety issues that I think
5 merit follow-up in the post licensure studies. The
6 main issue, since this is all sort of conditioned by
7 the issue that the RotaShield vaccine had I think are
8 the rates of intusseption and the possibility that
9 there are some excess cases that are caused by the
10 vaccine.

11 In trying to reconcile these two, just a
12 back of the envelope calculation of the efficacy to
13 reduce hospitalizations in the first couple of years
14 of life net of some bound based on a relative risk
15 bound that can be achieved either in this study or in
16 the follow-up studies can be computed, and if I've
17 done this correctly, that net efficacy of
18 hospitalizations is still around 80 percent, down from
19 75 or 95 percent, but still very substantial.

20 And so in trying to grapple with the
21 balance between risk and benefits, it does seem to me
22 very clearly to be in favor of the vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN OVERTURF: Dr. Karron.

2 DR. KARRON: Yes, I do believe that the
3 available data are adequate to support the safety of
4 RotaTeq. However, I do as we have all discussed think
5 there are important issues to be addressed post
6 licensure.

7 I think it's important to look at rates of
8 intusseption after each dose and particularly
9 stratifying by age of the recipient at each dose. I
10 echo Dr. Wharton's comments that in the real world
11 things may be very different.

12 I also think it's important to continue to
13 collect data on seizures as we've discussed
14 previously.

15 CHAIRMAN OVERTURF: Any comments, Dr.
16 Malonardo?

17 Okay. Dr. Word.

18 DR. WORD: Actually, I actually agree that
19 they provided adequate, sufficient data to support
20 their safety and would probably echo some of the same
21 concerns because I think before we have the big red
22 flag that went up with intusseption, and it has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such a focus there because of the way it's
2 administered, but as seizures popped up, there may be
3 other things that we'll begin to see. So I think it's
4 realistic in post licensure to begin to pay close
5 attention to some of the other things, and I think
6 seizures was interesting to look at.

7 CHAIRMAN OVERTURF: Dr. Gellin.

8 DR. GELLIN: Yes, I agree that the data do
9 support safety with the caveats of 3(a) through (e) as
10 now articulated as the follow-up studies, and would
11 encourage that to the degree that these can be done as
12 this vaccine reaches into the developing world, that
13 there be aggressive studies to look at safety in the
14 developing world applications.

15 CHAIRMAN OVERTURF: Dr. Wharton.

16 DR. WHARTON: I agree that the available
17 data support the safety of RotaTeq when used in the
18 schedule that we studied, although as other panel
19 members have stated, I think it would be important in
20 the post licensure period to look at both seizures and
21 intusseption.

22 And, again, echoing earlier comments, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine is likely to be used in different ages, which
2 will raise its own set of safety issues, and these
3 will have to be addressed post licensure.

4 CHAIRMAN OVERTURF: Dr. McInnes.

5 DR. McINNES: I'm left feeling a little
6 bit uncertain about safety, and yet one side of my
7 brain keeps asking me why, and I think when I go and
8 I look at the data and I look at the -- I agree that
9 it doesn't seem to be clustering with the
10 intusseption either in that seven days or in the 14
11 days, and those 52 day winter results around that post
12 dose two leave me a little bit uncomfortable.

13 And why am I uncomfortable? I'm
14 uncomfortable because I remember what we lived through
15 with a different vaccine, which I completely
16 appreciate. It's not your product, but unfortunately,
17 it's our collective problem, and I realize also that
18 if you increase the window out to 60 days, you know,
19 you change that four to one to five to two and things
20 start to look better, and that there's really no
21 apparent pattern emerging for when these cases occur
22 after each dose specifically if you go and you look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a little bit bigger window than that 42 day.

2 I'm still left with an uneasiness that I
3 would agree that I think your data don't specifically
4 point to a safety issue at this time, but I really am
5 concerned about the age at which children are going to
6 get immunized and what is a relatively broad window
7 that was both inclusion criteria and window around
8 each vaccination.

9 So that in fact, you can land up with
10 children being quite old when they're receiving some
11 of their doses, and that's within the clinical trial
12 setting where they would not have fallen into protocol
13 analysis if they were outside of the window.

14 So I think the implementation piece of
15 this is going to be quite a challenge, and it may not
16 be one for the label, may not be one for VRBPAC, but
17 certainly it's going to fall to our ACIP colleagues
18 down the line, and I wish there were additional data
19 to help in what is going to be a decision making
20 process around the recommendation and implementation
21 piece.

22 So the data driven part of my brain says,

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes, I think the safety data look good, but the other
2 part of my gut is just wishing there were some other
3 data either not right now, but that would be coming to
4 help these really very difficult public health
5 decisions about implementation.

6 We cannot have another -- I don't think we
7 can afford another problem with replicating attenuated
8 vaccine for rotavirus.

9 CHAIRMAN OVERTURF: Is that a no?

10 DR. McINNES: It's not a no. I'm going to
11 default to the data part of my brain and leave my gut
12 out of this, but I just wanted to put that focus back
13 up on the table.

14 CHAIRMAN OVERTURF: Dr. Royal.

15 DR. ROYAL: I would agree that the data do
16 support the safety of the vaccine. However, I do feel
17 some uneasiness about the potential for the occurrence
18 of intusseption in the post licensure period, and of
19 course, support the data collection that's going to be
20 done, as well as the development of a comprehensive
21 way planned for looking at this issue of seizures,
22 given the fact that you have a live attenuated virus.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One must be concerned about such things as aseptic
2 meningitis and other issues that really should be
3 looked at beyond just collecting the numbers of cases.

4 CHAIRMAN OVERTURE: Dr. Farley.

5 DR. FARLEY: I think just to make a
6 comment that if this were a disease that was highly
7 lethal or had, you know, serious long-term morbidity
8 in the United States, that we would not be questioning
9 a clinical trial that enrolled 72,000 children showing
10 safety. I think that's part of the struggle here, but
11 I think within the parameters of this study, that
12 includes the strict schedule, the age groups studied,
13 and those who were excluded from study that safety has
14 been shown. So I would vote yes. I would put in just
15 a couple of comments that are somewhat redundant, but
16 I would caution the next committees and advisory
17 groups who have to deal with the recommendations for
18 usage that caution or caution them to give a great
19 deal of thought to catch up recommendations, if any
20 are given, and also with the populations that have
21 been excluded from this and how the recommendations
22 will be formulated for those particular groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I would also very much support further
2 studies for how best to document safety for the use of
3 this in developing countries because I think this is
4 a tremendous vaccine for that possibility.

5 CHAIRMAN OVERTURF: Dr. Markovitz?

6 DR. MARKOVITZ: Yes, before voting on
7 this, I just wanted to echo one thing Dr. Gellin said
8 because I think he's the only one who so far has said
9 it, and I think it's worth echoing back on the
10 previous question, which is that it is going to be
11 important to continue to have surveillance as to
12 serotypes because if they start to change in any
13 significant way, that obviously could alter future
14 decisions about the vaccine.

15 Now, to this question, I'm going to try to
16 keep my gut out of the rotavirus discussion, but I
17 think what Dr. McInnes said makes one think that one
18 obviously this is the one the company is doing, the
19 post marketing surveillance, assuming this is
20 improved, that it will be important to focus on kids
21 who are vaccinated at some different time point than
22 what is currently recommended based on the studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That being said, and then echoing what
2 everybody else has commented on and I think we've all
3 discussed it at length in some of the other things we
4 need to follow up on, which I won't repeat, I vote
5 yes. I think the safety data are convincing.

6 CHAIRMAN OVERTURF: Actually, I think the
7 data that were presented to me actually are very
8 reassuring for intusseption. I don't know how you
9 can do better. Obviously you could add another 70,000
10 patients.

11 I think we're a little bit a victim of our
12 own success or your success in that regard because
13 there is so much data that a whole lot of things were
14 uncovered, including this issue of seizures as a
15 possible issue.

16 And as saying this from a clinical
17 standpoint, it's very cloudy issue in that population,
18 and of course, rotavirus has been found in extra
19 intestinal sites, including the CNS on rare occasion.
20 So it's obviously a question that has to be answered,
21 but I'm actually reassured, and I guess I'm not
22 feeling as much with my gut as a few of the people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 here.

2 I think the data very strongly support the
3 safety of this vaccine, as well as can be done at this
4 point, and I think perhaps we all are going to have to
5 live with this back of the head, tentative feeling
6 until we've lived with this vaccine for a while, but
7 I think I would vote yes, that the safety is
8 established for the vaccine as well.

9 Are there any other questions before we
10 adjourn for the members or the sponsors, the FDA? Any
11 general comments anybody didn't get to put in for
12 discussion of the questions?

13 (No response.)

14 CHAIRMAN OVERTURF: I think the meeting is
15 adjourned. Thank you very much.

16 (Whereupon, at 3:08 p.m., the meeting in
17 the above-entitled matter was concluded.)

18

19

20

21

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of:

Vaccines and Related Biological Products

Advisory Committee

Before:

DHHS/FDA/CBER


Date:

December 14, 2005

Place:

Bethesda, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



A handwritten signature in black ink, appearing to be "K. M. [unclear]", is written over a horizontal line.