

1 the first place, the issue of risks and the
2 vaccination becomes more important and that you make
3 it closer to the risk of the vaccination being close
4 to the risk of just having zoster during that decade,
5 and we don't have a lot of information about that.

6 CHAIRMAN OVERTURF: That actually begins
7 to address the first question, but I would echo that
8 I think there is considerable issues concerning
9 immunization of individuals 50 to 59 without -- and I
10 think it's clear that there are not data that clearly
11 support that. And, although I appreciate what the
12 sponsor has initiated, I think there are problems in
13 trying to make a recommendation for that group.

14 DR. SCHARFSTEIN: I would like to come
15 back to an issue I raised before and maybe I can just
16 get a yes or no answer to this regarding PHN and both
17 BOI depend upon the quality of the pain data.

18 Can you assure me that the pain data is of
19 high quality and there is not a lot of missing data,
20 so that we're actually getting proper measures of PHN
21 and BOI?

22 DR. ROHAN: I believe that there was about

1 91 percent 182 day follow-up in the PHN cases, so
2 follow-up over that period, but in the intermediate
3 periods, which I don't have that data, there are some
4 differences. Whether they are clinically significant,
5 etcetera, this is obviously going to be exploratory
6 but, you know, it would be, I think, important to look
7 to see if there were more cases in the ZOSTAVAX group
8 with higher AUCs, up to the point where they were
9 missing and at what point they became missing versus
10 the placebo.

11 DR. SCHARFSTEIN: All right. So to define
12 AUC, you have to have --

13 DR. ROHAN: A time.

14 DR. SCHARFSTEIN: -- to be following.
15 Keep a complete follow-up.

16 DR. ROHAN: Right.

17 DR. SCHARFSTEIN: So there's probably very
18 few people who have complete follow-up over that time
19 period. I don't know. We haven't seen any of the
20 data. So two of our endpoints critically depend upon
21 the quality. Yes, you have the data? Do you have it?

22 DR. SILBER: Yes. Actually, I would like

1 to just clarify one other point. Again, in terms of
2 the termination interview, 95 percent of the subjects
3 enrolled in the study completed a termination
4 interview. 4 percent died. Less than 1 percent were
5 lost and so did not have follow-up. Month by month,
6 a very large majority had ongoing follow-up
7 throughout.

8 So now, if I could turn to slide 1501. I
9 think this speaks to the issue of follow-up. And I
10 think it's important to realize people were not lost
11 to follow-up. What happened was the pain fell below
12 a certain level, so the frequency of visits decreased.
13 At any given time point for a particular visit, about
14 90 percent were at a visit and the BOI does cover the
15 entire period.

16 What we see here, again, is that 91
17 percent completed. Another 5 percent were within a
18 stone's throw of 182 days by having at least 175 days.
19 We're talking about roughly 5 percent who had
20 incomplete follow-up and, among the 33 out of the 950
21 or so, there were 11 deaths. And, as you can see
22 here, among the individuals, several of them had a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 healed rash and a score of 1 or lower at the last
2 visit and then there were just a little handful who
3 had no follow-up.

4 DR. SCHARFSTEIN: So when I see more than
5 100 -- put that back up. When I see more than 182
6 days, does that mean the person was around the whole
7 time and reporting at all your visits or does that
8 mean oh, that person only came in twice before 182
9 days, but I saw him at 190 days?

10 DR. SILBER: No. What happens is the
11 primary analysis truncated at 182 days. Those who had
12 ongoing pain due to PHN continued to be followed
13 beyond the six months.

14 DR. SCHARFSTEIN: When I look at the
15 people, the 287 people who had more than 182 days --

16 DR. SILBER: It may have been 183, 184.

17 DR. SCHARFSTEIN: I understand, but does
18 that mean that they reported at -- you have to measure
19 the pain, right, a bunch of times. I mean, is it
20 reported every time during that period? Probably not.

21 DR. SILBER: It was about 80 or 90 percent
22 of the time points, I think, were covered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SCHARFSTEIN: At each individual time
2 point, right?

3 DR. FLEMING: That is a key point that Dan
4 is asking. It's not enough just to know that 90
5 percent had at least an assessment. It's important to
6 know how many people, what fraction of all assessments
7 were, in fact, captured.

8 DR. CHAN: During the course of the six
9 month follow-up, on the average around 80 to 85
10 percent of the subjects that have the mandatory visits
11 that they are supposed to come in for the pain
12 measures. And at the last visit, as Jeff just showed
13 you, pretty much over 90 percent have the complete
14 follow-up at the last visit besides those who don't
15 have pain follow-up, about two and four in each group.

16 DR. FLEMING: 20 percent missing. This is
17 pretty high.

18 DR. SCHARFSTEIN: I don't think that
19 answers the question. I mean, he said that 80 percent
20 of the people had complete data in every one of the
21 monitored visits up until 182 days?

22 DR. FLEMING: Can he repeat? I thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you were saying 82 percent of all visits that were to
2 be performed were performed. What? Could you repeat
3 what you are saying?

4 DR. CHAN: On average for a given visit,
5 around 80 to 85 percent of the zoster cases came back
6 for their visits. Sometimes, some of these visits are
7 on a weekly schedule. So if they are off by one day,
8 they got slotted into the next schedule which is the
9 next week.

10 DR. FLEMING: So much less than 80 percent
11 had all visits.

12 PARTICIPANT: Much less.

13 DR. FLEMING: Yes.

14 DR. SCHARFSTEIN: At each visit, you said
15 85 percent of the people showed up, right? Is that
16 what you said?

17 DR. CHAN: Right, of all --

18 DR. SCHARFSTEIN: In order to calculate
19 AUC, you have to have information at all the visits,
20 that for which they are --

21 DR. CHAN: Say if somebody skip a visit
22 and have to visit on prior on the next --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SCHARFSTEIN: Then you just
2 extrapolate between the two.

3 DR. CHAN: Exactly.

4 DR. SCHARFSTEIN: Right.

5 DR. CHAN: And that is sort of a --

6 DR. SCHARFSTEIN: So some people you're
7 just extrapolating from one missed visit, some you're
8 extrapolating for two missed visits. Some you are
9 extrapolating for five missed visits. Right?

10 DR. CHAN: That is the method of
11 calculating the AUC, is really just not all the
12 subject have the pain scores from every day of the
13 visit. So by design, that is the way that AUC was
14 constructed, yes.

15 CHAIRMAN OVERTURF: Dr. Royal?

16 MEMBER ROYAL: I have a question about
17 just pain itself. And granted, to just look at pain
18 scores you're leaving out some parameters that are
19 going to be important to a quality of a person's life.
20 But when you compare just the pain scores themselves
21 initially and at the end of follow-up, what do you see
22 when you look at the two groups?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 How do they compare? How does the
2 distribution compare? And, specifically, those who
3 are considered to have significant pain, what sort of
4 comparative distribution do you see?

5 DR. ROHAN: I don't think that the study
6 specifically -- and, again, I think you had asked this
7 before and probably I didn't actually answer the
8 question. Hopefully, I can now. I don't think that
9 the study was designed to look at different gradations
10 of pain. Anyone that had a score -- all scores up to
11 the first 30 days after rash onset were counted.

12 Scores of 3 and above on the 10 point
13 scale were counted at time points after 30 days, but
14 I don't think that there was any kind of analysis done
15 on people with the highest pain scores. There were
16 many instruments that were administered with quality
17 of life, health care utilization, etcetera, that were
18 monitored during the study though.

19 So it was fairly extensive as far as the
20 impact of the disease not just in pain. And although
21 a lot of our conversations have focused on the pain
22 and the area under the curve, really the sponsor

1 looked at every imaginable impact in people's life,
2 quality of life, pain medication usage, etcetera.

3 MEMBER ROYAL: My understanding is that
4 pain scores were collected for every patient at every
5 reporting point during the study. So one should be
6 able to know what the individual scores were, what the
7 median, the range for the group --

8 DR. ROHAN: We do have that.

9 MEMBER ROYAL: And you should be able to
10 make those comparisons.

11 DR. CHAN: Slide No. 39. Dr. Ahnn, could
12 you?

13 DR. AHNN: Yes, that --

14 DR. ROHAN: And I presented this earlier,
15 so this gives you an idea of the mean.

16 DR. AHNN: Yes.

17 DR. ROHAN: Worst pain at these various
18 time points.

19 DR. AHNN: We kind of omit the number of
20 subjects who actually take the questionnaire. So, for
21 example, the day 1 in placebo group, there are like 58
22 patients who answered the IZIQ, the initial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questionnaire out of 642. And the day 1, I mean, the
2 day 2, the next day of the rash onset, 158 patient out
3 of 642 HZ cases actually answer either IZIQ or ZDPI,
4 mostly I think IZIQ. And day 3, 242 placebo HZ cases
5 had answered the questionnaire out of 642 HZ cases.

6 So, you know, I don't think, you know,
7 everybody who developed HZ has same number of
8 questionnaires answered. You know, it's very
9 variable.

10 DR. SCHARFSTEIN: Some of that is
11 structural, right, because --

12 DR. AHNN: Yes.

13 DR. SCHARFSTEIN: Some of that is
14 structural. The question is what is the unstructured
15 level of missingness in the study?

16 DR. AHNN: You know, the data like 642 HZ
17 cases in the placebo group and all other like, you
18 know, all others are structural zero. But even with
19 those, among those 642 cases, there are still, you
20 know, the area under the curve zero because they
21 didn't develop any pain at all.

22 So, also, that's the same for the ZOSTAVAX

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group, too. That's the real zero and mostly others
2 are structural zero like automatic zero in terms of
3 the area under the curve.

4 CHAIRMAN OVERTURE: Dr. Farley?

5 MEMBER FARLEY: I wonder if you could
6 clarify again for us the definition that changed in
7 the course of the study that I -- as I recall, it was
8 for postherpetic neuralgia and the time frame, it was
9 earlier. It had been planned to be 30 days, I think,
10 and it was changed to 90 days.

11 Can you just help us understand why that
12 change was made halfway through and if that is
13 something that we should be thinking harder about?

14 DR. ROHAN: I guess I would let the
15 sponsor answer the question, but the change was made
16 after the last HZ case was accrued. The study was
17 completed and terminated about six months after the
18 last case was accrued, but the change was made after
19 the last case.

20 DR. SILBER: The question relates to it
21 was a protocol amendment to. This was actually
22 generated, I think, at the request of the DSMB quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a time before that and Merck and the VA -- and this
2 was based on emerging literature among pain experts
3 and in the medical field that the definition of PHN
4 was, in fact, evolving and that the concept of acute
5 and chronic pain was changing.

6 And, in fact, there was much debate as to
7 whether the change should be to 90 or 120 days. In
8 fact, two members of the DSMB are part of the
9 literature that has emerged on this. And if we could
10 go to slide 623, please.

11 So this was something that was discussed
12 amongst us and then in the end submitted to the FDA
13 about the time the last case was accruing, but prior
14 to unblinding of the data. And I had mentioned
15 earlier when I went through the primary PHN analysis
16 that a sensitivity analysis using different time
17 points had, essentially, the same information.

18 What we have here is that with each
19 successively later time point, the point estimate for
20 efficacy goes incrementally up a little bit. At the
21 same time, there are fewer subjects at the time points
22 and so the lower bound of the confidence interval

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remains the same. But this is a change that, again
2 was driven by the DSMB and was driven by an evolution
3 in the medical literature and the understanding of
4 pain in the community.

5 And then if I could just turn to 625 for
6 a moment, I would like to try to get back to Dr.
7 Royal's question. I'm not sure if this quite gets
8 there, but if we take sort of in the theme of levels
9 of pain, this slide shows the different time points.

10 And, also, if we were to use a cutoff of
11 2 or a cutoff of 4, and again what we see, as we have
12 seen as a recurring theme, set the bar higher. Use a
13 level of 4 and relative to what we saw with the cutoff
14 of 3 or now the cutoff of 2, the vaccine effect is
15 just a smidgen higher.

16 CHAIRMAN OVERTURF: Dr. Scharfstein?

17 DR. ROHAN: I just had one comment. In
18 changing the definition of PHN, the sponsor specified
19 that the point estimate had to be at least 62 percent
20 for this endpoint. And you can see that from the
21 slides that were previously presented, at day 30 and
22 day 60, that endpoint would have failed based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specified endpoint of at least 62 percent. So it was
2 changed to 90 that if you look at the time course,
3 that's the first point at which it was above 62
4 percent.

5 CHAIRMAN OVERTURF: Dr. Scharfstein?

6 DR. SILBER: If I may clarify. The time
7 point and the point estimate were actually changed in
8 concert and so if the 30 day time point had remained
9 the point estimate observed at 30 and 60, it would
10 have met the original criterion and so --

11 DR. ROHAN: But the original criteria did
12 not include a point estimate, I believe. It did? It
13 was -- excuse me? 59 percent. So I guess, obviously,
14 what -- the minimum efficacy that is expected depends
15 on when you see it. But, again, it was changed after
16 the last case was accrued.

17 CHAIRMAN OVERTURF: Dr. Scharfstein, you
18 had a comment.

19 DR. SCHARFSTEIN: This is a naive
20 question. Is it possible that the effect of this
21 vaccine is not to prevent herpes zoster, but to just
22 prolong its occurrence?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: No, sir.

2 DR. SCHARFSTEIN: Because it shifts the
3 time at which you would get herpes zoster, so we have
4 only got three years of follow-up on each patient.

5 CHAIRMAN OVERTURF: Actually, that issue
6 has been raised already, I think, and I raised it.

7 DR. SCHARFSTEIN: Yes.

8 CHAIRMAN OVERTURF: All right. Thanks.

9 DR. SCHARFSTEIN: Do you want another
10 answer?

11 CHAIRMAN OVERTURF: Yes, but I think the
12 sponsor might want to answer that.

13 DR. SCHARFSTEIN: Well, are you satisfied
14 with the response?

15 DR. SILBER: Well, although it's certainly
16 reasonable that the vaccine efficacy might wane over
17 time, we have not seen this and this again being a
18 memory response, people are boosting due to endogenous
19 and exogenous exposure to the virus all the time. One
20 would expect that this T-dependent response would come
21 back with a subsequent vaccination.

22 In fact, booster vaccinations or a two-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dose regimen in a short period have shown that the
2 response does, in fact, come back to the level seen
3 after a first dose. So we would anticipate that
4 should the data evolve to demonstrate that there is
5 waning efficacy, that there would be benefits from a
6 subsequent dose.

7 CHAIRMAN OVERTURF: Yes. I think actually
8 that addresses actually a couple of questions we have
9 not addressed in the 3(c) and (d), which is that I
10 think post-licensure studies have got to include some
11 component of active surveillance or relatively active
12 surveillance to look at this issue, because we really
13 have a four year period of duration right now in any
14 age group.

15 And it will require, I think, some
16 continued look at this because I think the question
17 you asked is pertinent and relevant to what we are all
18 considering. So I think that we will probably agree,
19 unless somebody disagrees, that some active component
20 or some active subset needs to be continued to be
21 looked at very actively. This may be done in a number
22 of settings.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We talked yesterday about using VSD data
2 to look at this, which would be one active component,
3 and obviously there will be -- it might be actually
4 included in the vero subset, which is the occurrence
5 of herpes zoster following -- it should be reporting
6 of herpes zoster following the receipt of the vaccine
7 ought to be part of the vero subset as well. Yes, Dr.
8 Gellin?

9 DR. GELLIN: I want to go back in follow-
10 up to a question that Monica started about the medical
11 care of the patients or the subjects in this, and that
12 we heard early on the medical need for this vaccine
13 was because there was -- available therapies had
14 limitations, but built into this was both pain
15 management and antivirals.

16 Now, I wonder what we have learned about
17 modern day intervention of ready access to these
18 through this trial.

19 CHAIRMAN OVERTURF: Clearly, it was a
20 benefit of the trial, I think. I think they have made
21 that point, was that enrollment in this trial actually
22 enrolled you in some very good pain management.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SILBER: Obviously, the trial is not
2 designed to look at the treatment of herpes zoster.
3 But when we look at the fraction of individuals who
4 received antivirals, who received anticonvulsant
5 medication such as gabapentin, who received opiates,
6 and when we compare that with large databases that
7 look across a general population, the frequency of use
8 of all of these medications was actually substantially
9 higher than is seen in general medical practice, so
10 again speaks to the level of care across all of the
11 subjects, vaccine and placebo recipients who might
12 have developed zoster.

13 CHAIRMAN OVERTURF: Dr. Fleming?

14 DR. FLEMING: I was actually going to wait
15 to make this comment until we were answering the
16 question, but I think our colleagues have raised this
17 issue and it maybe is better to have it open in the
18 discussion.

19 And I would like to just pursue a little
20 bit further the idea of might we be delaying? And to
21 the credit of this trial, it provides very good data
22 in terms of durability of effects out to three to four

1 years, but this issue of whether we are allowing
2 people to remain at risk to a later point in time is
3 certainly a very relevant one.

4 The data that we see indicates that there
5 is a substantial immune response that is provided by
6 the vaccine, but roughly in terms of geometric mean
7 titer ratios, twice that that comes from an actual
8 case of herpes zoster. And so the question that I
9 might wonder, is herpes zoster the best approach to
10 protect against a PHN case?

11 Well, the issue is not if there is, in
12 fact, a risk of a PHN case when you have herpes
13 zoster, but the data that are fascinating that the
14 sponsor has put forward is where you have high levels
15 of risk of herpes zoster relative to risk of PHN is in
16 your 50s.

17 And if you have 1,000 people and, based on
18 the data, maybe if the sponsor said 300 of them over
19 a 25 to 30 year period would, in fact, be at risk for
20 a case of herpes zoster, during that first decade of
21 the 50s, if you start at age 50 for example, you're
22 accumulating five to six cases per year that you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 preventing. That adds up to 50 to 60 cases out of
2 those 300.

3 Would it have been better for those people
4 to have, in fact, had cases of herpes zoster where
5 they are at, essentially, no risk for PHN, and this is
6 a question specific to starting in your 50s, rather
7 than to allow those or are you better to prevent those
8 cases or allow them to occur when the PHN risk is
9 going to be low in your 50s?

10 DR. WHARTON: I would point out that in
11 otherwise immunocompetent subjects, once you have a
12 case of herpes zoster, your risk for having a
13 subsequent episode is 5 percent or less based on
14 literature.

15 DR. FLEMING: Precisely. Therein lies the
16 issue we're discussing.

17 CHAIRMAN OVERTURF: Any further questions,
18 comments? Dr. Hetherington?

19 DR. HETHERINGTON: I apologize if this was
20 covered previously, but did you look at the use of
21 pain medications across treatment arms as a potential
22 confounding factor in the pain assessment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CHAN: So your question is whether we
2 have looked at the pain medication uses as part of the
3 assessment of vaccine efficacy. We did. Obviously,
4 when we look at the zoster endpoint, the pain
5 medication don't come into the picture because all
6 those come after the zoster surveys.

7 When we look at the supportive analysis in
8 terms of the severity-by-duration of zoster pain among
9 the cases, we did take that into account, and all we
10 found is in general the pain medication uses are very
11 balanced between the two groups and there is no effect
12 on the vaccine effects because of use of the antiviral
13 or pain medications.

14 DR. SILBER: I would like to get back to
15 Dr. Fleming's point again about the potential for
16 delaying. The evidence that we have is that the
17 vaccine effect is durable and, although people in the
18 50 to 59 age group do not have PHN at the rate that
19 older individuals do, they have often very severe,
20 acute pain.

21 200,000 people a year have acute herpes
22 zoster in this age group with severe pain. The rate

1 of complications, other than PHN, is about as high in
2 people 50 to 59, including the ocular and other
3 potentially severe complications and so --

4 DR. FLEMING: Then why weren't they
5 included in the trial? If it's so obvious that these
6 people are at such considerable risk and potential for
7 benefit, why weren't they in your trial?

8 DR. SILBER: Well, again, to go back to
9 the original point, that the primary benefit that we
10 would anticipate to see in the younger individuals is
11 from prevention of the episode outright. The
12 scientific information available to Merck and the VA
13 in 1997 when this trial was initiated, in 1992 and
14 1994 when the protocol was drafted was that the
15 vaccination could not accomplish that.

16 Further to the point, even if the vaccine
17 at some point wanes and is not durable, that doesn't
18 mean there is no benefit to the individuals. And,
19 again, what we have seen in three different studies
20 with second vaccinations and as we would anticipate
21 since this virus is kept quiescent for many years is
22 that immunologic boosting that could eventually be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 given with a second dose, if necessary, would
2 biologically plausibly prevent that episode from
3 happening at a later time.

4 CHAIRMAN OVERTURF: I would agree that
5 it's biologically plausible, but the issue really is
6 why wasn't it studied? If it was part of the original
7 hypothesis, then it should have been studied. And,
8 obviously, you have explained a little bit why it was
9 not and I'm sympathetic with that, and I think the
10 issue is almost more of a public health issue at this
11 point.

12 This is going to be an issue about how
13 best to control herpes zoster in this population, and
14 I think the question before the Committee to me is do
15 we have data to support this method of control for
16 this public health problem? Dr. Royal?

17 MEMBER ROYAL: Just going back a minute to
18 potential effects of treatment of individual patients
19 on some of the parameters that you measured.

20 Is there any reason to think that patients
21 who are treated with an antiviral might have had some
22 differential difference in the frequency with which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you isolated your vaccine strain virus versus non-
2 vaccine strain from the lesions themselves? So I
3 believe you found your vaccine strain in two patients,
4 in lesions from two patients, but not in the rest.

5 Do you think that their being on an
6 antiretroviral would affect that at all?

7 DR. SILBER: The question refers to the
8 isolation of the VZV in the PCR. I think we may be
9 dealing with two separate issues. In the Shingles
10 Prevention Study, the Oka strain was not seen in any
11 individuals during the efficacy follow-up. All of the
12 cases of zoster that occurred were with wild type.
13 All of the rashes that occurred that had specimens
14 within 42 days were wild type.

15 In two other trials, one subject each
16 developed -- among those with VZV-like rashes, there
17 was these two individuals who had rashes from whom the
18 PCRs disclosed Oka strain. In one case it was a 92
19 year-old man who had just a few, some papular lesions
20 17 days postvaccination, in the other study a 23 year-
21 old female from the VARIVAX study who was seropositive
22 and had some lesions about a week after vaccination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this was in the immediate postvaccination period.

2 DR. GUTSCH: One other point to this
3 question is that the samples for PCR were collected
4 before acyclovir was being administered.

5 CHAIRMAN OVERTURF: Dr. Markovitz?

6 MEMBER MARKOVITZ: I'm curious how the
7 decision was originally made to only give one dose of
8 the vaccine. It seems like, you know, you obviously
9 have efficacy in certain populations. I'm wondering
10 why a booster given a month later or something wasn't
11 pursued. I know you did that in some of your earlier
12 studies, but I'm curious why that strategy fell by the
13 wayside.

14 DR. SILBER: A question about the single
15 dose regimen. Again, the studies that had been
16 conducted previously and, in fact, the studies that
17 have been done subsequently have indicated that there
18 was not further immunologic benefit from a second
19 dose, that it got back to where you were with dose
20 one. Now, whether that could translate into some
21 qualitative difference was not studied.

22 CHAIRMAN OVERTURF: Hearing no further

1 questions or comments from the Committee, I think
2 we'll progress to the main questions and we're
3 instructed to answer these questions as they are
4 asked.

5 If there are portions of the question that
6 any given Committee Member, when polled, disagreed
7 with, please, state your reasons and provide input to
8 the FDA on what you think needs to be done in order to
9 fully support that particular indication.

10 So I'm going to start with Dr. Karron.
11 And the first question is "Are the available data
12 adequate to support the efficacy of ZOSTAVAX when
13 administered to persons greater than 50 years of age
14 in preventing herpes zoster, preventing postherpetic
15 neuralgia, preventing postherpetic neuralgia beyond
16 the effect on the prevention of herpes zoster,
17 decreasing the sponsor-defined burden of illness and
18 decreasing the sponsor-defined burden of illness
19 beyond the effect on the prevention of herpes zoster?
20 If not, what additional information should be
21 provided?"

22 MEMBER KARRON: Herpes zoster is an

1 important cause of morbidity in the elderly and a
2 vaccine that effectively prevented zoster and its
3 complications would make an important contribution to
4 public health.

5 The sponsor has shown that ZOSTAVAX is
6 effective in decreasing the incidence of zoster,
7 preventing postherpetic neuralgia and decreasing the
8 sponsor-defined burden of illness in individuals who
9 are 60 to 69 and over 70 years of age.

10 However, as shown in the additional
11 analysis, efficacy against the incidence of zoster is
12 substantially decreased in individuals over 80 on the
13 order of about 18 to 20 percent, though there may be
14 better efficacy against postherpetic neuralgia, burden
15 of illness or prevention of perhaps the most severe
16 pain complications. Though, obviously, the numbers
17 are small and here the confidence intervals overlap
18 zero.

19 Although the sponsor has asked for an
20 indication for use in individuals over 50 years of
21 age, only 185 individuals in the 50 to 60 year-old age
22 group have been studied and those individuals have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been studied for safety.

2 While it's likely that a vaccine that is
3 efficacious in individuals over 60 would also be
4 efficacious in individuals in that 50 to 60 year-old
5 age group, the question needs to be addressed more
6 completely. Perhaps additional assessments of
7 immunogenicity with a bridging study could be
8 contemplated since the rate of zoster is quite low in
9 the 50 to 60 year-old age group.

10 An additional important issue that has
11 been touched on by many of the people here today is
12 the issue of duration of protection against zoster.
13 And this is not only a question regarding the need for
14 booster doses to prevent the breakthrough disease, but
15 also importantly the question of whether immunizing
16 the young elderly, those say 50 to 70 years-old, will
17 only delay the time to occurrence of zoster
18 potentially with worse complications in older
19 individuals.

20 So my conclusions are that the data are
21 not adequate to support efficacy in persons over 50
22 years of age, though there may be data to support

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy in a subset of that group.

2 CHAIRMAN OVERTURF: Dr. Fleming?

3 DR. FLEMING: Well, I too think this
4 answer requires some specific consideration of groups
5 or subgroups of patients. As the question relates to
6 people in their ages of 50 to 60, there are no data
7 that have been presented to us. And I do believe in
8 principle that labels should reflect what the
9 eligibility criteria and exclusion criteria are in
10 clinical trials. And if people have been
11 systematically excluded in their 50s, it seems
12 logically inconsistent to then judge we can use
13 evidence from that trial to address whether or not
14 efficacy has been established and safety has been
15 established in that group.

16 It is the case that PHN risk is low below
17 the age of 60. And I think that does, in fact,
18 provide some logic to why those participants weren't
19 included in the trial. And as we were discussing in
20 our open discussion period, there is at least
21 uncertainty about the issue of the prudence of
22 delaying herpes zoster cases in people in their 50s

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when they are at very low PHN risk to then be at
2 continued risk in ages later in time when PHN risk is
3 much greater.

4 As it regards to efficacy for preventing
5 herpes zoster in patients over the age of 60, I
6 believe that there are positive efficacy data to
7 establish effects on herpes zoster. As an aside, I
8 would argue as always we should be doing an ITT
9 analysis. The sponsor here did an MITT analysis
10 excluding those cases in the first 30 days, where, in
11 fact, there was evidence of benefit. So as an aside,
12 again we see an instance where start at time zero and
13 count everything that happens, both analyses would
14 have shown essentially the same thing in this case.

15 The issue though is one of
16 generalizability, as has been pointed out, and we're
17 going to come back to those issues of
18 generalizability. One of the aspects though of
19 generalizability is specifically age. And experience
20 has shown that it's treacherous to look at results by
21 subgroups with the risk of being misled that
22 differences that are uniform may be interpreted or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 facts that are uniform may be interpreted to be
2 different by subgroups.

3 However, I do think in this case the
4 evidence for a waning effect or for a lessor effect in
5 older participants is very strong with estimates for
6 herpes zoster on the order of about 64 percent
7 relative efficacy, if you are from 59 to 69, dropping
8 down to 44 in your early 70s, 36 in your late 70s, 20
9 in your early 80s and about 12 above 85. A monotonic
10 trend in a study of this size that provides very
11 strong indication of an effect that is, in fact, age-
12 specific.

13 And we see a similar type of evidence for
14 PHN and for BOI. So as we move forward to Part B for
15 preventing PHN, I do believe that there is evidence
16 here in this study for reducing PHN at targeted
17 levels, protocol-specified targeted levels for people
18 who are in their 60s to 80s. But for people who are
19 above 80, the overall PHN efficacy is well below the
20 targeted level. And a similar situation arises with
21 BOI where there is evidence of benefit in those who
22 are in their 60s to 80, but above 80 one again is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 below targeted levels for efficacy.

2 Now, key questions are also asked in BNC
3 about how much of the effect goes beyond the
4 prevention of herpes zoster. So specifically, in B,
5 how much of the PHN effect goes beyond prevention of
6 herpes zoster? My own sense about this is again this
7 is an age-specific answer. If you are in your 60s,
8 there is no difference at all.

9 So the evidence, in fact, would
10 considerably suggest that if you are in your 60s, the
11 effect on PHN is essentially reflecting the effect on
12 herpes zoster. For participants who are in their 70s
13 though and even into their 80s, there is an indication
14 that the effect is exceeding that effect that is
15 simply represented by herpes zoster.

16 For the similar question as it relates to
17 BOI, I struggle a bit more. Again, it's very clear.
18 If you're in your 60s, there is no evidence that the
19 BOI measure of efficacy exceeds at all what was simply
20 attributable to herpes zoster. There is a suggestion
21 though as with PHN that when you are in your 70s and
22 80s, there may be some added value, i.e., it's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just incidence, it's severity-by-duration.

2 But I'm still struggling to understand the
3 BOI. I think the definition is somewhat problematic.
4 The ascertainment of the outcome is not as consistent
5 as one would hope. I do think there is a suggestion
6 in the right hand tail, which would explain why the
7 FDA and sponsor's analyses are so different. So at
8 least, at this point, I'm willing to say like with
9 PHN, there is a suggestion that there might be more
10 than just the herpes zoster effect when you are in
11 your 70s and when you are in your 80s.

12 I'm going to stop at that point, because
13 you are talking about what additional information. I
14 don't know if you want that answer later, but one
15 thing I have skipped over, because it comes in
16 Question 3, that I think is critical, at least in my
17 answers to A, B and C, is not only does this approval
18 or does this conclusion have to depend on the age, but
19 it certainly is problematic that we have an absence of
20 or very limited information in critical cohorts.

21 Obviously, nothing in the 50s to 60. In
22 patients with co-morbidities or chronic

1 immunosuppression, we have also no evidence. We have
2 minimal evidence in blacks and Hispanics. And the
3 evidence that we do have in those above age 80 and
4 certainly above age 85 is very concerning in terms of
5 lack of persuasiveness.

6 So the answers here, I believe, as has
7 already been stated are very dependent on the nature
8 of the baseline characteristics and risk groups of the
9 participants.

10 CHAIRMAN OVERTURF: Dr. Word?

11 MEMBER WORD: I don't think I'll be as
12 long as Dr. Fleming. I think he summed it up very
13 nicely. But anyway, I think what the sponsor actually
14 -- the indication that the sponsor is seeking is
15 really in individuals greater than 50. However, they
16 really only provide us with data that examines those
17 and provides evidence for those that are greater than
18 60 years of age. And that's where I struggle with
19 this.

20 I mean, we're really based or asked to
21 make a judgment call based on some immunologic data
22 or, you know, as you would say a leap of faith, well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if it works better. We know they are younger, so that
2 they should have a better immunologic response.
3 However, what we are missing are the hard and fast
4 data. So if I stick to what you say, then, you know,
5 some of the questions that I had, it still goes back
6 to the duration of the effect of the vaccine, giving
7 it in this 50 year-old age group.

8 I don't know about the need for the
9 booster or the effect administering the vaccine that
10 has been brought up by others if you give it earlier
11 to people, what long-term effect will that have. So
12 I guess if I took away the year 50 years and I took it
13 to 60, then the answer would have been yes. But
14 because it stayed at greater than 50, I would have to
15 say my answer would have to be no to all three.

16 CHAIRMAN OVERTURF: Dr. Scharfstein?

17 DR. SCHARFSTEIN: I think the sponsor
18 showed that there is a short-term effect of the
19 vaccine on preventing herpes zoster in the 60s and
20 70s. I'm concerned about the 50 to 59 year-old
21 category as well as the over 80 category. I have
22 serious concerns about the quality of the pain data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It may be fine. I just haven't seen it. And so the
2 endpoints, postherpetic neuralgia and BOI depend
3 critically upon that.

4 So I would say that I am uncomfortable
5 concluding that the sponsors have shown an effect on
6 preventing postherpetic neuralgia or on BOI. I also
7 have concerns about the analyses that are conditional
8 on the presence of herpes zoster as those populations
9 may or may not be comparable. We saw some data
10 suggest that there were a couple on basepoint
11 characteristics. However, there could be unmeasured
12 confounders that can explain some of these
13 differences.

14 So again, I'm not comfortable concluding
15 that the sponsor has shown an effect of preventing PHN
16 above and beyond its effect on herpes zoster or its
17 effect on BOI above and beyond its effects on herpes
18 zoster.

19 CHAIRMAN OVERTURF: Dr. Rowbotham?

20 DR. ROWBOTHAM: For the great majority of
21 persons who develop an episode of herpes zoster, it's
22 a very severe, but fortunately, relatively short

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 illness. But for those whose pain lingers beyond a
2 month, and even more so for those who still have pain
3 at six months or a year, there is no way to really
4 underestimate the burden of suffering.

5 This brings up the importance of the right
6 hand tail in the data, in that those patients who have
7 the very high burden of illness scores over the six
8 months after an episode of zoster, those people are
9 very likely to continue to have pain a year or even
10 longer and be really quite severely disabled as a
11 result.

12 However, it's difficult to answer the
13 three questions here, because of the lack of direct
14 data in the group between the age of 50 and 59. So I
15 can't answer any of the three questions on that. It
16 would be speculative for me to provide a direct
17 answer. For the first question of preventing herpes
18 zoster, the answer is quite clear. That if you are
19 year 60 or greater, there is a very definite effect
20 and that seems to carry on with some reasonable
21 confidence on up into the 70s or perhaps even into the
22 80s.

1 With regard to the question of preventing
2 postherpetic neuralgia, there is a semantic difficulty
3 which is that if you don't have herpes zoster, you
4 can't possibly get postherpetic neuralgia, as we
5 usually define it. So to put out an indication for
6 preventing postherpetic neuralgia would encourage
7 patients to try and get vaccinated as soon as they get
8 an episode of zoster in the hopes of preventing
9 postherpetic neuralgia.

10 And I'm already getting calls from
11 patients asking to be vaccinated even though they have
12 had postherpetic neuralgia for the past 5 or 10 years.
13 So there needs to be clarity as to what exactly the
14 vaccine can do. And what is most clear is that in
15 this age group between 60 and 70, that the vaccine is
16 very effective in preventing herpes zoster.

17 Now, in the older age group, there is
18 evidence that there is a preventive effect on
19 postherpetic neuralgia beyond the effect of preventing
20 zoster. And there, the labeling language would need
21 to be very careful to try and avoid confusing both
22 patients and clinicians. With regard to the third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question of decreasing the sponsor defined burden of
2 illness, the problem there is that the way it was
3 defined also included the preventive effect on herpes
4 zoster.

5 And so it's difficult to answer that
6 question, because it's really something that should be
7 split out into looking at the burden of illness in
8 those who have developed zoster. And again, the data
9 suggests that especially in the older patients that in
10 the pivotal study that the patients over the age of 70
11 did have less severe pain, even when their pain
12 persisted. And so there, I think the burden of
13 illness question is very important and it does support
14 that there is an effect on burden of illness in those
15 who are unfortunate enough to develop zoster despite
16 being vaccinated.

17 The most difficult problem that will come
18 up in the other questions is what to do in the group
19 between 50 and 59. And there we are really hampered
20 by the lack of information on the durability of the
21 vaccination and whether or not patients who are
22 vaccinated at 50 should be revaccinated at some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional time point before they turn 60, when the
2 likelihood of developing postherpetic neuralgia after
3 zoster starts to greatly rise.

4 CHAIRMAN OVERTURF: Dr. Gellin?

5 DR. GELLIN: I'll avoid summarizing a lot
6 of the data we heard, but given the question as
7 framed, are there data available to support efficacy
8 of ZOSTAVAX when administered to persons greater than
9 50? We simply don't have sufficient data in the 50
10 and above. So for me that makes the answer to all the
11 subparts easy, that there is not the data to support
12 that.

13 CHAIRMAN OVERTURF: Okay. Dr. Wharton?

14 DR. WHARTON: I would echo Dr. Gellin's
15 comments regarding the adequacy of available data to
16 support the efficacy in persons 50 years of age and
17 older for herpes zoster, postherpetic neuralgia and
18 burden of illness.

19 That said, there is good data in the
20 pivotal efficacy trial to support efficacy for
21 prevention of herpes zoster in persons in their 60s
22 and 70s, yet into their 80s, as others have commented,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 postherpetic neuralgia and burden of illness
2 evaluations in those age groups are very strongly
3 driven in the younger part of that population by
4 reduction of herpes zoster. It does appear on the
5 higher end that there may be independent effect, but
6 it was less definite than one might like.

7 CHAIRMAN OVERTURF: Dr. Royal?

8 MEMBER ROYAL: Thank you. I would also
9 like to stick to the question as posed to us. Looking
10 at the data for patients 50 years and over, there is
11 non-uniformity in response and inadequate data for the
12 50 and 60 year age groups. So for that reason, I feel
13 that the studies do not support efficacy for patients
14 greater than 50 years.

15 CHAIRMAN OVERTURF: Your industry opinion,
16 Dr. Hetherington?

17 DR. HETHERINGTON: Well, my comments will
18 parallel pretty much what you've already heard. Just
19 to put it in different words, durability is a relative
20 term and for the older age group, three to four years
21 may put you in the ballpark of something reasonable.
22 But as you get to somebody in the 50 and 60 year-old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group, who has 30 to 40 years of life left, then
2 durability of three to four years really doesn't mean
3 much.

4 And until the question of durability or
5 strategy to deal with any waning immunity in those who
6 might be immunized at a younger older age group is
7 answered, I don't think you could make a
8 recommendation in that 50 to 59 year-old group. The
9 standard of approving therapeutics is still based on
10 data and data for the population that has been
11 studied. And that again is still lacking.

12 That said, for the subparts, there
13 certainly is data showing this vaccine could be
14 effective in certain age groups for preventing
15 morbidities associated with zoster. Most of the
16 improvements or benefits seem to be in reducing the
17 frequency of actual cases. I confess some indecision
18 about whether the things such as burden of illness or
19 preventing PHN is beyond the effect of prevention of
20 herpes zoster. Nevertheless, I think the bottom line
21 is that there is an overall effect and a potential for
22 this therapeutic.

1 CHAIRMAN OVERTURF: Dr. Farley?

2 MEMBER FARLEY: I agree that as posed my
3 answer to Question 1 would be no, that we haven't been
4 presented with adequate data in the 50 and older
5 category. I do think that it's important to
6 acknowledge that they have shown what I think is quite
7 impressive reduction in the incidence of herpes zoster
8 in those 60 and over. And I do believe that that's
9 something that needs to be visited with the idea of
10 whether it has a role currently in terms of the
11 approval process for those for which it was tested.

12 I believe that the additional data that we
13 all want and would emphasize is in the 50 to 59 age
14 group. Perhaps also in the immunocompromised older
15 elderly, but in the 50 to 59, the emphasis not only on
16 some sort of consistent bridging information, but with
17 a mandate to really look at the issue of the waning
18 immunity and the idea of boosters and data on the
19 boosting effect over time.

20 So I would vote or answer no to the
21 question as posed, but would prefer to also keep the
22 idea of some consideration for the 60 and older

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 category for consideration of approval.

2 CHAIRMAN OVERTURE: Yes, Dr. Markovitz?

3 MEMBER MARKOVITZ: Yes, I would like to
4 echo a few things that were stated, but a few
5 additional things. First of all, I think that,
6 obviously, we cannot say there are any data to support
7 licensing this between age 50 and 60 or 50 and 59. It
8 is unfortunate in the sense that my guess is it will
9 work once the company actually does the studies, but
10 until we have the studies, we can't really comment.

11 And I'm a little reluctant to endorse, at
12 least without a lot of thought, a bridging study. I
13 suspect an efficacy study would really be
14 substantially better. That being said, I like the
15 data for people over 60. I think they are pretty
16 strong data. And I believe that it shows efficacy
17 certainly in preventing herpes zoster.

18 Now, the issue that people have raised
19 about postherpetic neuralgia and burden of illness, I
20 think that's important in terms of the labeling. But
21 it's my impression that at least clinically if you can
22 actually improve on those parameters by simply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 preventing zoster, that's still a very important
2 improvement.

3 So while I think there may be some
4 discussion about how to label this if it does get
5 approved, I think in terms of real life clinical
6 efficacy, I think that preventing zoster and then
7 impacting on those other measures would be fine with
8 me. So I vote, I guess I'm voting no for 50 to 59 and
9 yes for 60 and above, if that's allowed.

10 CHAIRMAN OVERTURF: Yes, I'm actually
11 splitting the vote on this. I would like to really
12 congratulate the sponsors on what I think was an
13 excellent and a difficult trial. It's a trial because
14 it's one of those -- it's similar to many vaccines
15 that we now are beginning to develop, which really
16 have to deal with long-term consequences that occur
17 long after the vaccine is given.

18 I suppose that was always true, but with
19 childhood vaccines, we are often dealing with issues
20 like rubella that would occur very shortly after
21 immunization or would have occurred very shortly after
22 immunization and we're somewhat universal and didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also carry some of the chronic and difficult
2 consequences like zoster does.

3 That said, actually I think I could move
4 into the hypothetical realm and use what I know about
5 the immunological data in the 50 year-old age group
6 and would have been willing to. I think the biggest
7 concern here is that you're really talking about
8 giving this to a universal large population with what
9 I don't think are adequate safety data yet.

10 That's perhaps the biggest limiting factor
11 and perhaps probably needs to be the most important
12 prerequisite for post-licensure, if that's going to
13 come. It does also -- and I think another issue is
14 the long-term public health consequences of that
15 vaccine given in that age group and whether that's the
16 best strategy. And I don't think we have enough
17 information.

18 Plus, we don't have enough -- this sounds
19 to me like it echos an awful lot on what we used to
20 say about when the varicella vaccine was first
21 licensed. We were all -- there was so much concern
22 and still is some concern that we were going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 delaying the problem until a later point in which the
2 severity might be greater. And I think that still is
3 an issue here. Although, obviously, with the
4 varicella vaccine, which the sponsors have also
5 provided, we have eliminated perhaps an awful lot of
6 the wild disease that would have contributed to part
7 of this problem.

8 So I think to me the data do support the
9 use of the vaccine very clearly in individuals over
10 60. I think there were strong suggestions that it
11 probably does lower not only the incidence, but
12 probably also somewhat the severity of the disease in
13 individuals over 70. And I think even though at times
14 the data suggests a minimal effect, I think that could
15 have major public health consequences, even with the
16 minimal effect. So I would support the use of the
17 vaccine in individuals over 60, at this point.

18 We need to proceed to the second question
19 and I think, at this time, what I would suggest we do
20 is at the time I polled the Committee at this point,
21 I would -- if you have additional questions that you
22 want to address under Question 3, I would make that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 point at this time. If there is any further or last
2 minute clarifications that you think should be brought
3 out by either the FDA or the sponsors, we'll take that
4 at this time as well.

5 And we ended with Dr. Markovitz and we'll
6 start with him on this one. The second question being
7 "Are the available data adequate to support the safety
8 of ZOSTAVAX when administered to persons greater than
9 50 years of age? If not, what additional information
10 should be provided?"

11 MEMBER MARKOVITZ: Well, the simple answer
12 for me would be yes, again, talking about really over
13 60. Although, there are some pretty decent safety
14 data for over 50. So I guess here we could even say
15 over 50. I am a little concerned about various
16 follow-up issues that have been raised and the
17 statistical issues that have been raised. Although,
18 I think I would probably defer to my more
19 statistically sophisticated colleagues to talk about
20 that more.

21 So my overall answer is yes, I think the
22 safety data are okay. The second question you raised,

1 Gary, in terms of Question No. 3, "What else do we
2 need?" I think it's obvious we need 50 to 59. We
3 need more data on the more elderly. As I mentioned
4 before, I wonder if really one dose is really the
5 optimal way to proceed with this vaccine or one might
6 be better off with two in the long run.

7 And then the obvious thing is the people
8 who suffer the most clinically with zoster are
9 obviously people who are immunosuppressed, people on
10 steroids, people with HIV. For these people, this
11 problem is a disaster. Not to downplay the problems
12 with an otherwise healthy person, zoster is an awful
13 disease in those people, too. But I think we clearly
14 need data on the immunocompromised and I don't mean
15 just minor impairments, but truly immunocompromised
16 people.

17 CHAIRMAN OVERTURF: Dr. Farley?

18 MEMBER FARLEY: In terms of No. 2, I'm
19 satisfied with the safety data as presented for those
20 60 and older, of course, not for -- and I'm not for
21 those under 60. Just a couple of comments on No. 3.
22 I'm actually -- I have much less concern about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Subgroup A in that I think these people may get a
2 benefit. It's possible it may be a little less
3 beneficial. These patients may be -- because of their
4 co-morbidities might respond a little less, but they
5 also because of who they are and where they are
6 living, their life span may be shorter than those who
7 were in this study.

8 So I'm not all that concerned about
9 expanding or generalizing or at least making it
10 available to those in the Subgroup A. I think
11 Subgroup B will need some very careful attention in
12 terms of post-licensure studies that would assure the
13 safety of the use in that group. I think that it
14 would be to our collective benefit for us to really be
15 establishing good monitoring systems for and in an
16 active way and this isn't necessarily all driven by
17 the sponsor, but also by CDC and elsewhere, active
18 surveillance for herpes zoster.

19 I think it is important from the
20 standpoint that we have now, you know, generation
21 coming along without wild type disease, without native
22 disease, that are vaccine protected, never had chicken

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pox, where will they go with zoster, and those who
2 would have been boosted by that, the elderly and then
3 introducing this vaccine, all of these things are
4 going to be a complex mixture to be studied and that
5 we need to have a system that accurately assesses it
6 in the best way possible with the best tools.

7 And let's see, I think I'll close at that.

8 CHAIRMAN OVERTURF: Dr. Hetherington?

9 DR. HETHERINGTON: I think I would put a
10 qualified yes on the adequacy of the safety data.
11 There are a couple of issues that I'm still wrestling
12 with and I hope that the FDA will drill down on these
13 as they complete their review. What is the dependence
14 upon recall, patient diaries for the collective safety
15 data? And the second is the use of the subset. While
16 we were told it was comparable to the general
17 population in the study, we weren't shown the data.
18 It wasn't shared. And there may be some subtle
19 differences that may need to be explored a little bit
20 more. And again, I hope that the FDA will take that
21 into account during their review.

22 The presentation of the safety data, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think, was somewhat limited, but on the top line
2 looked fairly reasonable. For Question No. 3, I'm
3 just going to pick on two issues. One is interaction
4 studies with other vaccines and I believe the sponsor
5 showed that they were planning on doing a study to
6 look at the interaction between flu vaccine and this
7 vaccine. And I think that will be critically
8 important.

9 The second, I think, would be Part A under
10 3 and that is the use of vaccines in persons,
11 particularly those who are residing in assisted living
12 situations or nursing homes. While in this population
13 you didn't see any of the vaccine strain appearing,
14 any herpes zoster, perhaps that would not be the case
15 in somebody in more of a debilitated state, somebody
16 who was on some sort of chronic immunosuppressive
17 therapy and in a nursing home setting. There may be
18 the potential that the vaccine strain could be spread
19 cutaneously. So these are the things that I think
20 that the postmarketing pharmacovigilance study would
21 need to address.

22 CHAIRMAN OVERTURF: Okay. Dr. Royal?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER ROYAL: I would also say that the
2 data as presented does to a limited extent support the
3 safety of the vaccine and individuals greater than age
4 50 years. Although the data in the younger age group
5 could be a bit stronger, I do feel that it's good
6 enough, at this point. I would also like to recommend
7 that the sponsor consider looking at a group of
8 patients that can provide information that's more
9 generalizable to the general population by looking at
10 individuals with chronic conditions, not necessarily
11 chronic, immunosuppressive conditions.

12 I also feel that it would take a more
13 special look at that group. And also to keep in mind
14 the fact that even within the VA population that there
15 is a fair amount of variability in the care that's
16 given, given the fact that many veterans don't use the
17 VA as their only point of care.

18 CHAIRMAN OVERTURF: Dr. Wharton?

19 DR. WHARTON: As written, as the question
20 is written, I don't believe you have all the data
21 adequate to support the safety in persons 50 years of
22 age and older. Although, I would give a qualified yes

1 for persons 60 years of age and older. I'm still
2 troubled by the fact that there were information on 7
3 percent of vaccine recipients were obtained more than
4 60 days out and I'm concerned about the ability to
5 adequately ascertain safety information with
6 information apparently obtained late.

7 That said, the information such as it was
8 didn't suggest any safety-related problems. However,
9 as the vaccine is -- assuming the vaccine is licensed
10 and is introduced into general use in the elderly,
11 there will be, I suspect, large numbers of frail
12 elderly people with many co-morbid conditions who will
13 be vaccinated. And it's clear that information is
14 needed on the vaccine used in the more general
15 population of the elderly.

16 And I remain concerned that safety issues
17 will arise which may have nothing at all to do with
18 the vaccine and maybe have to do with the underlying
19 health status of those persons, that there will need
20 to be a population laboratory so that those questions
21 can be answered in a way that is efficient and can
22 rapidly resolve the issues. And clearly, duration of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunity will need to be addressed as well.

2 CHAIRMAN OVERTURF: Dr. Gellin?

3 DR. GELLIN: Again, as written, I don't
4 think there is sufficient data in that 50 to 59 year-
5 old group to answer the question overall and I won't
6 get into the subgroup analysis. Although, I want to
7 comment that I felt that the safety data was otherwise
8 sufficient. On a tangential note, I had by
9 serendipity over the past several years have met many
10 people who have been involved in this study and I
11 would encourage the sponsors to in some way capture
12 the information that happened here today and report
13 back to the volunteers who may read about what
14 happened here today in a different light.

15 And I think that also speaks to these
16 incredibly large studies and more and more people are
17 involved in these studies. We want to make sure that
18 people continue to want to participate in such
19 studies.

20 CHAIRMAN OVERTURF: Dr. Rowbotham?

21 DR. ROWBOTHAM: With regard to this
22 question, I think I would like to just point out that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 009 study had only 185 subjects between the age of
2 50 and 59. And I don't think that's enough to say
3 that's adequate safety data when the target population
4 in the overall U.S. population is many, many millions.

5 For patients over the age of 60 though, I
6 think the Shingles Prevention Study, which is really
7 a landmark for those of us who work primarily in the
8 pain area, is adequate to suggest that the safety is
9 quite good, especially given the potential benefits in
10 that age group. Through post-licensure studies, I do
11 have a couple of comments.

12 I think a very good postmarketing study
13 would be to examine patients who are living in
14 assisted living or nursing homes. And that's a
15 particularly difficult group to manage. If they do
16 develop shingles, their communication abilities may be
17 quite impaired. They may be cognitively impaired.
18 They can't tolerate any of the more aggressive
19 invasive injection procedures, like epidural
20 injections that can be used in younger patients or
21 healthier patients.

22 They tend to do spectacularly bad on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sedating drugs like some of the anticonvulsants and
2 the opiates, so this is a group that's really tailor
3 made for a preventive type treatment like the vaccine.
4 It's important to get this information on patients
5 with chronic immunosuppressive therapies, probably in
6 a progressive approach with those -- starting with
7 those who are the least immunologically impaired and
8 then going on steadily into more and more impaired
9 groups.

10 The persons with HIV infection actually
11 offer a ready model there, because you can look at the
12 T-cell counts and state the severity of immune system
13 damage in that disorder. So there was an interest in
14 looking in that particular population. You could
15 start with HIV-positive patients who have the least
16 damage to their immune system with a treatment like
17 this before going on to the more severely impaired
18 ones and that certain group that's very high risk for
19 zoster and also has quite a high incidence of
20 postherpetic neuralgia.

21 The duration of immunity as has been
22 mentioned quite a bit, I think, is the major factor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limiting discussion of the utility of this in patients
2 between 50 and 59.

3 CHAIRMAN OVERTURF: Dr. Scharfstein?

4 DR. SCHARFSTEIN: For Question 2, I feel
5 that there is data for subjects over 60 years of age
6 to support the safety of the vaccine, although I have
7 some concerns about seeing the data with regard to the
8 comparability of the set of people who were followed
9 in the AE Monitoring Study and the rest of the study
10 population, as well as the uniformity of follow-up for
11 safety information in the study.

12 In terms of Question No. 3, it seems to me
13 that A, B and D, we didn't have a lot of data on
14 those, so it's hard to comment. In terms of C, in
15 terms of the vaccine greater or equal to 80 years,
16 there wasn't a tremendous amount of data to support.
17 There was some data, but not a tremendous amount of
18 data for over 80. And we haven't seen the
19 pharmacovigilance plan, but I would support active
20 surveillance if the vaccine was approved. And I also
21 have some concerns about generalizability as this was
22 a predominantly white population that was studied.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN OVERTURF: Dr. Word?

2 MEMBER WORD: In terms of the available
3 safety data, I think if you were looking at over 60,
4 I think I would be in agreement with everyone else.
5 I think when it comes to the 50 to 60 year-old age
6 group, as has been pointed out, while the study seemed
7 like it was reasonable, you have a very small number
8 in that population.

9 In terms of additional studies, I think
10 Dr. Hetherington mentioned one already when he talked
11 about co-administration. He mentioned the -- the
12 sponsor mentioned influenza. One of the other things
13 that has been suggested during the age range, that
14 they should have gotten pneumococcal and you may also
15 look at DTaP, even though it's not been formally
16 recommended, I think ultimately it will be for that
17 age group for adults as opposed to just plain TD.

18 I guess the other question I wasn't sure
19 of was in the pharmacovigilance plan, it talked about
20 identification, some identification program, I'm not
21 quite sure what that is, in terms of being able to
22 identify, I guess, the vaccine versus wild type virus,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but I don't know how you are going to disseminate
2 that. And the other thing that just struck me as odd
3 is that you're looking at targeting a group that is
4 over 50 and you have a Pregnancy Registry. So I don't
5 know if that was just a carryover from the other
6 vaccine, but it just seemed a little odd that that was
7 in there.

8 CHAIRMAN OVERTURF: Dr. Fleming?

9 DR. FLEMING: Whenever I answer the safety
10 issue, I always view this as an answer in the context
11 of benefit to risk. So I always think of what is
12 acceptable safety based on what is the level of
13 efficacy. With that in mind, just very quickly again,
14 my view is efficacy essentially is established in the
15 60 to 80 range. We don't have data in the 50 year-
16 olds and in those above 80, HZ incidence was only
17 minimally effected and BOI and PHN levels didn't meet
18 target.

19 So the efficacy, as I see it, is in 60 to
20 80 year-olds where in that range throughout there is
21 HZ incidence data that is persuasive. Although, only
22 in the 70s is there evidence of added severity-by-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 duration beyond the incidence. So with that as
2 background, I'll just very briefly say that the safety
3 data in the 50s, like the efficacy data, is lacking.
4 Although it was interesting to me to look at the
5 sponsor's slide 74 where there was more safety events
6 that were occurring in the 50s than above 50. That
7 was an interesting observation.

8 So drilling down on the safety in 60 to 80
9 year-olds, one thing that I noted was the SAE rate is
10 relatively 60 percent higher in this cohort. And, in
11 fact, in the 70s it's relatively 80 percent higher.
12 That translates by my calculation into something on
13 the order of about six SAE events per thousand people.
14 And I put that in contrast with six HZ events
15 prevented and one PHN event prevented, although that
16 is an extension. That will extend over each year in
17 the future.

18 So my sense about this is that based on
19 what is known in the safety domain, the overall
20 benefit to risk does appear to be favorable in the 60
21 to 80 year-olds. I remain somewhat uncertain and
22 specifically again refer to the FDA's summary slide 83

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying "Completeness of safety ATRS and study
2 termination follow-up is unclear." I, obviously,
3 believe that it's going to be important for the FDA to
4 be as confident about the completeness of this
5 evidence as possible.

6 I am suspecting that when that assessment
7 is final, that the overall sense in the 60 to 80 year-
8 olds would be that benefit to risk is favorable. As
9 it relates to Question -- as far as Question No. 3 is
10 concerned and Parts A, B and C, I'll reiterate what I
11 had mentioned before. I don't look at this just as
12 postmarketing. I look at this as premarketing. There
13 are categories of patients here that I would be
14 concerned if they were included in the label.

15 Those that are 50 to 60, those with co-
16 morbidities on chronic immunosuppressives and, in
17 fact, because of the lack of benefit, those that are
18 above age 80. Therefore, I would hope to see studies
19 done even before marketing that would enlighten us
20 much more clearly about benefit to risk in those
21 categories.

22 In blacks and Hispanics it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disappointing how limited the evidence is. I would
2 urge the FDA to carefully consider that evidence. I'm
3 stopping short of saying those categories shouldn't be
4 included in the label, but I'm disappointed at the
5 limited amount of information we have there and would
6 want the FDA to look carefully at what information
7 exists.

8 Regarding duration of immunity, let me
9 just step back and first as an aside make the point
10 that the nature of evidence that has been presented to
11 us, for example, that was on the sponsor slide 62,
12 indicates that ELISA titers and ELISPOT counts are
13 correlated with the level of risk. That is what we,
14 in fact, know.

15 The sponsor used orally the terminology
16 "they are correlates of protection," and on their
17 slides said it's correlated with efficacy. Those
18 latter two terms convey knowledge of causality. The
19 kind of data that is available doesn't establish
20 causality. It simply establishes a statistical
21 association or a correlation and I have been arguing
22 since 1990 on this Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would prefer that we state what we know
2 with data such as this, and that is that this evidence
3 is correlated with level of risk rather than calling
4 it correlates of protection or correlations with
5 efficacy, the latter of which suggests causality has
6 been established.

7 Let me though finish on a positive note.
8 I would like to congratulate the sponsor for
9 conducting a clinical endpoint trial not just an
10 immunogenicity study. Therefore, as it relates to
11 question 3(d), duration of immunity, I think we can
12 trump the answer to the question "Is there evidence of
13 duration of immunity?" by saying the sponsor has
14 established over three to four years of follow-up that
15 there is durable efficacy, and that to me is more
16 impressive than the answer "Is there durability of
17 immunity?"

18 CHAIRMAN OVERTURF: Dr. Karron?

19 MEMBER KARRON: So I would say that in
20 terms of data to support the safety of ZOSTAVAX in 50
21 to 60 year-olds, I don't think there is adequate data.
22 I do think there is adequate data for those over 60.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I do share some of Dr. Wharton's concerns
2 about that missing 7 percent and that number is a bit
3 flexible, but whatever that is, and I would at least
4 encourage the sponsor to get to the FDA some of those
5 demographic data to assure us that those missing
6 individuals are not different from those for whom they
7 were able to get data.

8 In regard to question 3, I don't think I
9 will make any additional comments, except that I did
10 want to focus on that group over 80 and a comment that
11 Dr. Overturf made at the end of the last question,
12 which is that I think we should not under-estimate the
13 morbidity in that age group, in the very elderly, and
14 that we might want to use a vaccine in that age group
15 that has less efficacy.

16 I mean, ideally, there would be a vaccine
17 that had sustained efficacy over all age ranges, but
18 that a vaccine even with lower efficacy in that age
19 group might still provide a substantial public health
20 benefit.

21 CHAIRMAN OVERTURF: I don't think I have
22 anything to add. I think all the questions have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 added. I also, based on what I have said previously,
2 don't feel there is enough data to support. Although
3 it suggests and I actually would like to believe that
4 there is safety for the 59 year-old age group, I don't
5 think there is sufficient data to support that.

6 I have been asked by the FDA to poll the
7 Committee one more time and I don't want a lot of
8 discussion, and I'm going to ask the same question and
9 all I want you to answer is yes or no as to the answer
10 about 60 versus 50 to 59. So I would first ask you
11 again.

12 I think I have this recorded, but I think
13 they want it on tape. So I would like to ask you
14 first. You can actually ask both questions. I will
15 ask you both questions. The first one is about safety
16 and the second one is about efficacy for the --
17 specifically, if we rephrased all these questions for
18 the 60, greater than 60 year-old age group. I think
19 I heard one person in support of adequate data for
20 safety for 60, maybe two.

21 So if I could have you -- I will poll this
22 one more time. Let's go with Dr. Markovitz first.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Safety and efficacy for those over 60 years-old?

2 MEMBER MARKOVITZ: Right. I vote yes,
3 there is efficacy and yes, there are safety data to
4 support licensing this in those 60 and above.

5 CHAIRMAN OVERTURF: Okay. Dr. Farley?

6 MEMBER FARLEY: Let me just clarify. Is
7 it efficacy against herpes zoster? Are we keeping it
8 simple?

9 CHAIRMAN OVERTURF: We're keeping it
10 simple.

11 DR. FLEMING: But how can we keep it
12 simple? I mean, we have just gone through two hours
13 of clarifying that these aren't yes/no answers.

14 CHAIRMAN OVERTURF: No, I agree.

15 MEMBER FARLEY: But it would be if it's
16 herpes zoster for me at least, and my answer for 60
17 and older for efficacy against herpes zoster, yes, and
18 for safety, yes, given the caveat of making sure the
19 data is fully shared and nothing new comes up.

20 CHAIRMAN OVERTURF: Dr. Hetherington?

21 DR. HETHERINGTON: Yes. I would vote yes
22 on both safety and efficacy in the greater than 60

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year-old group.

2 CHAIRMAN OVERTURF: Dr. Royal?

3 MEMBER ROYAL: I would vote yes to
4 efficacy and safety in the 60 year and above age
5 group.

6 CHAIRMAN OVERTURF: Dr. Wharton?

7 DR. WHARTON: Yes on efficacy for
8 prevention of herpes zoster, a qualified yes on safety
9 with the reservations I expressed earlier.

10 CHAIRMAN OVERTURF: Dr. Gellin?

11 DR. GELLIN: For zoster, yes, for both
12 efficacy and safety.

13 CHAIRMAN OVERTURF: Yes?

14 DR. ROWBOTHAM: Yes on both safety and
15 efficacy.

16 DR. SCHARFSTEIN: Yes on efficacy for
17 preventing herpes zoster and yes on safety provided --
18 with the additional caveat.

19 CHAIRMAN OVERTURF: Okay. Dr. Word?

20 MEMBER WORD: Yes on both safety and
21 efficacy.

22 CHAIRMAN OVERTURF: Let's try you, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Fleming.

2 DR. FLEMING: I think I have nothing to
3 add, i.e., a qualified yes on efficacy and safety with
4 in-depth discussion of the qualifications already
5 being on record.

6 MEMBER KARRON: Yes on safety, yes on
7 efficacy with the qualifications on safety expressed
8 previously.

9 CHAIRMAN OVERTURF: Now, I think that was
10 -- and I also would vote yes on safety and efficacy
11 for those over 60. I don't know if I dare ask this,
12 but are there any further comments or discussion that
13 the Committee would like to put forth at this point,
14 any Members? Yes, Dr. Wharton?

15 DR. WHARTON: Thank you to the FDA staff
16 for all their work, as well as that of the sponsor for
17 putting this on today.

18 CHAIRMAN OVERTURF: I think with that, we
19 will adjourn the meeting. Thank you very much.

20 (Whereupon, the meeting was concluded at
21 4:01 p.m.)

22

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products


Advisory Committee

Before: DHHS/FDA/CBER

Date: December 15, 2005

Place: Bethesda, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in black ink, appearing to be 'K. M. G.', is written over a horizontal line.