

1 between the 12-month pre- and postexclusivity  
2 period and a 9 percent increase for the pediatric  
3 population.

4 Cardiology was the most frequent  
5 prescriber specialty during the 12-month post-  
6 exclusivity period at 35 percent, compared to  
7 pediatrics at less than 1 percent.

8 Lastly, use among pediatric patients  
9 was too low to evaluate for an office-based  
10 physician carvedilol visits stratified by visit  
11 diagnosis codes.

12 On May 28<sup>th</sup>, 2003, the FDA issued a  
13 written request for studies of carvedilol in the  
14 treatment of heart failure in pediatric patients.

15 The resulting pediatric studies included three  
16 trials: (1) an efficacy and safety study in a 161  
17 pediatric patients 2 months to 17-years-old with  
18 congestive heart failure due to systemic  
19 ventricular systolic dysfunction, (2) a PK study  
20 in 80 pediatric patients and this was a substudy  
21 of the efficacy and safety study, and (3) a safety  
22 study in a 102 pediatric patients and in this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case, this was an extension study of the efficacy  
2 and safety study.

3 The efficacy and safety study was a  
4 multicenter randomized placebo-controlled double-  
5 blind parallel group eight-month study of low- and  
6 high-dose carvedilol added to standard treatment  
7 with an eight-week titration phase and a six-month  
8 maintenance phase.

9 Fifty-five patients received placebo,  
10 53 patients were in the low-dose group in which  
11 patients less than 62.5 kilograms received 0.2  
12 milligrams per kilogram BID or those greater than  
13 or equal to 62.5 kilograms received 12.5  
14 milligrams BID, and 53 patients were in the high-  
15 dose group in which patients less than 62.5  
16 kilograms received 0.4 milligrams per kilogram BID  
17 and those greater than or equal to 62.5 kilograms  
18 received 25 milligrams BID.

19 The primary efficacy endpoint was the  
20 CHF composite outcome response determined 12 hours  
21 after the last dose of steady medication. The  
22 three outcome response categories were worsened,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 improved, and unchanged, as defined on this slide.

2 The efficacy analysis revealed that  
3 there were small irrelevant differences between  
4 placebo and the combined carvedilol group for the  
5 primary efficacy endpoint of CHF composite  
6 response. Thus, the medical reviewer concluded  
7 that there was no evidence that carvedilol is  
8 efficacious in children with heart failure in  
9 doses up to 25 milligrams BID.

10 For the safety analysis, there were  
11 no unexpected safety events. In addition, the  
12 number of patient deaths, non-fatal serious  
13 adverse events, and patient withdrawals were  
14 similar across the placebo and the carvedilol  
15 groups.

16 In total, there were 14 patient  
17 deaths, 62 patients with non-fatal serious adverse  
18 events, and 22 patient withdrawals due to an  
19 adverse event.

20 Out of the 14 patient deaths, five  
21 occurred during carvedilol treatment and nine  
22 occurred after treatment. Of note, each of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 cases is confounded by the patient having a  
2 complex cardiac condition.

3 There were six patient deaths in the  
4 placebo group, as described on this slide. The  
5 vast majority of these deaths were associated with  
6 cardiac-related events, such as ventricular  
7 fibrillation, asytole, worsening heart failure, or  
8 ventricular arrhythmia.

9 There were five patient deaths in the  
10 low-dose carvedilol group. The deaths in cases 3  
11 and 4 were associated with cardiac events that  
12 included ventricular fibrillation or sudden  
13 cardiac arrest. Cases 1, 2, and 5 involved non-  
14 cardiac events, such as pneumonia, fungal  
15 infection, acute respiratory distress syndrome,  
16 renal failure, thrombocytopenia, subarachnoid  
17 hemorrhage, viral infection, or bone marrow  
18 failure.

19 Lastly, there were three patient  
20 deaths in the high-dose carvedilol group. All of  
21 these cases were associated with cardiac  
22 abnormalities, such as congestive heart failure,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dilated cardiomyopathy, or arrhythmia.

2 Please note that hypoglycemia is  
3 highlighted in case number 1 because there will be  
4 further discussion of this and other hypoglycemia  
5 cases later in the presentation.

6 With regards to serious adverse  
7 events, the medical reviewer concluded that there  
8 was no evidence of a clear association of any  
9 serious adverse event with carvedilol.

10 Out of the 62 patients having a  
11 serious adverse event, 24 were in the placebo  
12 group and there were 19 in each of the carvedilol  
13 groups. The most common events were reported by  
14 all groups and included worsening heart failure,  
15 viral infection and dehydration.

16 Out of the 109 serious adverse  
17 events, this slide lists in detail those reported  
18 by two or more carvedilol-treated patients.  
19 Worsening heart failure was the most frequently  
20 reported event by far with 10 reports in the  
21 placebo group and 14 in the combined carvedilol  
22 groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   For viral infection, there were two  
2 reports in the placebo group and five in the  
3 combined carvedilol groups, and for dehydration,  
4 there was one report in the placebo group and five  
5 in the combined carvedilol groups.

6                   Other serious adverse events, seen in  
7 two or more carvedilol-treated patients, and  
8 reported in all three treatment groups, included  
9 bronchiolitis, bradycardia, pyrexia, and failure  
10 to thrive.

11                   Additional events, seen in two or  
12 more carvedilol-treated patients but reported in  
13 only the carvedilol groups, included upper  
14 respiratory tract infection, septic shock, anemia,  
15 pneumonia, and vomiting.

16                   Patient withdrawals were considered  
17 treatment failures in this study. Out of the 22  
18 patient withdrawals, worsening heart failure was  
19 the adverse event most frequently reported with  
20 six patient reports in each of the placebo and  
21 carvedilol groups.

22                   Combining the carvedilol groups,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other reasons for withdrawal that were reported by  
2 one patient included congenital coronary artery  
3 malformation, respiratory tract infection,  
4 bradycardia, chest pain, fatigue, viral infection,  
5 muscle cramp, loss of consciousness, and  
6 exhertional dyspnea.

7 The pediatric exclusivity studies  
8 also included a population PK sampling employed in  
9 the efficacy and safety study. The PK results and  
10 conclusions were that (1) in pediatric and adult  
11 populations, age is a significant covariate for  
12 oral clearance for the R(+) carvedilol enantiomer,  
13 (2) in pediatric patients, weight has a  
14 significant impact on oral clearance for both the  
15 R(+) and the S(-) carvedilol enantiomers, and (3)  
16 pediatric patients have greater oral clearance and  
17 less exposure to carvedilol enantiomers than  
18 adults.

19 Lastly, the pediatric exclusivity  
20 studies included a safety study that was a  
21 multicenter open-label extension study of  
22 carvedilol dose BID with an eight-week titration

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 period and a six-month maintenance period.

2 The target dose for the maintenance  
3 period was the same as that for the high-dose in  
4 the efficacy and safety study.

5 For the safety analysis, there were  
6 seven patient deaths, 30 patients with non-fatal  
7 serious adverse events, and 11 patients  
8 withdrawing from the study due to an adverse  
9 event.

10 When considering the seven patient  
11 deaths, the medical reviewer concluded that it  
12 appears unlikely that carvedilol contributed to  
13 these deaths as each patient had complex medical  
14 histories, including severe congenital cardiac  
15 abnormalities, and each patient seemed to be able  
16 to tolerate long-term use of carvedilol.

17 The next two slides describe the  
18 seven patient deaths in the safety study. This  
19 slide describes death cases in which patients had  
20 left ventricular hypertrophy and endocardiac  
21 fibroelastosis, cardiac arrest, cardiomegaly, T  
22 wave abnormalities, dilated cardiomyopathy,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 complex congenital heart disease, and asyctole.

2 Case number 1 also was associated  
3 with thrombus and a pulmonary embolism or infarct.

4 In cases 1, 2 and 3, the patients had  
5 tolerated carvedilol treatment for four years, 40  
6 days, or two years, respectively. In case number  
7 4, the patient died two weeks after his last  
8 carvedilol dose.

9 This slide describes death cases in  
10 which patients had ventricular tachycardia and  
11 ventricular fibrillation, severe congenital heart  
12 disease, cardiac arrest, aortic coarctation,  
13 cardiomegaly, right ventricular dysfunction, and  
14 ST and T wave changes. Case number 5 also was  
15 associated with multiorgan failure.

16 In case number 5, the patient died  
17 one week after his last carvedilol dose and in  
18 cases 6 and 7, each patient had tolerated  
19 carvedilol treatment for 19 months.

20 Out of the 30 patients having serious  
21 adverse events, there were 59 total adverse event  
22 reports, including 13 reports for worsening heart

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 failure and three reports each for cardiomyopathy,  
2 pneumonia, and syncope.

3 Less frequently reported serious  
4 adverse events also are listed on this slide. Of  
5 note, there was one case of hypoglycemia that will  
6 be discussed later in this presentation.

7 The 11 patients withdrawing from the  
8 study reported 12 adverse events. Worsening heart  
9 failure was the adverse event most frequently  
10 reported with seven patient reports and one  
11 patient each reported ventricular fibrillation,  
12 arrhythmia, cardiomyopathy, fatigue, and nausea.

13 Based on the results from the  
14 pediatric exclusivity studies, the Pediatric Use  
15 Subsection of the drug labeling notes that the  
16 effectiveness of Coreg in patients younger than 18  
17 years of age has not been established.

18 In addition, the efficacy, PK, and  
19 safety findings are described for the placebo-  
20 control pediatric exclusivity study.

21 Moving now from the exclusivity  
22 studies to postmarketing reporting, this table

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 describes the adverse event reports during the  
2 postexclusivity period.

3 For pediatric patients, there were  
4 two adverse event reports which comprised 0.1  
5 percent of the total reports. Of these two  
6 reports, one was a U.S. case. One of the two  
7 reports was for a serious adverse event and this  
8 was a non-U.S. case. There were no death reports.

9 Since there were so few pediatric  
10 reports during the postexclusivity period, the  
11 safety reviewer also assessed the pediatric  
12 adverse events since marketing approval.

13 For pediatric patients, there were 21  
14 adverse event reports which comprised 0.5 percent  
15 of the total reports. Of these 21 reports, six  
16 were U.S. cases. Of the 21 reports, 19 were for  
17 serious adverse events with four being U.S. cases.

18 There were three death reports with one being a  
19 U.S. case.

20 Now looking at the 21 crude count  
21 pediatric adverse event cases identified since  
22 marketing approval, 11 of these cases were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 excluded because they were duplicate or miscoded  
2 cases, were not a serious adverse event, or  
3 occurred during the pediatric clinical trials.

4 The 10 remaining cases involved three  
5 deaths and seven non-fatal serious adverse events.

6 The three death cases were notable for  
7 complicated underlying medical conditions and/or  
8 insufficient details.

9 In the first case, a patient with  
10 congenital heart disease and heart failure on  
11 multiple cardiac medications died of shock, not  
12 responsive to IV hydration and glucose.

13 The second case involved a patient  
14 with a history of CHF and a cerebral vascular  
15 accident who died suddenly of unknown causes, and  
16 the third case involved a patient with an unknown  
17 past medical history who had vomiting,  
18 bradycardia, hypotension, pulmonary edema and  
19 death of unknown cause.

20 Please note that hypoglycemia is  
21 highlighted in the first case because there will  
22 be further discussion of this and other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 hypoglycemia cases later.

2 The seven non-fatal serious adverse  
3 events included three cases of hypoglycemia, two  
4 cases of congenital anomalies and maternal  
5 exposure, one case of hypotension and renal  
6 failure, and one case of disturbed consciousness.

7 Now the next three slides will  
8 provide more details regarding these three  
9 postmarketing non-fatal hypoglycemia cases, all of  
10 which were from Japan.

11 The first case involved a 4-year-old  
12 male in Japan with congenital heart disease and  
13 heart failure who was started on carvedilol three  
14 milligrams per day for heart failure prophylaxis.

15 Three months later, he developed flu symptoms  
16 with a sore throat, coughing and diarrhea,  
17 followed on the next day by an unarousable state.

18 On admission, his blood glucose was  
19 21. Carvedilol was discontinued. His blood  
20 glucose increased to 90. Approximately one week  
21 after the admission, he was restarted on  
22 carvedilol at one milligram per day and there were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 no further episodes of hypoglycemia. He was  
2 discharged three weeks later on carvedilol two  
3 milligrams per day.

4 The second postmarketing non-fatal  
5 hypoglycemia case involved a 4-year-old male in  
6 Japan with congenital heart disease. Bradycardia  
7 tachycardia syndrome, increased clotting time and  
8 growth retardation, who was on carvedilol, one  
9 milligram per kilogram per day, for heart failure.

10 Approximately six months after  
11 starting carvedilol, the patient experienced  
12 convulsions and developed respiratory arrest  
13 requiring intubation. Hypoglycemia with a blood  
14 glucose of 11 and acidosis were detected. He was  
15 transferred to another hospital and experienced  
16 another convulsion and was treated with  
17 anticonvulsants and an unspecified drug for brain  
18 edema.

19 Hypoglycemia resolved but  
20 neurological symptoms persisted. Treatment with  
21 carvedilol continued.

22 The last postmarketing non-fatal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypoglycemia case involved a 7-year-old male in  
2 Japan with congenital heart disease, bigeminy,  
3 bradycardia, and pulmonary artery stenosis on  
4 carvedilol, five milligrams BID, for heart  
5 failure.

6 There was some inconsistency in the  
7 reports, so either three and a half years or eight  
8 months later, the patient experienced disturbed  
9 consciousness, coldness, and a blood glucose of  
10 68, NPO prior to undergoing centigraphy.

11 Nine weeks later, he experienced  
12 disturbed consciousness, sweating, coldness, and a  
13 blood glucose of 24 after having little to eat for  
14 lunch that day. Both episodes required 20 percent  
15 glucose infusions. Treatment with carvedilol  
16 continued.

17 You will recall that there was one  
18 postmarketing death case associated with  
19 hypoglycemia that was presented earlier. This  
20 slide represents that case with additional details  
21 related to the hypoglycemia episode.

22 The case involved a 16-month-old male

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in Japan with a history of congenital heart  
2 disease, open heart surgery, heart failure, and  
3 poor weight gain on carvedilol titrated to 0.4  
4 milligrams per kilogram per day since the age of 6  
5 months.

6 Five months after starting  
7 carvedilol, the patient experienced sweating,  
8 cyanosis, tachypnea, and hypoglycemia with a blood  
9 glucose of 18. He was hospitalized and intubated,  
10 carvedilol was discontinued, and the hypoglycemia  
11 improved.

12 Carvedilol later was restarted and  
13 titrated to 0.15 milligrams per kilogram per day.

14 Three months later, the patient experienced  
15 bronchitis, poor oral intake, spasm, hypoglycemia  
16 with a blood glucose of 56, and shock that was not  
17 responsive to IV hydration and glucose. It is  
18 unclear if he was still receiving carvedilol at  
19 this time.

20 The identification of the three  
21 postmarketing non-fatal hypoglycemia cases and the  
22 one postmarketing death case that included two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 episodes of hypoglycemia caused us to re-examine  
2 the pediatric exclusivity studies for hypoglycemia  
3 cases.

4 One of the death cases from the  
5 efficacy and safety study was associated with  
6 hypoglycemia and this slide represents that case  
7 with additional details related to the  
8 hypoglycemic episode.

9 The case involved a 28-month-old  
10 female with a history of sinus bradycardia. She  
11 had a loss of consciousness and sinus bradycardia  
12 and discontinued carvedilol on day 1. On day 106,  
13 she had decreased oral intake, respiratory  
14 distress, bradycardia, hypoglycemia with a blood  
15 glucose of 20, and chest x-ray abnormalities. She  
16 died later that day due to congestive heart  
17 failure and dilated cardiomyopathy associated with  
18 neonatal myocarditis and possibly due to a viral  
19 infection.

20 In addition, one of the serious  
21 adverse event cases from the pediatric exclusivity  
22 safety study involved hypoglycemia and this slide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 presents that case in detail.

2           The case involved a 27-month-old male  
3 with chronic heart failure. Approximately 225  
4 days after his first carvedilol dose, he had  
5 lethargy, hypothermia, hypoglycemia with a blood  
6 glucose of 29, hypotension, and hyponatremia.

7           He was treated with IV fluids,  
8 glucose, antibiotics, sodium bicarbonate,  
9 potassium, and a dopamine drip. His symptoms  
10 resolved without further episodes of hypoglycemia  
11 and the final diagnosis was presumed sepsis.

12           Within the carvedilol labeling,  
13 hypoglycemia is broadly addressed in the Glycemic  
14 Control and Type 2 Diabetes Subsection within the  
15 Warnings and the Precautions Section. You will  
16 note that there's no pediatric-specific  
17 information in this subsection.

18           However, the propranolol labeling does  
19 have specific pediatric hypoglycemia language in  
20 its Diabetes and Hypoglycemia Subsection within  
21 the Warnings and the Precautions Section.

22           The pediatric-specific language

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reads, "Propranolol therapy, particularly when  
2 given to infants and children, diabetic or not,  
3 has been associated with hypoglycemia, especially  
4 during fasting as in preparation for surgery."

5 This completes the one-year  
6 postexclusivity adverse event reporting. The  
7 safety review identified four postmarketing cases  
8 of young children with hypoglycemia which  
9 coincided with their carvedilol therapy.

10 Therefore, the related specific  
11 question for the advisory committee is does the  
12 carvedilol labeling adequately address the  
13 possible hypoglycemia risk for the pediatric  
14 population or is additional wording needed?

15 In addition, FDA recommends routine  
16 monitoring for carvedilol for adverse events in  
17 all populations, and the related question is does  
18 the advisory committee agree with this monitoring  
19 plan?

20 In closing, I'd like to acknowledge  
21 the assistance I received from numerous FDA staff  
22 in preparing for this presentation, from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Office of Surveillance and Epidemiology, the  
2 Division of Cardiovascular and Renal Products, and  
3 the Office of Clinical Pharmacology.

4 Thank you.

5 Clarification Questions and Question  
6 to the Committee

7 DR. RAPPLEY: Thank you. This is now  
8 open for discussion and questions.

9 If I understand this correctly, there  
10 are two questions before the committee. The first  
11 is does the current language in the labeling  
12 adequately address the issue of hypoglycemia  
13 associated with this medication and if the  
14 implication of that is found to be negative would  
15 be that it should be changed, and second, do we  
16 accept the recommendation that this be moved to  
17 routine monitoring for children?

18 And Dr. Pena tells me that the  
19 sponsor's also here should we need to direct  
20 questions to the sponsor.

21 Discussion? Oh, and I might add that  
22 for our new people, our routine is to sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 signal when you want to pose a question or make a  
2 comment, and we make a list and try to keep track  
3 of people that way.

4 Thank you. Dr. Bier?

5 DR. BIER: The issue of hypoglycemia  
6 in small infants with cyanotic and general heart  
7 disease has been around since at least the '60s  
8 when I'm aware of it and it occurs in the absence  
9 of any of these medications. So, I think it's  
10 very hard to know precisely that there's a  
11 relationship, even though it's reported, you know,  
12 with the use of the medication even in adults and  
13 so I think the labeling is adequate, in my  
14 estimation.

15 DR. WARD: I would maintain, though,  
16 that the warning about decreased intake would add,  
17 I think, to the clinician's caution about the need  
18 for monitoring.

19 I'm struck by the correlation between  
20 fasting or decreased intake associated with flu-  
21 like illnesses and the hypoglycemia.

22 DR. BIER: This is again another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 longstanding issue, right? We had the disorder  
2 called ketotic hypoglycemia. That's pretty much  
3 passed out of the pediatric literature because we  
4 emphasized to all pediatricians that toddlers in  
5 particular require monitoring of their, you know,  
6 intake when they're ill.

7 So, I don't disagree with that. I  
8 just think it's something that's part of, you  
9 know, good pediatric practice, but I think if it  
10 helps, you know, that's fine.

11 DR. SABLE: Certainly propranolol,  
12 which is probably the most commonly used beta  
13 blocker in pediatrics, it's been observed,  
14 especially when initiating the drug, that  
15 hypoglycemia can occur and many clinicians  
16 actually routinely monitor it. So, I don't think  
17 it's unreasonable with carvedilol to consider  
18 similar-type labeling, especially in the  
19 initiation phase which many patients are already  
20 hospitalized or during acute events.

21 However, in patients undergoing  
22 severe events, like cardiac arrest, which are not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 on these drugs, hypoglycemia is also very common.

2 So, I agree that there are many confounding  
3 variables in all of these cases that make one  
4 wonder how critical the carvedilol was in these  
5 particular cases.

6 DR. WARD: I just want to make an  
7 observation about the issues around efficacy. It  
8 sort of looks like a settled issue, but we've got  
9 30 percent less exposure, we've got a pediatric  
10 population of children whose congestive heart  
11 failure, as a non-cardiologist, neonatologist,  
12 appears to me to be more severe than that in  
13 adults, and I'm not sure we have the final word on  
14 efficacy with 30 percent less exposure in the  
15 pediatric population and a more severe underlying  
16 heart disease and the studies were conducted as  
17 adding carvedilol to current therapy.

18 So, we may have not been able to  
19 achieve anything better in these children,  
20 especially with a lower exposure.

21 DR. RAPPLEY: Dr. D'Angio?

22 DR. D'ANGIO: Just to go back for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minute to the hypoglycemia. I agree that there  
2 are a lot of confounding variables here, but the  
3 experience in neonates at least is that with other  
4 beta blockers, given either to the mother or to  
5 the infant, that hypoglycemia is a risk.

6 So, I think that it's perfectly  
7 reasonable to expect that that might be the case  
8 with this beta blocker.

9 DR. RAPPLEY: Dr. Kocis?

10 DR. KOCIS: I think a couple things.

11 I agree with everything everyone's said. I think  
12 specific to this drug, you know, labeling the  
13 hypoglycemia which is Type 2 diabetes is somewhat  
14 limiting. So, I think it is common practice.  
15 It's generally known. I think pediatricians know  
16 to recognize hypoglycemia and how to treat that  
17 under a variety of conditions and I think we  
18 should just be specific about stating that rather  
19 than leaving it to the general practice of  
20 pediatrics.

21 I think the second question would be  
22 should this be a class effect? Should it be only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 unique to Coreg or all beta blockers? As we  
2 mentioned, it's listed. I didn't see propranolol  
3 labeling, but I would imagine this would be a  
4 class effect and we would want to consider it to  
5 the other beta blockers there.

6 And then third, as far as efficacy  
7 with these trials, heart failure in children is  
8 extremely complex. Doing studies in these  
9 patients is extremely complex. It's a mix of  
10 congenital defects that have gone awry and dilated  
11 hypertrophic and the like.

12 So, certainly these are difficult  
13 studies to perform to show effect and not showing  
14 effect means we can't show effect until we do  
15 larger, broader, more specific studies or consider  
16 different things, but there was no effect. So, we  
17 need to stay with that, too.

18 DR. RAPPLEY: Dr. Newman?

19 DR. NEWMAN: Well, I guess I would  
20 agree that it would make sense to me to have the  
21 labeling for hypoglycemia be similar to that for  
22 propranolol because I don't think there's a good

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reason to think that it would be different and  
2 that would just be an extra caution.

3 I also want to come back to the last  
4 two points. I guess I am not sure I understand  
5 the results of the studies for exclusivity and  
6 wonder whether all the information that would be  
7 helpful to clinicians is being included in the  
8 label because when I first read it, I thought it  
9 looks like, oh, well, the exposure of the children  
10 was less because their clearance is higher, so we  
11 don't really know whether it works, but there's  
12 something in the labeling that says but actually  
13 they achieved beta blockade, their heart rates  
14 were five or six points lower, so that means  
15 actually they were getting enough.

16 I just want to ask some of the other  
17 people with more expertise than I do if you see a  
18 five or six point decrease in the heart rate, does  
19 that indicate adequate blockage or does that mean  
20 maybe the children are more sensitive to that  
21 effect of the medicine?

22 Just the way it is now, it says

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficacy hasn't been established, but it seems  
2 like there's a lot more information in the studies  
3 than is being captured in the label.

4 DR. WARD: In an earlier life in  
5 animal studies of beta blockade, there's a  
6 difference in concentration response for  
7 propranolol at least for inotrope and chronotrope.

8 So, you can see a reduction in heart rate at a  
9 point where you may not have reduction of inotrope  
10 and so I don't think we can really judge that just  
11 from the reduction of heart rate, that we have  
12 achieved an effective beta blockage at that level.

13 So, I think, again as I said earlier,  
14 I think it's kind of unfortunate. I think that  
15 efficacy is not demonstrated, but we don't have  
16 equivalent exposure either and I think the court  
17 should still be out on its effectiveness for  
18 children.

19 DR. RAPPLEY: Tom?

20 DR. NEWMAN: Could I just then --  
21 actually, I think what I saw written down here and  
22 what was on the slide are different because it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 said some place in what we read that the PK data  
2 would not be included in the label, and I guess I  
3 don't understand that.

4           Actually, the whole sequence, it  
5 seems like if you're going to do a fairly  
6 expensive randomized double-blind study that's  
7 going to last eight months, why not first do the  
8 PK data and find out what doses you should use and  
9 then do that study?

10           It seems like what they did is they  
11 did the PK study as they were doing the other  
12 study and then they find out, well, it looks like  
13 we didn't give enough.

14           So, one comment would be why not do  
15 the PK study first, but the other one would be why  
16 not include the dose that was used in the label  
17 because that isn't included and include the PK  
18 data in the label?

19           DR. RAPPLEY: Yes, Dr. Sable?

20           DR. SABLE: I think trying to measure  
21 the effect of these drugs is very complex, as Dr.  
22 Kocis said.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           A 3-year-old with congenital heart  
2 disease is dramatically different than a 13-year-  
3 old with dilated cardiomyopathy and the adult  
4 studies do demonstrate significant efficacy and  
5 maybe the latter group is really totally different  
6 and these studies certainly have a mixed bag and  
7 the theoretical effect of beta blockage is  
8 inhibition of intrinsic catecholamines,  
9 upregulation of beta receptors, which is very  
10 different than just looking for a decrease in  
11 heart rate.

12           So, I think that there are some  
13 earlier pediatric studies demonstrating some  
14 effect of metoprolol in cardiomyopathy but again  
15 it's a very difficult question to answer, and I  
16 think it would be premature to conclude from these  
17 studies that these drugs have no role in pediatric  
18 heart failure.

19           DR. RAPPLEY: Dr. Garofalo?

20           DR. GAROFALO: I'll just make a quick  
21 comment on sequencing. I mean, I don't know the  
22 details about this one, but I think it is ideal if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you can do the PK first, sometimes there's a time  
2 constraint. So, you get started with, you know,  
3 you extrapolate doses from adults and you get  
4 started with your controlled trials while you're  
5 obtaining your PK data, you don't have the luxury  
6 -- because even the PK trials are hard to do and  
7 they take a long time.

8 So, you know, I think it wouldn't be  
9 uncommon that we go into efficacy trials in  
10 children without knowing as much as we would like  
11 to know and we have to choose doses.

12 DR. RAPPLEY: More discussion on  
13 this?

14 (No response.)

15 DR. RAPPLEY: Okay. So, let's go  
16 back to the -- yes, Dr. Notterman?

17 DR. NOTTERMAN: I was just struck by  
18 the fact that this came from Japan and I wondered,  
19 was there a difference in marketing and  
20 surveillance or penetration of this drug there  
21 that accounts for that?

22 DR. RAPPLEY: Can the sponsor speak

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to that?

2 DR. LUCAS: Do I have to turn this  
3 on? This is all right.

4 My name is Mariann Lucas. I'm here  
5 representing GlaxoSmithKline, the Clinical Group,  
6 but I can at least comment a little bit in terms  
7 of Japan marketing.

8 Japan has had carvedilol on the  
9 market as a drug called Artist since the early  
10 1990s, not with a pediatric indication and with a  
11 different dosing and a different formulation than  
12 has been used either in Europe or in the United  
13 States.

14 So, it makes it perhaps even a little  
15 more complicated to translate what was seen in the  
16 couple of case reports from Japan versus the doses  
17 that we used in the carvedilol pediatric trials  
18 that we conducted here in the United States.

19 As far as safety surveillance in  
20 Japan, Missy, I don't know if you would want to  
21 comment on that at all.

22 As far as our arrangement with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Daiichi is that all adverse event reporting is  
2 shared but that we don't have a particular role,  
3 other than gathering the information that they  
4 send to us from Japan.

5 DR. RAPPLEY: Thank you.

6 DR. NOTTERMAN: Do you know if the  
7 studies, the efficacy studies that you conducted  
8 here contained representation of the Japanese  
9 ethnicity?

10 DR. LUCAS: In the trials, in the 161  
11 patients that we included in the U.S. trials, it  
12 was about 15-16 centers in the United States.  
13 There were very few patients who were of Asian  
14 designation.

15 DR. NOTTERMAN: Thank you.

16 DR. RAPPLEY: Dr. Ward?

17 DR. WARD: Dan, I just want to  
18 observe that the patient number 2 with  
19 hypoglycemia is doses at 1 milligram per kilogram,  
20 at least as reported, two and a half times the  
21 upper limit of the dose here.

22 DR. RAPPLEY: Dr. Cnaan?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. CNAAN: I think that when we  
2 discussed Tamiflu, there was some discussion, and  
3 I'm not the regulatory expert, that in Japan, the  
4 reporting is mandatory of adverse events as  
5 opposed to here, that it is voluntary. Therefore,  
6 there is some difference in the overall reporting  
7 in Japan as compared to this country.

8 Can somebody add to that?

9 DR. MURPHY: There are differences in  
10 the systems, but I don't recall that that was the  
11 cut as far as that it's a mandatory system.

12 I think there are differences in the  
13 way, you know, the health care is delivered and  
14 the way the interactions with the reporting as far  
15 as the caretakers, but I don't think we could  
16 clearly say that it's required, but again I'd have  
17 to go back and check with our regulatory  
18 colleagues in Japan to verify that.

19 DR. RAPPLEY: Dr. Bier?

20 DR. BIER: Yes, I have the same  
21 recollection, but I'm having trouble sorting  
22 whether this had something to do with the flu or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the drug.

2 DR. MURPHY: Right, right.

3 DR. BIER: That's where I'm having  
4 the problem with it.

5 DR. MURPHY: Right. So, I think it  
6 had to do with the fact that there was that severe  
7 encephalitis, necrotizing encephalitis in Japan  
8 and there were special circumstances surrounding  
9 Tamiflu and there was a lot of emphasis on  
10 reporting.

11 Just the word "mandatory" is -- but  
12 you're right. People were really emphasizing  
13 trying to get that required reporting because of  
14 this concern with the encephalitis, not just the  
15 drug but the disease itself, and then the drug.

16 DR. RAPPLEY: So, the first question  
17 that we spent most of our time on so far is  
18 whether or not the language is adequate, and if  
19 the answer to that is no, then should additional  
20 language be added?

21 Is the committee ready to move on  
22 that question? So, how many people are -- believe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the current language is adequate just  
2 regarding hypoglycemia, yes.

3 (No response.)

4 DR. RAPPLEY: Then how many feel that  
5 the current language is not adequate?

6 (Show of hands.)

7 DR. RAPPLEY: Is that unanimous?

8 (No response.)

9 DR. RAPPLEY: Okay. So that's a  
10 unanimous -- no. I'm sorry. Did someone abstain  
11 or vote negatively? So that's a unanimous  
12 acceptance -- unanimous vote that the current  
13 language is not adequate regarding the  
14 hypoglycemia and we would like the agency to  
15 suggest some new language and talk with the  
16 sponsor about including that in the labeling, is  
17 that fair?

18 DR. MURPHY: And we'll come back and  
19 talk a little bit more about some of the other  
20 questions after you finish.

21 DR. RAPPLEY: So, but I think there  
22 still is -- there are a couple other things,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions remaining or that surfaced.

2 One is does the label adequately  
3 address efficacy? Were you all suggesting that it  
4 was too strongly stating that the medication is  
5 not efficacious, that it should be indicated on  
6 the label that this question remains unresolved or  
7 that it is adequately described because it  
8 describes what we do know?

9 DR. WARD: I would suggest, as I  
10 think Tom indicated, that to omit the PK data and  
11 the lower exposure, I think, underrepresents what  
12 needs to be known, that efficacy was not  
13 demonstrated at those doses with 30 percent less  
14 exposure than that achieved in adults.

15 DR. RAPPLEY: So, we could further  
16 recommend that adding to the label, it should  
17 include the PK data and the exposure data.

18 DR. MURPHY: Could we talk about this  
19 for a second?

20 DR. RAPPLEY: Yes.

21 DR. MURPHY: Because I want to make  
22 sure that the committee is aware of a couple of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 facts and this recommendation is fine. We just  
2 want to make sure we have everybody on the same  
3 information page.

4 As you all know and you're going to  
5 hear later today, the normal process under which  
6 this labeling was done, okay, at the agency is  
7 that if you have a negative study and particularly  
8 if the sponsor has not made that information  
9 public, that is considered, you know, confidential  
10 information and it doesn't go in the label at all  
11 now, whatever the reasons for failure.

12 What has happened with pediatrics is  
13 because there's so few studies, the agency has  
14 over the last 10 years, since the legislation has  
15 been in place, progressively included information  
16 in the label to make sure that the practitioner,  
17 because they aren't going to get -- and again this  
18 is in another publication. We looked at how much  
19 of this information actually gets into the peer-  
20 reviewed literature and you can guess that it's  
21 less than half.

22 So, certainly if it's a failed study,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's even higher that it's not going to get into  
2 the peer-reviewed literature.

3 So, the agency has progressively over  
4 the last decade tried to provide information in  
5 the label when there is a negative study, meaning  
6 we weren't able to demonstrate efficacy for  
7 whatever reason.

8 As you heard earlier this morning,  
9 you know, there are times we know, we think these  
10 products ought to work but why aren't they, and we  
11 look at PK, we look at endpoint assessments, et.  
12 cetera, and we try to provide information to the  
13 practitioner about what we think the status of why  
14 they may fail, but sometimes we don't know.

15 Now, the new legislation has  
16 basically said, and you'll hear this, that this is  
17 a good idea and we ought to be putting that  
18 information in -- some information to help guide  
19 the practitioners as far as pediatric studies are  
20 concerned because you aren't going to get  
21 additional studies like you might with adults.

22 But we always walk a tightrope, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can tell you since this began in the very  
2 beginning within the agency, because marketing is  
3 an art form none of us are expert in, one word can  
4 give a sponsor an enormous advantage over their  
5 competitor. Okay?

6 So, every word that goes in that  
7 label is negotiated and, as I've told you guys  
8 before, at the table we've got all these  
9 scientists from FDA and then on the other side of  
10 the table from the sponsor we've got the  
11 scientists and then all their marketing people and  
12 so it's an unfair battle, I think. Of course,  
13 they think the opposite way.

14 But anyhow, getting in the label  
15 certain words actually then allows them to go out  
16 and market the product and what we may consider a  
17 fair -- what's the word -- revelation or fair  
18 exposure or explanation, they don't have to do all  
19 the time, you know, I mean, because we're not the  
20 marketing police, even though people think we are.

21 It takes a fairly egregious marketing -- how  
22 shall we say -- I won't say malfunction,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dysfunction for us to get involved.

2 So, we have to be very careful about  
3 what we put in the label and what you do not want  
4 to do is give somebody a de facto claim because  
5 you've now said, well, here's the dosing. So  
6 that's where we have to be careful.

7 Now, what you all are saying is,  
8 well, this product may work, people may need to  
9 use it, but you didn't -- we weren't able to  
10 demonstrate efficacy and again we have to be very  
11 careful because that's a very slippery slope.

12 Once you say you haven't met our  
13 standards, and then you start putting in all this  
14 other information, we have to be very careful how  
15 we word that.

16 I think what the division and Dr.  
17 Karkowsky, you have to help me here, they've tried  
18 to indicate to the reader here that exposure  
19 appeared to be lower in pediatrics. It's in the  
20 label, subjects and adults. Under the Pediatric  
21 Use Section, Page 213, of your thing.

22 So, it says right in there, "exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       trying to be flexible in the very beginning of  
2       this process, in trying to encourage, you know,  
3       different types of trial designs and best  
4       utilization in the pediatric population for  
5       studies, that probably the better part is to get  
6       your dose effect defined and then go forward with  
7       the efficacy trial. That has been explained  
8       always attention as to how to do that most  
9       effectively.

10               Lisa, did you want to say anything  
11       else about that?

12               DR. MATHIS: I would add that, you  
13       know, I think this really underscores the  
14       importance of the legislation that we have to  
15       obtain pediatric studies because we have learned a  
16       tremendous amount about the differences between  
17       adult and pediatric patients, and in that process  
18       of learning, as Dianne said, one of the things  
19       that we've also noted is how critical it is for us  
20       to get the dose right.

21               Metabolism in pediatric patients is  
22       different by different age group in ways that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 often unpredictable and so it is critical for us  
2 to be able to get that dosing right in the first  
3 place prior to going into Phase 3 studies.

4 As was mentioned earlier, there are  
5 often tensions, such as the limited patient  
6 populations or difficulties in getting PK studies  
7 in patients that are separate from the other  
8 clinical trials, but it certainly is where we are  
9 moving when we're having the internal review  
10 committee and looking over the written requests  
11 and, of course, the review divisions having so  
12 much experience in specific disease processes as  
13 well.

14 We really are looking at obtaining  
15 Phase 2 dose-ranging studies that are adequate  
16 prior to moving into our Phase 3 trials.  
17 Sometimes we're getting population PK in those  
18 trials to try and confirm the data from the  
19 smaller Phase 2 trials, but that definitely is the  
20 direction we are moving in.

21 DR. RAPPLEY: Does the sponsor wish  
22 to make a comment?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LUCAS: Yes, thank you. I first  
2 wanted to thank the committee for all their  
3 comments on this trial program. It was quite a  
4 challenging undertaking for GlaxoSmithKline and to  
5 underscore and clarify just a few things.

6 Number 1. It did take us four years  
7 to enroll the 161 patients who were in this trial.  
8 So, it was very difficult and there were  
9 constraints certainly regarding trying to do PK  
10 data separately.

11 Number 2. We were very surprised  
12 perhaps that the data in this trial were not  
13 consistent with what has been shown in the adult  
14 population for many reasons, including the doses,  
15 the population, et. cetera, but wanted to make  
16 sure that it was clear, Number 1, that the full  
17 data from this study were in fact published by the  
18 lead investigator in the steering committee in the  
19 Journal of the American Medical Association the  
20 year after the study came out. So, at least the  
21 full data from the study are in the public domain.

22 The population PK data are also being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed for use in an abstract and potentially  
2 publication. So, we are making every effort to  
3 make sure that these data are in the public  
4 domain, and lastly that none of the members of the  
5 Commercial Team from GlaxoSmithKline were involved  
6 in this label.

7 That's the only other comment I  
8 wanted to make.

9 DR. MURPHY: Thank you. I didn't  
10 mean to imply that your specific literature. I'm  
11 just saying in general that, you know, we've done  
12 that review and we're always delighted to find  
13 that it is in the literature.

14 DR. RAPPLEY: Thank you to the  
15 sponsor, and thank you, Dianne, for reminding us  
16 that sometimes the way we use language and  
17 information, we're not really aware of how it's  
18 used for other purposes, and it's both in giving  
19 an unfair advantage and also in the past, we've  
20 talked about certain words may actually encourage  
21 people to go to other medications that are in fact  
22 less well studied and we don't want to have an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unanticipated or secondary consequence of that  
2 nature for our decisions.

3 So, thank you for reminding us about  
4 that and I think we certainly support your efforts  
5 to walk that fine line and to determine what's the  
6 best way to over time, as we have over the last 10  
7 years now or last few years, learn so much more  
8 about children than we knew 10 years ago. We  
9 expect that learning curve to be steep and there's  
10 a lot more to be shown, I think, through your  
11 efforts.

12 Yes, Lisa?

13 DR. KARKOWSKY: There are always  
14 problems in extrapolating an adult indication to a  
15 kid, to a children's indication.

16 First of all, the disease process,  
17 although it's heart failure, is dramatically  
18 different between adults and kids. In adults,  
19 it's usually hypertensive or ischemic. In kids,  
20 it's usually congenital, and the data on dose  
21 response in heart failure in adults is not really  
22 well known. All we know is that people will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 titrate to tolerance to a maximum dose of, not  
2 that we know that a dose of half X is better than  
3 quarter X or that X is better than both of them.

4 So, I think the sponsor did a very  
5 credible job. My only recommendation would be to  
6 have larger dose ranges between doses, not factors  
7 of 2. We usually suggest factors of 3 or factors  
8 of 4, but aside from that, I think you got about  
9 as good as you can get. I think it was a well-  
10 done study and I compliment the sponsor for it.

11 DR. RAPPLEY: Thank you. Dr. Daum,  
12 did you have a question or comment?

13 DR. DAUM: I actually have two  
14 comments. The first one goes back to our  
15 discussion about the hypoglycemia and I think my  
16 sense was that people around the table voted  
17 unanimously that they were not happy with the  
18 current language, but I wondered if the agency  
19 would like our opinion as to what the new language  
20 should be or at least what the components of it  
21 should be or do you want to just leave it that  
22 we're unhappy with the present language?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: I think we're fine with  
2 what you told us because we already have some  
3 language that we can work with and we got the  
4 message from you all that you think it should be  
5 more similar to the propranolol type of beta  
6 blocker language and at least warn the physician  
7 that hypoglycemia is of concern, particularly in  
8 children.

9 So, I don't know that we need  
10 specific -- I mean, we'll always take any  
11 recommendations and consider them, Bob, Dr. Daum,  
12 if you all have them. I'm just saying we didn't  
13 ask you that just because we do have other  
14 language that we've been working with already for  
15 the other labels.

16 DR. RAPPLEY: Dr. Ward?

17 DR. DAUM: I had one more comment.

18 DR. RAPPLEY: I'm sorry.

19 DR. DAUM: Is that okay? Dr. Murphy,  
20 I'm not sure that we could sit here or you could  
21 sit where you sit when you're not here and control  
22 corporate excess by language that we put into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these statements that we're advising and you're  
2 writing.

3 I've seen companies detail things  
4 that never would have occurred to anybody and  
5 couldn't possibly have been routed out by the kind  
6 of approach you suggested, and I guess it's sort  
7 of a little bit -- I sort of feel like it's beyond  
8 us as our advisory committee's function to take  
9 into account how companies will use the language  
10 in these statements, and I think the best way that  
11 I would suggest we think about it, we think about  
12 it at least from our level, is that we apply, try  
13 to apply consistent and same standards to  
14 everything and be mindful of what you're saying  
15 but certainly not devoted to it and so I'm kind of  
16 comforted by this statement that it's the  
17 effectiveness in patients younger than 18 years  
18 has not been established and then I think it's  
19 appropriate to then give results of what seems  
20 like a well-done study and correctly to leave it  
21 at that, but I'm not sure that that's going to  
22 appease competitors who want to take that and run

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 with it, that, this is not an effective drug and  
2 you should use ours instead. I'm not sure we can  
3 control that.

4 DR. MURPHY: Again, I was just trying  
5 to make sure before we changed the language or you  
6 all made a recommendation on the language that  
7 we're aware of what's already in the label.  
8 That's all I was just trying to say, is that,  
9 Number 1, here's what happens, okay, so just be  
10 aware that when you're making these  
11 recommendations, that we then will be negotiating  
12 and we will be -- may or may not take the  
13 recommendation, but it has to be negotiated  
14 because of the issue. We don't want to give them  
15 a de facto, that we just don't want to be careless  
16 in how we do it and we aren't.

17 So, I just want to put that back on  
18 the table for everybody, but mostly, I just want  
19 to make sure everybody looked at what's in the  
20 language already before we proceeded with trying  
21 to add language there. So that was --

22 DR. DAUM: And with that, I heartily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agree.

2 DR. RAPPLEY: So, in the interest of  
3 staying on time, can we make further comments on  
4 this brief? We still have the remaining question.

5 Dr. Ward and then Dr. Kocis.

6 DR. WARD: The JAMA article doesn't  
7 mention exposure. It does mention half life. I  
8 just reread it.

9 DR. KOCIS: You know, I think through  
10 all the meetings I've been involved in the last  
11 year and a half and with the new pediatric  
12 labeling and the format and all that, I think the  
13 most important thing I've taken home was to  
14 provide factual information in the pediatric  
15 label.

16 We can't necessarily come to  
17 conclusions or to show, you know, you start using  
18 this drug or don't use this drug but to be as  
19 factual as we can and, you know, specific to this  
20 drug, you know, non-efficacy has -- they haven't  
21 shown it. So, we need to say that.

22 I think likewise, the dosing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 limitation, the pharmacokinetics of that, should  
2 also be in there, but I don't think we should then  
3 say and therefore you should use it because it's  
4 going to show efficacy if you give them a third  
5 more.

6 I think practitioners will understand  
7 that if they're given that information and, you  
8 know, sort of going back and we mentioned this on  
9 the Toprol, I mean, I'm somewhat shocked to say  
10 that we showed it was efficacious in decreasing  
11 blood pressure by three to four millimeters of  
12 mercury and I'm sitting here thinking, well, maybe  
13 that's statistically true but clinically that's  
14 going to be an irrelevant use and so in an  
15 analogous way, I think providing information in  
16 the pediatric label, yes, it showed this to this  
17 degree and then let practitioners make the best  
18 decisions that they need to and particularly in  
19 this group of patients with the whole variety of  
20 patients that may or may not benefit from this  
21 drug in the future, I think we need to leave that  
22 open for practitioners to use.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And one final comment was just in the  
2 outcome study, when I was first a cardiology  
3 fellow looking at heart failure and stuff, we  
4 generally said a third died, a third stayed the  
5 same and a third got better, and if you look at  
6 just the group here, we've done better in the  
7 improved group. We're up to 55, almost 60  
8 percent. Maybe or maybe not, the patients dying  
9 are less from a third down to 20-25 percent, but  
10 something we're doing over these years has made a  
11 difference. In some patients, this may or may not  
12 be beneficial.

13           DR. RAPPLEY: Is the agency satisfied  
14 with the recommendations from the committee  
15 regarding this?

16           DR. MURPHY: I guess what I would  
17 just like to clarify because I heard you wanted  
18 more PK information in the label and then I asked  
19 you to go back and look at Page 213 and make sure  
20 that even though we didn't give specific PK -- can  
21 you look at the PK Section 2?

22           We didn't give specific PK

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information because we're trying to, if they don't  
2 reach efficacy, keep most of the information in  
3 the Pediatric Use Section. We did talk about the  
4 effect of the drug and we did talk about the  
5 exposure being lower in children.

6 So, what I'm asking is do you want  
7 more information? Is that what you're suggesting,  
8 is more information than what's in there right  
9 now?

10 DR. WARD: The exposure statement  
11 without the dose doesn't help you very much, you  
12 know. So, if I felt I had a patient that I wanted  
13 to treat with carvedilol and I knew that .4  
14 milligrams per kilogram caused or led to a 30  
15 percent lower exposure than in adults and then I  
16 would know that I wanted to push the dose higher  
17 and that would help me and would provide factual  
18 information that reflected the information in the  
19 study or from the study.

20 DR. MURPHY: Okay. So, you're saying  
21 that we should specify what that exposure was?  
22 Okay. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATHIS: Could I just add one  
2 more thing, and that is we'll get to it later when  
3 we discuss the new changes in the new legislation,  
4 but of note is that the full clinical pharmacology  
5 review, not just a summary but the full review  
6 will now be posted publicly for both BPCA and PREA  
7 studies.

8 So that information will be widely  
9 available to the public outside of labeling as  
10 well in great detail about actually how we  
11 analyzed it.

12 DR. RAPPLEY: Very good. Dr. Sable?

13 DR. SABLE: I think the other thing  
14 to consider in this particular field is the good  
15 news and the bad news, is that there's really no  
16 drugs that treat heart failure effectively. So,  
17 the idea that there's competitors that are out  
18 there that have these great drugs that are going  
19 to look at this and say let's use something else,  
20 I think, is unfortunately decades away from being  
21 reality.

22 So, I think providing full

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information to give someone the most information  
2 possible in using a drug that even has some chance  
3 of working in these patients is very important in  
4 this particular disease.

5 DR. RAPPLEY: So, if I'm correct in  
6 summarizing this, then we recommend that there be  
7 additions to the label that include language about  
8 hypoglycemia, about PK data, and about exposure,  
9 is that correct?

10 Okay. Second question regarding this  
11 medication then is are we accepting the  
12 recommendation that this be moved to routine  
13 monitoring?

14 Looks like a positive. Anyone  
15 opposed to that?

16 (No response.)

17 DR. RAPPLEY: Okay. So, we  
18 unanimously accept the recommendation to move this  
19 to routine monitoring.

20 Okay. Let's see. We have the next  
21 one up and then we're scheduled to have a break at  
22 10:35, I think. Felicia is on again. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thank you.

2 Eloxatin (oxaliplatin)

3 Standard Review of Adverse Events

4 DR. COLLINS: Okay. At this point  
5 now we will shift gears and I am pleased to be  
6 able to present to you the one-year  
7 postexclusivity adverse event review for  
8 oxaliplatin.

9 Eloxatin or oxaliplatin is an anti-  
10 cancer agent for which Sanofi-Aventis is the drug  
11 sponsor. Original market approval occurred on  
12 August 9<sup>th</sup>, 2002, and pediatric exclusivity was  
13 granted on September 27<sup>th</sup>, 2006.

14 Prior to the pediatric exclusivity  
15 studies, oxaliplatin was indicated for use in  
16 combination with infusional 5-FU/LV (1) for  
17 adjunctive treatment of Stage 3 colon cancer in  
18 patients who have undergone complete resection of  
19 the primary tumor and (2) for the treatment of  
20 advanced colorectal cancer.

21 The next two slides provide  
22 information about the drug use trends of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 oxaliplatin. A projected 970,100 vials of  
2 oxaliplatin were sold in the U.S. for all age  
3 groups during the 12-month postexclusivity period.

4 Seventy-five percent were sold to clinics and 20  
5 percent were sold to non-federal hospitals.

6 Currently, the FDA does not have  
7 access to data describing the use of drug products  
8 in clinic settings. Therefore, the drug use  
9 reviewer was only able to examine oxaliplatin's  
10 utilization patterns within inpatient settings in  
11 non-federal hospitals.

12 An unprojected 7,064 discharges from  
13 acute care non-federal hospitals were associated  
14 with oxaliplatin for all age groups from October  
15 2004 to September 2007. Pediatric use accounted  
16 for 0.4 percent of the total use during the 24-  
17 month preexclusivity period and 0.1 percent during  
18 the 12-month postexclusivity period. Most of the  
19 pediatric discharges were associated with the  
20 treatment of oncologic conditions.

21 On December 9<sup>th</sup>, 2004, the FDA issued  
22 a written request for studies of oxaliplatin in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the treatment of refractory or relapsed pediatric  
2 solid tumors. The resulting pediatric exclusivity  
3 studies included four trials, two Phase 1 dose-  
4 finding and safety studies and two Phase 2  
5 activity and safety studies.

6 The studies utilized an open label  
7 non-comparative non-randomized design and a dosing  
8 regimen of two-hour IV infusions at doses ranging  
9 from 40 to 160 milligrams per meter squared. A  
10 159 pediatric patients 7 months to 22 years of age  
11 participated in the studies.

12 The next six slides provide more  
13 details regarding the four individual studies.  
14 Study ARD5531 was one of the Phase 1 dose-finding  
15 and safety studies. It involved 43 pediatric  
16 patients 6 months to 21 years old with refractory  
17 or relapsed malignant solid tumors, and these  
18 patients had a life expectancy of more than six  
19 weeks.

20 This study included two dosing  
21 cohorts. Cohort 1 involved 28 patients who  
22 received six oxaliplatin dose levels of 40 to 110

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 milligrams per meter squared. For this part of  
2 the study, the dose-limiting toxicity was  
3 determined to be sensory neuropathy at a 110  
4 milligrams per meter squared. Thus, the  
5 subsequent recommended dose was 90 milligrams per  
6 meter squared and 15 patients in the recommended  
7 dose cohort received oxaliplatin at this dose.

8 Study DFI7434 was the second Phase 1  
9 dose-finding and safety study. It involved 26  
10 pediatric patients, less than 21 years old, with  
11 metastatic or unresectable solid tumors, for which  
12 standard treatment did not exist or was no longer  
13 effective.

14 In this study, five dose levels were  
15 evaluated. Oxaliplatin 100, 130 and a 160  
16 milligrams per meter square every three weeks for  
17 six cycles. Oxaliplatin 160 milligrams per meter  
18 squared and carbamazepine every three weeks for  
19 six cycles, and oxaliplatin 85 milligrams per  
20 meter squared every two weeks for nine doses.

21 The dose-limiting toxicity for  
22 oxaliplatin monotherapy was determined again to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensory neuropathy, this time at a dose of a 160  
2 milligrams per meter squared. Thus, the  
3 recommended dose for the subsequent studies was a  
4 130 milligrams per meter squared every three  
5 weeks.

6 Study ARD5021 was one of the Phase 2  
7 activity and safety studies. It involved 43  
8 pediatric patients less than or equal to 21 years  
9 old with recurrent or refractory embryonal CNS  
10 tumors.

11 Oxaliplatin 130 milligrams per meter  
12 squared was administered every three weeks for a  
13 maximum of 12 months, if there was no disease  
14 progression or unacceptable toxicity.

15 Study ARD5530 was the second Phase 2  
16 activity and safety study. It involved 47  
17 pediatric patients less than or equal to 21 years  
18 of age with recurrent solid tumors.

19 Oxaliplatin 130 milligrams per meter  
20 squared was administered every three weeks for a  
21 maximum of 12 months or 17 cycles.

22 Pharmacokinetic data were collected

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701