

1 risk, an increased risk of malignancy in humans.
2 Assuming we -- if the FDA decides to allow this
3 to go on the market, I think the company will
4 have great difficulty conducting any more
5 clinical trials where there's a placebo arm,
6 because why would somebody volunteer for a trial
7 once the drug is available? They can compare
8 different doses, and they can look at the
9 question of different doses for the people with
10 low body weight, and they can look at the three
11 doses -- there are a lot of things they can do
12 for people receiving drug, but their ability to
13 do placebo controlled trials is going to be
14 limited once this drug is on the market.

15 So that -- and assuming people
16 agree with that, that means that we have -- I
17 think it's incumbent upon us to consider
18 carefully what designs or what requirements
19 would be appropriate to make sure that we get
20 the information on long-term safety. And I
21 think the committee needs to consider
22 carefully what the strengths and weaknesses

1 are of a voluntary registry, like PSOLAR, or
2 disease-based registry, versus using the
3 Scandinavian population, where I'm concerned
4 that there's not going to be enough uptake of
5 the drug there -- even though that is a
6 complete enumeration of patients and their
7 outcomes.

8 I just am concerned that there
9 won't be enough uptake of the drug in those
10 countries to allow each individual biologic
11 agent to be studied in terms of safety.

12 And so I think that leaves us with
13 a restrict distribution, mandatory
14 registry-type thing, like we do for Accutane.
15 Even though that may not be perfect, at least
16 it should allow nearly complete enumeration.
17 And for those sites in the United States that
18 have SEER registries, even if the patients
19 are lost to follow-up, and I don't myself
20 know what proportion of the United States
21 population is covered by a SEER registry,
22 somebody might know that here -- 20 percent,

1 you're saying? Okay.

2 The power may be limited, but at
3 least they could be followed up in those
4 sites in terms of their malignancies.

5 I guess I just want to argue for
6 the committee to consider carefully that we
7 can talk about randomized control trials
8 after it goes on the market, but I don't
9 think that they'll ever be powered
10 adequately, because it will be so difficult
11 to recoup patients to them, so we have to
12 consider carefully what the alternate designs
13 are.

14 DR. LEVIN: Another concern which was
15 mentioned by somebody else before is the
16 formulary issue, and the fact that if this drug
17 is an expensive new brand name product, which
18 it's likely to be, it will not be in the
19 formularies of large, electronic databases such
20 as health plans at VA and other places where we
21 have a lot of enthusiasm about our ability to
22 data mine, at least to look for signals of

1 problems, so I think we're going to be absent
2 that resource for quite a while, because I don't
3 imagine health plans like Kaiser and the VA are
4 going to be quick to jump on putting this into
5 their formula.

6 DR. HECKBERT: I agree with that
7 comment completely. I do a lot of work in those
8 settings, and they do not rapidly take up new
9 expensive therapies until they are proven.

10 REPORTER: Turn your mic on, please.

11 DR. KATZ: People are discussing the
12 later part of eight. Should we vote on the
13 first part if we recommend?

14 DR. BIGBY: That's my intention.

15 DR. CALLEGARI: Do you want me to
16 return? I can address that. I can discuss it
17 later or I can address it now.

18 DR. BIGBY: Later. So I think that
19 we can put this to a vote. Do you recommend
20 approval of ustekinumab for the treatment of
21 adult patients with moderate to severe plaque
22 psoriasis? Those voting yes, raise your

1 hand.

2 DR. CRAWFORD: (inaudible)

3 DR. BIGBY: No voters raise your
4 hand. Abstainers? So this was a unanimous
5 yes vote. And let's start with Rob Stern.

6 DR. STERN: Yes, and you can
7 imagine -- in fact, the conditions I would
8 require for, in fact, the drug to be marketed
9 are very much as has just been suggested,
10 because otherwise we'll be in the same situation
11 we are with the other biologics of being five
12 years from now, having no robust information on
13 what are critical questions.

14 I'll make two other comments.

15 One, if this drug is in clinical
16 practice as effective as it has been in the
17 clinical trials, it's likely to dominate the
18 marketplace because of ease of administration
19 combined with high efficacy.

20 And the second is an anecdotal
21 observation, but for the drugs that I've used
22 in my life, those generally that are more

1 effective have more side effects. So I've
2 seldom run across the drug that's better and
3 safer for a given indication, and that makes
4 me concerned as I was earlier about what's
5 the minimal effective dose and what the
6 long-term toxicity is going to be.

7 DR. BIGBY: Dr. Katz?

8 DR. KATZ: I vote yes. And it says if
9 the answer is yes, to answer the others. Could
10 I answer the others?

11 DR. BIGBY: No.

12 DR. KATZ: My answer is yes.

13 DR. BIGBY: Tor?

14 DR. SHWAYDER: Do you want yes or no?
15 Or do you want a comment?

16 DR. BIGBY: I want your name.

17 DR. SHWAYDER: Tor Shwayder. Yes.

18 DR. BIGBY: A yes or no, and a
19 comment.

20 DR. SHWAYDER: Tor Shwayder. Yes.

21 And my comment is, just thinking in the back of
22 my head when a "me too" drug comes along and I

1 presume there's other me too drugs that will, it
2 force the company to do these comparative
3 studies that you guys are talking about.

4 DR. RINGEL: Eileen Ringel. Yes.
5 With the comments already stated.

6 DR. HECKBERT: Susan Heckbert. Yes.

7 DR. DRAKE: Lynn Drake. Yes. And I
8 voted yes because -- a minute ago, I said I
9 don't know about the risk-benefit. That's still
10 absolutely true, but this drug has the potential
11 to be so powerfully helpful to patients, that I
12 think there's an ethical issue here of keeping
13 it from them. If there's something we know is
14 we know it can help them. We don't know if it's
15 going to hurt them, and so I think the answer is
16 to make sure there's proper follow-up studies so
17 that we can identify any early markers. So I'm
18 voting yes, because I think ethically, we have
19 to make this available to our patients.

20 DR. CRAWFORD: Stephanie Crawford.
21 Yes. And like panelists Katz and Levin, I can't
22 wait until we get to 8B.

1 DR. LEVIN: Arthur Levin. Yes. And I
2 just want to mention there's another ethical
3 issue, because if this is not picked up by
4 health plans, by Medicaid, et cetera, there's
5 going to be an economic rationing issue here,
6 which is we're going to have a wonderful product
7 that's available for people who can pay for it
8 out-of-pocket or who have health plans that are
9 more generous in their drug coverage, and not
10 available to the rest of the population.

11 DR. THIERS: Bruce Thiers. I voted
12 yes. Dr. Stern really took the words right out
13 of my mouth. It's the efficacy of this drug
14 that scares me most in terms of potential
15 long-term side effects. So there's going to
16 have to be very close follow-up with patients
17 who are using this drug.

18 DR. BIGBY: Michael Bigby. I voted
19 yes, and my comment in this section is the
20 same as in seven, and that is if you compare
21 it to what else we have available, at least
22 based on the information we have, the

1 risk-benefit looks good.

2 DR. MAJUMDER: Mary Majumder. Yes,
3 and I'll keep it that brief so we can get on to
4 discussion of all those conditions.

5 DR. BIGBY: So I think since no one
6 voted no, we can skip down to Section B,
7 unless the Agency objects.

8 Describe the recommended dosing
9 regimen and the length of treatment. So,
10 comments? But I mean, I think we've kind of
11 discussed the former part of that. The
12 length of treatment issue is I think a more
13 open one.

14 DR. SHWAYDER: I just want to restate
15 what I said before, that I'd prefer things be
16 left in a suggestion rather than a mandatory, A,
17 so the insurance companies don't restrict you;
18 and B, so you have some variety when you have a
19 heavy or a light person.

20 The second comment is, it will take
21 Centocor about two New York seconds to get
22 out the advertisements that four times a year

1 with a shot is cheaper than four times a week
2 with UVB. And I know that at my hospital,
3 when biologics came along, suddenly they
4 started covering UV when they didn't before,
5 because it was a lot cheaper than the
6 biologics.

7 DR. KATZ: I hate to sound like a
8 broken record, but the first part of B says,
9 "Describe recommended dosage and regimen length
10 of treatment."

11 We can't say length of treatment,
12 but the dosing regimen, I would still object
13 to here. With the lower-weight patients,
14 they have a total of 13 patients that we're
15 making a recommendation on dosing at the same
16 dose as people who almost weigh twice as
17 much, when we know it's a dose-dependent
18 response. And the minimum effective dose
19 would be important on those patients.

20 DR. STERN: I guess all Roberts are
21 broken records. In addition to the minimum
22 effective dose, I think we do need a study on

1 re-response in individuals who have discontinued
2 therapy and whose psoriasis has come back
3 because that will clearly affect strategy. I
4 think as Robert has implied, generally we tend
5 to reduce dose in people on maintenance and try
6 to get them off. It's a little bit different
7 consideration if this is a once in a lifetime
8 drug. So since we have experience with
9 efalizumab that suggests that, I think we should
10 require the sponsor in reasonable time to do
11 such a study in terms of effectiveness with
12 second courses of therapies.

13 DR. LEVIN: Just a point of
14 information from FDA. I mean, as I remember,
15 most labeling does have a recommended dose and
16 duration, and also reports on the evidence from
17 the approval trials. It does both. So that
18 would be -- despite the sentiments around the
19 table that this is an individual
20 patient-physician decision. The fact is that
21 labeling requires some general parameters based
22 on the approval trial evidence.

1 DR. GUZZO: I do have some data if you
2 want me to --

3 DR. LEVIN: I'm aware of the data you
4 have in terms of when you have in terms of when
5 you re-treated people when they lost 50 percent
6 of their PASI gain. I think often, we have
7 patients who go a bit further than that and
8 they're off treatment longer than I think was up
9 to about -- not very many patients beyond 20
10 weeks off treatment in terms of reintroduction.
11 And this is, you've got to remember, biologic
12 affect for drugs where I came from is eight
13 times the half-life and 24 weeks would be eight
14 times the half-life of this drug before it's
15 really gone from the system.

16 So in terms of my question, I don't
17 think you have substantial data with people
18 off for 24 weeks who have had substantial
19 recurrences. And I think that's an important
20 clinical issue in terms of rotational
21 therapy, alternative therapies -- and not
22 that I'd hold it up in terms of approval, but

1 I think it would be very useful to know that
2 information in treating our patients for
3 psoriasis.

4 DR. GUZZO: I just wanted to make you
5 aware of the data we submitted.

6 DR. BIGBY: Hold on a second.

7 DR. WALKER: Just to speak to the
8 labeling question. One of the goals of labeling
9 is to provide information to inform physicians
10 and their patients in making informed clinical
11 decisions, and we do have one example, though,
12 of a relatively restricted duration of use that
13 I think may be useful to the committee, and I'll
14 ask Mark to describe that.

15 DR. AVIGAN: I was going to say
16 something along those lines, which is that often
17 in the label for chronic ailment, there will be
18 an instruction or there will be a description of
19 the longevity of the clinical trial, with a
20 stipulation that beyond a certain point, the
21 risk is not known, or the long-term effect is
22 not known.

1 And we have had occasions where
2 there was a fact of risk over time that built
3 up so that the short-term use was not a
4 problem. But the indicated use was for a
5 condition which was chronic. So even though
6 the label was somewhat legalistic and said
7 that it should be used for short-term use, in
8 reality, this particular drug, it was one of
9 the anti-inflammatories, was used in practice
10 chronically, and the risk emerged, because in
11 fact, there was a time-dependent factor.

12 DR. STERN: I would add, though, in
13 our clinical practice, there's a once very
14 popular treatment for psoriasis where there's
15 clearly a dose-dependent effect, quite safe for
16 up to 100 treatments, medium safe for up to 200
17 treatments, but after that, substantial
18 carcinogenic risk, and that's obviously PUVA.

19 DR. DRAKE: Earlier on, I talked about
20 first-in-class, and I want to clarify what I was
21 saying a little bit. Having started with this
22 process with the first biologic -- Rob and I

1 were both involved in this very early -- I think
2 part of what you've heard is that any drug, and
3 there are others that might suppress IL-12,
4 might suppress other things. So there are
5 others that have been proven over time to be
6 fine; there are some that haven't been proven.
7 And my sense is that, at least from my
8 perspective, is I want to know the answers to
9 these questions. We're six years out now and we
10 still don't have much information.

11 I would really encourage the
12 sponsor and the FDA to try to come up with
13 some way to help gather that information, not
14 just on this drug, but anything else that
15 might suppress specific things that could
16 potentially cause us problems on down the
17 road. I don't have a magic answer, but if
18 you have to convene a special group of
19 experts to come up with design and protocols,
20 and work with sponsors and experts, I would
21 really encourage you to do that, because I
22 think this whole issue of unanswered

1 questions you've seen bog us down big-time
2 today. And I think this is a perfectly good
3 drug. It's a wonderful example of something
4 that potentially has powerful efficacy, but
5 could have had a different outcome today had
6 we not had these substantial questions. And
7 it might have moved much quicker had we had
8 these answers to these questions. So I just
9 want to encourage you to try to do whatever
10 you need to do to capture this information.

11 Thank you.

12 DR. BIGBY: I saw somebody else
13 reaching -- Tor or Eileen? No? What was it
14 that you were going to provide just now, the
15 sponsor?

16 DR. GUZZO: Treatment data. Dr. Stern
17 said he was aware of it.

18 DR. STERN: As I recall the data from
19 last week, you reintroduce people when they lost
20 50 percent of their PASI, but there were
21 relatively few people who were more than 20 or
22 24 weeks from when they'd stop drug, which is

1 still within eight half-lives of the drug.

2 So to me, there weren't sufficient
3 numbers of a sufficient period to really talk
4 about reintroduction of the molecule. And
5 for clinical reasons, I would like to see a
6 study that was designed to really look at
7 effectiveness of the second course.

8 But you --

9 DR. GUZZO: Second course.

10 DR. STERN: You have the slide picked
11 out?

12 DR. GUZZO: I also have additional
13 data that hasn't been submitted to the Agency,
14 but I don't know if --

15 DR. BIGBY: Show it.

16 DR. GUZZO: Slide up, please.

17 DR. CRAWFORD: I must ask, though, why
18 do you have additional data that's not being
19 presented to the Agency?

20 DR. GUZZO: These are ongoing studies,
21 so data continually comes out with additional
22 database locks.

1 So this is just additional data in
2 larger numbers of patients and their
3 re-treatment data. So here, we have
4 32 percent of patients responding four weeks
5 after re-treatment, 71 percent eight weeks
6 after re-treatment, and 85 percent 12 weeks
7 after re-treatment. And the ends go up to
8 150 patients. And so this comes from --

9 DR. BIGBY: And the period of no
10 treatment was how long?

11 DR. GUZZO: It's variable for each
12 patient. But it can extend from -- this goes up
13 to a 76-week database lock. So they stop
14 treatment at week 40, and then the next database
15 lock would be at week 76, so this is where this
16 comes through. So these are patients who were
17 re-treated through that week 76 database lock.

18 SPEAKER: Their last treatment was
19 week 28 --

20 DR. GUZZO: Yes. But they have
21 (inaudible) on board until week 40.

22 Your question?

1 DR. SHWAYDER: Is this a single shot?

2 DR. GUZZO: No. It's two doses. It
3 replicates their initial treatment of zero and
4 four. They receive zero and four again when
5 they're reintroduced to treatment.

6 DR. SHWAYDER: So when it's labeled,
7 it will be 0, 4, then 12, 12, 12, and then we
8 don't know beyond that?

9 DR. GUZZO: The proposed labeling is
10 0, 4, with every 12-week therapy. This
11 treatment was -- this was obviously done to
12 address questions. We know that patients come
13 off treatment. We know that they switch on to
14 other treatments. We know that they go back to
15 treatments. So patients were allowed to lose
16 50 percent of their PASI response and then be
17 re-treated. And just to bring up the issue of
18 antibodies which was discussed earlier, we
19 actually measured antibodies in these patients.
20 Ninety-seven percent of them were drug-free and
21 the antibody rate was about 5 percent.

22 DR. SHWAYDER: I'm sorry. I need to

1 go to the dosing regimen again, because I'm
2 still unclear. So what are you going to tell
3 the FDA? Are you going to say, 0, 4, 12, 12,
4 12, until Christmas? Or are you going to say
5 X amount of time off the drugs, start again 0,
6 4, 12, 12, 12?

7 DR. GUZZO: So the proposed labeling
8 is for 0, 4, and then every 12-week treatment
9 for chronic therapy. And of course, it is the
10 physician's judgment how long a patient should
11 stay on individual therapy.

12 DR. SHWAYDER: Because then -- yeah,
13 again -- all right, here's my experience. So
14 Protopic did a study, 0.03 versus 0.1. It was
15 statistically moot below a certain age, so the
16 company, they were no idiots -- took them a
17 third amount of dose, a third amount of active
18 drug, and therefore the insurance companies
19 won't cover it -- 0.1 under a certain age, but
20 my colleagues in England use only 0.1 because
21 they don't think 0.03 works.

22 So I don't want this to happen with

1 this drug, where I know I have a subset of
2 patients that's going to need it every four
3 weeks, and yet I can have an insurance
4 company telling me I can only give it every
5 12 or they're not going to hand it to me.

6 DR. STERN: But I think the other side
7 of this is -- at least in the patients I manage
8 with Enbrel, there's sort of the psoriasis
9 paradigm which Dr. Katz has talked about, and
10 there's the rheumatoid arthritis-rheumatologist
11 paradigm where they keep people on -- then
12 psoriatic arthritis -- they keep people on
13 TNF-alpha inhibitors who have had no perceptible
14 symptoms for months and months and months, and
15 we generally -- at least I don't, when a
16 patient's clear of psoriasis, I start backing
17 off -- whether it's Enbrel, or UVB, or whatever,
18 or methotrexate.

19 And this labeling would be more
20 along the rheumatoid arthritis-rheumatologist
21 approach to these chronic diseases than how
22 we've treated cutaneous psoriasis.

1 The risk is bound to be in fact
2 more than linear with time on.

3 If there is an increased risk of
4 cancer, that risk at least is going to be
5 more than linear with time on
6 immunosuppression, which every
7 immunosuppressive agent has shown to be the
8 case where that affects cancer risks. So I
9 think that recommendation is not how many of
10 us practice for cutaneous psoriasis, and is
11 clearly good for the company. And if you
12 think about it, you know, if the perception
13 is of safety, wouldn't you like to have one
14 injection four times a year forever and keep
15 your psoriasis away?

16 DR. BIGBY: I think to move on,
17 this is not a vote-able question, so I think
18 what we'll do is just go around and just make
19 a comment about recommended dosing regimen
20 and length of treatment, starting with Tor.

21 DR. SHWAYDER: I would say present --

22 DR. BIGBY: Name.

1 DR. SHWAYDER: Tor Shwayder. I would
2 say present the data as given, as a
3 recommendation, but not as a mandatory.

4 DR. RINGEL: I think all we can do is
5 state what the company has done in the label,
6 and there's no other information.

7 So that's all we can say.

8 Eileen Ringel.

9 DR. HECKBERT: Susan Heckbert. I
10 agree with what the company's recommending as
11 long as the label indicates that that's all the
12 information, that the information presented is
13 all the information we have.

14 DR. DRAKE: I'm thinking.

15 DR. CRAWFORD: The dosing regimen, my
16 comments earlier about my desire for a mid-tier
17 dose still stands. The length of treatment, I
18 have no comment on. Crawford.

19 DR. LEVIN: Arthur Levin. Again, I
20 think we follow what labeling has always been,
21 which is the company submits a recommended
22 dosing and duration and the evidence from the

1 approval trials is presented in the label. And
2 clinicians have always been free to do with that
3 what they want to do. Insurance companies are
4 also free to do with that what they want to do,
5 but we can't deal with our health care system
6 reimbursement mess in this setting.

7 DR. THIERS: Bruce Thiers. I agree.
8 We just present the company's data, and we might
9 indicate that some patients may respond to
10 different dosing and duration of treatment
11 regimens.

12 DR. BIGBY: Michael Bigby. I
13 actually have no objection to the dose
14 availability or to the dosing regimen in
15 terms of two doses, four weeks apart then
16 every 12 weeks. I think we don't have
17 sufficient data to talk about length of
18 treatment. And I think that, at least in
19 part, will sort out during practice. And I
20 do think post-marketing surveillance will be
21 very important, because I do think it's going
22 to be used on a chronic basis.

1 DR. MAJUMDER: Mary Majumder. I agree
2 with the prior statement.

3 DR. STERN: Nothing to add. Rob
4 Stern. Nothing to add.

5 DR. KATZ: Robert Katz. Nothing to
6 add. I've expressed my objection to the 13
7 patients at 50kg, which hasn't been addressed.

8 DR. BIGBY: Lynn?

9 DR. DRAKE: I agree with what's been
10 said pretty much around the table. I think we
11 should go with what the company has done,
12 because anything else will hold up the approval
13 process, and I don't think we ought to do that.
14 I would encourage the sponsor to please look at
15 minimal effective dose when they're planning
16 their next round of studies. I think that would
17 be very helpful.

18 DR. BIGBY: For the next question,
19 with your permission, I think that we should
20 just put this to a vote and then hear the
21 comments. Does anybody object?

22 DR. LEVIN: Just a point of

1 clarification. If the drug becomes unstable,
2 what does that mean to a patient? I mean, I
3 have epi pens because I'm allergic to certain
4 insect stings, and it tells me look at the
5 clarity, but I'm never really sure what that
6 means. I think it means it might not be
7 effective. Is it the same thing here? So if it
8 loses stability, I mean, if it gets this
9 particulate or whatever, what does that really
10 mean?

11 DR. LEVIN: One of the concerns that
12 was expressed about self-administration was
13 could patients recognize when the drug was
14 appropriate for administration by anybody or
15 not. And there was some issue about -- I don't
16 know whether it was stability or particulate
17 matter or something like that. I want to
18 clarify that issue.

19 DR. CALLEGARI: It should be no
20 different than any of the other drugs that come
21 as liquid in vial. Certainly, the biologics
22 have been that way. To clarify the point, the

1 drug is not shipped at all in advance. The drug
2 only goes to the patient at a specific time and
3 at a specific place after the physician has sent
4 the prescription to the managing pharmacy to get
5 the drug shipped. If the patient isn't there,
6 the drug isn't left. If the patient isn't
7 contacted, the drug isn't shipped.

8 DR. BIGBY: But the question was,
9 if the contents of the vial are cloudy or do
10 not meet the prerequisite for appearing to be
11 active and they inject it, what is the
12 consequence? Does it just not work? Will
13 they die of anaphylaxis?

14 DR. CALLEGARI: I don't know if
15 there's any human data on that. Certainly not
16 from our clinical trials. We know that we will
17 instruct patients that if it's cloudy, not to
18 inject; we will replace it. But I don't think
19 anyone's studied -- yeah, as long as it's within
20 the shelf life. I don't think anybody's studied
21 that.

22 DR. BIGBY: Why don't we do this in

1 this way: should the product be labeled for
2 self-administration? Those voting yes on
3 this, raise your hand. Those voting no,
4 raise your hand. And abstentions?

5 I think we can start with Robert
6 and just go clockwise.

7 DR. KATZ: Robert Katz. I don't see
8 the problem here. Enbrel is
9 self-administration. It's sub-cu, and it's not
10 a problem.

11 DR. BIGBY: It's a preloaded
12 syringe.

13 DR. KATZ: But before it was
14 preloaded -- I had great difficulty doing it
15 myself, but patients seemed to manage doing it.
16 The prefilled syringes are great, but it is more
17 cumbersome doing it this way.

18 DR. STERN: My issue with this is if
19 we have any prayer of having a complete
20 follow-up, we're going to have to tie in some
21 way the administration of the drug to the
22 medical establishment. And in my experience,

1 all the patients I've ever given home UVB only
2 come to see me when there's a problem. And if
3 one of our strategies is more information,
4 having patients doing it on their own just will
5 make a difficult process impossible.

6 DR. BIGBY: I forgot to give the
7 summary for self-administration. There were
8 four yeses, seven noes and no abstentions.

9 DR. MAJUMDER: I just don't think
10 there's a one size fits all, and if it's
11 prescriber only, then you can't say, well, this
12 is a very sophisticated patient, we have a great
13 relationship, so I'm going to give them the
14 convenience of self-administration, because you
15 only have prescriber -- whereas if you approve
16 it for self-administration, that's not
17 mandatory. If there are issues, you could still
18 bring particular patients into the office.

19 DR. BIGBY: I must say that I
20 think -- you know, when you start talking
21 about having patients check the vial for
22 cloudiness, it just makes me a little

1 nervous, so it is such an
2 efficacious-appearing drug and the duration
3 of therapies have to be long enough that -- I
4 mean, I think at least some monitoring of how
5 it's given and what the effects are would be
6 useful.

7 DR. THIERS: Bruce Thiers. I think
8 for a drug like this that's going to be quite
9 expensive, having a patient come in every three
10 months for follow-up just to make sure they're
11 responding is worthwhile, and also obviously to
12 make sure they're not having any untoward side
13 effects. So I think a visit to the doctor every
14 three months at the time of the visit is not
15 asking too much, and I think the fact that the
16 injection would be given then would give them
17 more incentive just to show up for their visit.

18 And in contrast to what was said at
19 the open public hearing, dermatologists don't
20 make any money treating psoriasis. It was
21 mentioned that we need to have the patient
22 come in so we could bill the patient.

1 Personally, from a financial standpoint, I'd
2 rather have somebody come in and freeze a
3 wart. Treating psoriasis is very rewarding
4 because we have a lot to offer patients, but
5 economically, it doesn't do much for us.

6 The only problem with having it
7 administered in the physician's office is
8 that it makes it logistically difficult. The
9 drug would have to be shipped to the
10 physician. Otherwise, if it's shipped to the
11 patient, the patient would get this container
12 on dry ice, presumably, and have to rush to
13 the doctor's office to get it injected, so it
14 would have to be shipped directly to the
15 physician's office.

16 DR. LEVIN: I voted yes although I
17 certainly share the concern about data
18 collection, but I think there are probably ways
19 around that. I like the notion of patient
20 empowerment, and the logistic issue is certainly
21 an important one. If we think it's so important
22 to get this drug out to people, then we have to

1 make it pragmatically possible for people to use
2 it.

3 DR. CRAWFORD: Stephanie Crawford. I
4 voted for prescriber, or at least office-based
5 administration. These initial years, we need
6 more monitoring for this very promising new
7 product because it's been well-stated -- there's
8 the opinion that there's currently a lack of
9 sufficient long-term data, especially on safety.

10 Secondly, the sponsors did talk
11 about there would be training on
12 self-administration. That's only part of it.
13 Again, I have far less concern about that,
14 but there was no description that I heard
15 about what that training process would be if
16 there were self-administration.

17 Thirdly, and I absolutely take
18 seriously the comments that were made in the
19 open hearing -- I'm hoping that if there's
20 office-based administration, it would be both
21 in monitoring efficacy, in this case
22 effectiveness, as well as any safety concerns

1 that would go on, because we all know -- we
2 might not remember if we took one pill in the
3 morning, much less the exact time -- and the
4 way we administered a subcutaneous dose
5 during certain 12-week intervals.

6 DR. DRAKE: I voted for office-based
7 delivery because --

8 DR. BIGBY: Name.

9 DR. DRAKE: Oh, sorry. Lynn Drake. I
10 voted for office-based administration because I
11 agree with the comments from my like-minded
12 colleagues.

13 DR. HECKBERT: Susan Heckbert, and I
14 voted for prescriber administration for exactly
15 the same reasons that Robert Stern gave.

16 DR. RINGEL: I'm Eileen Ringel, and I
17 voted for patient self-administration because I
18 think doing it any other way is going to be a
19 logistical nightmare. I was trying to think my
20 way through this. If the patient has delivery
21 of medication and needs to keep it at 2 to 8
22 degrees and I'm on vacation, I'm trying to

1 figure out exactly how this is going to work if
2 he gets the medication before coming to the
3 office.

4 If I have to purchase this
5 medication, it's going to be extremely
6 expensive, and I as a private practice
7 physician have no intention of putting up
8 that kind of money and potentially losing it
9 if my patient doesn't feel like coming in.
10 We've been through that with Amevive. It
11 hasn't worked well.

12 I think the control should simply
13 be the physician who refuses to prescribe the
14 medication unless that patient comes in with
15 a visit. And if you want to be really
16 Draconian, you can have the physician sign a
17 form that says, yes, I saw this patient, and
18 he brings that little form to the pharmacy or
19 whatever -- or sends it to the pharmacy when
20 they pick it up. I don't know that that's
21 necessary, but few physicians want to perjure
22 themselves, and I think that would be a

1 control on the physicians.

2 I'm not saying you need to do that,
3 but it would be an option. I also think that
4 that way would also encourage them to
5 participate in any registry that we might
6 come up with.

7 DR. SHWAYDER: Tor Shwayder. I vote
8 it to be given in the doctor's office for
9 several reasons. Basically, there are a lot of
10 stupid people out there, and they're going to
11 blow it -- and my apologies to the people who
12 are in the audience, but I've had young lady who
13 I gave explicit instruction in how to use her
14 cyclosporine and came back and she was taking
15 three times the amount that I told her to take.
16 So slips do happen.

17 Secondly, you need the quality
18 control of the vial. Thirdly, you need the
19 follow-up for the malignancy. Fourthly, it's
20 not just going to be dermatologists using
21 this stuff -- and I've had the following
22 thing sent to me by family doctors labeled as

1 psoriasis: Pityriasis rubra pilaris,
2 psoriasis like anoytes (?), eczema, mycosis
3 fungoides, et cetera. So I already know
4 there's going to be somebody who's going to
5 be prescribing this and I'm going to see them
6 on follow-up and I'll say, mein gut, this
7 wasn't psoriasis to begin with, what are you
8 using?

9 DR. THIERS: Michael, can I ask a
10 question, please? Maybe Susan could answer this
11 question. If a drug is office-administered,
12 does that by definition mean that the physician
13 has to buy it? I mean, can't the patient
14 purchase it, have it shipped to the doctor's
15 office?

16 DR. WALKER: I don't think it
17 necessarily means the physician has to buy it.
18 There are a lot of complications surrounding
19 some of those delivery systems, but to answer
20 your question, I don't believe the physician has
21 to buy it.

22 DR. LEVIN: Maybe the pharmacist

1 amongst us can answer the question, what does
2 state law require? If a prescription is written
3 to an individual, that that either be picked up
4 by that individual or it be mailed to the home
5 address of record of that individual? Is that
6 what state law requires?

7 DR. CRAWFORD: I'm sorry, I can't
8 answer. Each state might have different laws.

9 DR. LEVIN: What would Illinois
10 require?

11 DR. CRAWFORD: I don't know. I told
12 you, the state of North Carolina thinks I'm a
13 pharmacist.

14 DR. BIGBY: Very briefly.
15 Go ahead.

16 MR. BOSCIA: Hello, I'm Jerry Boscia
17 from Centocor. Just a point of clarification.
18 The specialty pharmacy will inspect the vials.
19 Then they will draw up the ustekinumab into the
20 syringe, and it will be the syringe that is
21 delivered to the patient and then the patient
22 administers it. Just that clarification.

1 DR. BIGBY: But like an hour ago,
2 you said it goes in a vial.

3 MR. BOSCIA: That's the first time
4 I've been up to the microphone.

5 DR. BIGBY: No, no, I mean, what came
6 from there before.

7 DR. GUZZO: (inaudible)

8 REPORTER: You need to go to the
9 microphone.

10 DR. BIGBY: So with that confusing
11 end to this discussion -- I mean, that was
12 not what was presented to us. What we heard
13 was that the patient was going to get a vial
14 that they had to take it out of and inject.
15 So I don't know where you -- I mean, I think
16 you're going to have to work this out with
17 the Agency in a meeting, but I mean, given
18 what we were presented with, this is how we
19 voted, and I'm not sure what -- I mean, how
20 you want to proceed.

21 But we are over time, so I think we
22 need to discuss the last issue and that is

1 three, are the applicants risk assessment
2 proposals sufficient to characterize the
3 long-term safety of ustekinumab?

4 DR. KATZ: That's better than Bruce
5 would have done.

6 DR. THIERS: Much better.

7 DR. BIGBY: Open for discussion.

8 DR. CRAWFORD: Thank you. Stephanie
9 Crawford. First, I'm a little confused now.
10 Certainly, the expanded proposed risk management
11 program was moving more into the right
12 direction, but based on that last comment, I'm
13 very concerned. It seems to be a moving target.
14 So part of this is, I want to state I am
15 concerned about -- it's such an important aspect
16 of our consideration of this drug, I'm concerned
17 about the last-minute provision of this expanded
18 risk management plan, and the lack of sufficient
19 details provided to the Agency in advance.

20 I ask that the sponsor's slide 155
21 be displayed, because it's a point of
22 clarification as we're looking at these last

1 sets of questions under 8(b)(3).

2 I want to ask the Agency, should we
3 address the questions as asked, or based on
4 what we saw this morning for the risk
5 management plan or the addition we just heard
6 two minutes ago that was a change from what
7 was stated before?

8 Also, if it is proposed that there
9 be specialty pharmacies involved, I don't
10 really see in slide 155 what is the role of
11 those specialty pharmacies in terms of
12 collecting data on safety, and to some
13 extent, efficacy.

14 DR. WALKER: I think one of the best
15 ways to provide us information in answering this
16 question is to give us an understanding of the
17 degree of rigor committee members feel is
18 necessary in order for us to obtain safety data
19 on this product.

20 So we're really looking for -- you
21 know, different plans have different degrees
22 of rigor, and as we look at the types of

1 plans that are possible, I think I've heard
2 today a variety of advice, so we're
3 really -- we're looking for information about
4 what you really feel is an appropriate level
5 of rigor for post-marketing surveillance for
6 this product.

7 DR. LEVIN: A point of clarification.
8 Would you equate restricted distribution with
9 specialty pharmacy, that the sponsor is talking
10 about? Is that --

11 DR. WALKER: No. I mean, it can be a
12 component, but that alone is not.

13 DR. AVIGAN: Just to clarify that
14 point. The restricted distribution idea as a
15 concept is that you give the prescription with
16 the proviso that there's a gate-keeping step of
17 some kind, some measure, some interaction, some
18 test. The fact that you have a specialty
19 pharmacy can be a device that's useful for
20 marketing, or it can be used as a gate-keeping
21 step. But they're not necessarily linked.

22 DR. BIGBY: Just a point of

1 clarification. Is there any other drug
2 currently marketed where this method of
3 distribution exists where the pharmacy draws
4 a single dose up in the vial and sends it to
5 the patient on ice?

6 DR. DRAKE: What's it called, Avastin?
7 It's the drug for building up your bone marrow
8 when you're a kidney patient. The specialty
9 pharmacies send it out in a pre-drawn vial.
10 It's on ice. It shows up at your house. It's
11 not Epo -- what's the next -- it's called
12 Aranesp. Aranesp is handled that way.

13 DR. CALLEGARI: Excuse me, just to
14 clarify, and I apologize to my colleague for the
15 confusion. The drug is shipped in a vial,
16 liquid in vial, with a syringe to the patient.
17 And so it is not in a prefilled syringe, it is
18 shipped in a vial.

19 DR. BIGBY: Did the other gentleman
20 just say that it was shipped in a syringe?

21 DR. CALLEGARI: He did, and that's why
22 I'm apologizing for the error.

1 DR. BIGBY: Okay.

2 DR. STERN: Is this your final answer?

3 DR. CALLEGARI: Yes. And I can't call
4 for a lifeline and I'm sort of stuck here.

5 DR. BIGBY: So I think in that
6 regard, the vote that we had is a legitimate
7 vote then.

8 DR. BEITZ: Yes. Could I just clarify
9 from Centocor that when you are shipping these
10 vials to patients, this assumes that you have a
11 knowledge of who these patients are, so that is
12 in essence a registry, and patients have to be
13 on this list so that you know who to ship to.

14 DR. CALLEGARI: That is correct.
15 Every prescription that the physician writes, as
16 it's sent to the specialty pharmacy provider,
17 needs to identify the person, the place, and
18 ultimately the specialty provider -- in this
19 circumstance -- I mean, that's what happens.
20 Both Embrel and Humira use specialty provider
21 distribution networks as well, so it's not such
22 a unique thing. Virtually -- that's how they

1 get distributed. They use that distribution
2 network through more retail pharmacies. We
3 actually, to sort of limit the likelihood of
4 mis-prescriptions, we really move toward
5 centralizing that through a centralized SVP,
6 which would account for -- and this includes
7 8 to 10 large pharmacy plans across the United
8 States. The whole United States is bracketed
9 with that program, and so it allows direct
10 tracking, but it also allows the intervention.

11 I mean, I know there's been a
12 tremendous concern about in office -- you
13 know, the need for in-office injection, the
14 question about self-administration, but what
15 this allows is regular personal contact with
16 the patient prior to each injection. It also
17 prompts patients to schedule follow-up visits
18 with their dermatologists, reminders for the
19 next dose, and with each delivery, patient
20 education tools can be delivered with each
21 delivery.

22 DR. CRAWFORD: Thank you. I heard

1 prior to each delivery -- what about any
2 reactions immediately afterwards or any problems
3 in utilizing?

4 DR. CALLEGARI: There's a number of
5 ways. Certainly they will be captured through
6 our AERS system. They will come in as MedWatch.
7 There's an 800 number both at Centocor as well
8 as at the SVP that will track those, but the
9 other thing I didn't mention is that before the
10 drug is shipped, there will be a reminder sent
11 to the dermatologist that their patient is
12 scheduled to receive ustekinumab.

13 DR. BIGBY: Hold on a second. What
14 was the question that you asked for the
15 slide?

16 DR. CRAWFORD: 155. And as that's
17 coming up, Mr. Chair, my specific question is,
18 why are you not proposing to utilize the
19 specialty pharmacies for more active
20 surveillance, including asking through surveying
21 after they receive it what was the condition of
22 the product, describe it to us, how did you use

1 it.

2 DR. CALLEGARI: Those actually -- and
3 we certainly can do those. We've thought
4 through that. One of the challenges with that
5 is the circumstance when we say if the specialty
6 pharmacy has a checklist and says, I'm sorry,
7 you've failed the checklist. You have a fever.
8 You have a fever and illness, and we're
9 concerned about sending the medication to you.
10 One of the challenges is, it's difficult to
11 verify then -- when we say we're not sending it
12 to you until you see your physician, it becomes
13 very difficult in that scenario to verify. We
14 certainly can capture that data. And it's one
15 of the proposals on the table. We're still in
16 negotiation with the SP providers in terms of
17 that, so you can get a lot of information from
18 that, and all of that goes into the
19 MedWatch -- actually it goes into our database,
20 our adverse events database.

21 DR. DRAKE: I'm still confused, and
22 that's probably because I'm so confused, but I

1 thought that they were not going to be able to
2 get the medicine from the pharmacy unless a
3 physician had seen them and written a
4 prescription each time. I assumed the physician
5 was going to have to write it each time. If
6 they don't have to write it each time before the
7 drug is shipped, then you're clearly not going
8 to get any follow-up. They'll never show up at
9 the doctor's office if they're going to get it
10 automatically every three months. To me, that's
11 a -- and maybe I misunderstood. So could you
12 help me? What's correct?

13 DR. CALLEGARI: Either is potentially
14 correct. You can gate a single prescription.
15 Right now, the physician makes that decision in
16 terms of how many renewals or --

17 DR. BIGBY: I think we should go
18 back to discussing the questions and -- did
19 you get your question answered about the
20 slide?

21 DR. CRAWFORD: Partially, but I won't
22 belabor it. Thank you.

1 DR. BIGBY: Okay. Fine.

2 DR. JONES: (inaudible)

3 REPORTER: Can you --

4 DR. BIGBY: Does anybody else at
5 the panel have comment?

6 DR. STERN: I have one
7 important -- one what I think is an important
8 issue on all of this is that at least in my
9 slightly more than 30 years of experience in
10 terms of long-term toxicity, the most
11 interesting patients are the patients who are no
12 longer on treatment. And any of the information
13 you get through something that is related to the
14 dispensing of a prescription, particularly for
15 serious, long-term toxicities as opposed to
16 acute events, is likely to have lots of lost and
17 missing information. So that's among the
18 reasons why I find the strategies that you seem
19 to have developed to be less than robust.

20 DR. CALLEGARI: Can I address that?

21 DR. BIGBY: No. Eileen?

22 DR. RINGEL: I'm sorry, I think Lynn's

1 comment is very, very important. If people are
2 planning to allow their patients to fill
3 prescriptions that are renewable, I think that's
4 a big mistake for two reasons.

5 The first is the one that Lynn just
6 mentioned, because there's going to be no way
7 for us to collect the data that we all feel
8 is so important. And the second issue is
9 that as convenient as this drug is every
10 three months, and patients will say, great, I
11 have only one shot every three months, well,
12 try turning around and saying you are going
13 to be immunosuppressed for three months and
14 there is nothing you can do about it. So
15 when you get your cellulitis or you get a
16 malignancy or whatever, you are stuck with
17 that drug on board for three months or more.

18 And I see the other end of things.
19 I'm married to a critical care doctor, and
20 you know, in the diabetic patient who gets a
21 cellulitis, who gets septic, and is on the
22 blower, and you can't do anything about that

1 immunosuppressive drug -- it's a real
2 problem. And I think we need to keep that in
3 mind.

4 It's like prednisone. I don't like
5 giving prednisone.

6 DR. THIERS: Bruce Thiers. But even
7 if the drug is not renewable, you know what's
8 going to happen. Patients are going to call the
9 doctor, hey, it's time for my other shot. How
10 you doing? I'm doing okay. Okay, I'll call it
11 in for you.

12 DR. RINGEL: That's why I said --

13 DR. THIERS: That's why you need, I
14 think -- you know, I know it's not optimal, but
15 to get follow-up, you've got to have the patient
16 come to the office.

17 DR. RINGEL: I think they can
18 also -- if you could -- as I said, it sounds
19 draconian, I don't think it's that bad, you
20 could simply have the doctor write -- there
21 should be a form that says, I saw this patient
22 on such-and-such a date. He signs it. Doctors

1 are not willing to perjure themselves.

2 DR. BIGBY: The comment I would
3 make about this is that that kind of
4 restriction doesn't exist for any of the
5 other currently available biologicals, many
6 of which have been demonstrated to have an
7 increased risk of infection and of
8 malignancy.

9 DR. STERN: My response to that is
10 that as a result of our laxity in all of our
11 past approvals, we are operating with not a much
12 better understanding of benefit and risk for
13 those in long-term use of this chronic disease
14 that the average person suffers from for 35 or
15 40 years than we did five years ago when we had
16 initial approval, so maybe we should try to do
17 it better this time.

18 DR. AVIGAN: I just want as a point of
19 information, there's one exception, and that's
20 the drug natalizumab, which is used to treat
21 chronic relapsing multiple sclerosis, and now
22 Crohn's. And there, there's actually a very

1 stringent risk management program which includes
2 mandatory registry and re-prescription based
3 upon a checklist, so that -- with the PML and
4 other issues as well -- so that is an exception.

5 DR. LEVIN: Mark, you could do both,
6 though, right? I mean, you could still have
7 this distribution system, but no something, no
8 drug requirement at the distribution end, so you
9 could have a checklist, a visit, checklist, and
10 then the pharmacy couldn't proceed unless
11 something came out of the doctor's office.

12 DR. BIGBY: So I'm going to set a
13 new target end time for 5:30 and we're going
14 to meet it. With that in mind, I would like
15 to propose a vote on the issue iii here. Are
16 the applicant's risk assessment proposals
17 sufficient to characterize long-term safety
18 of ustekinumab? Those voting yes, please
19 raise your hand. That's zero. Those voting
20 no, raise your hand. And abstentions. And I
21 think we need to go on the record. Tor?

22 DR. SHWAYDER: I voted no.

1 DR. RINGEL: Eileen Ringel, I voted
2 no.
3 DR. HECKBERT: Susan Heckbert. No.
4 DR. DRAKE: Lynn Drake. No.
5 DR. CRAWFORD: Stephanie Crawford. No.
6 DR. LEVIN: Arthur Levin. No.
7 DR. THIERS: Bruce Thiers. No.
8 DR. BIGBY: Michael Bigby. No.
9 DR. MAJUMDER: Mary Majumder. No.
10 DR. STERN: Rob Stern. No.
11 DR. KATZ: Robert Katz. No.
12 DR. BIGBY: This was a unanimous
13 no. And also I think we can vote on this
14 one, too. And the way I would phrase this
15 is, is increasing the sample size of PSOLAR
16 an adequate response to the aforementioned
17 no? And those voting yes, raise your hand.
18 Those voting no, raise your hand. And
19 abstentions? Again, this was a unanimous no.
20 I'll go on the record, Michael
21 Bigby. I don't think that PSOLAR -- I voted
22 no. I don't think PSOLAR is going to be able

1 to answer this question in our lifetime.

2 DR. MAJUMDER: Mary Majumder. I voted
3 no.

4 DR. STERN: Rob Stern. No.

5 DR. KATZ: Robert Katz. No.

6 DR. SHWAYDER: Tor Shwayder. No.

7 DR. RINGEL: Eileen Ringel. No.

8 DR. HECKBERT: Susan Heckbert. No.

9 DR. DRAKE: Lynn Drake. No.

10 DR. CRAWFORD: Stephanie Crawford.

11 No.

12 DR. LEVIN: Arthur Levin. No.

13 DR. THIERS: Bruce Thiers. No.

14 DR. BIGBY: The next one is a
15 little bit difficult for me because I'm not
16 sure what this means, since it's not really a
17 specific proposal. I mean, I don't know what
18 you want us to do with this one.

19 DR. WALKER: It would be helpful to
20 discuss each of these in terms of its
21 appropriateness, or sort of the best way forward
22 to get adequate post-marketing data.

1 DR. STRAHLMAN: I guess my
2 understanding is that for B, C, and D, I think
3 FDA is asking us, as was mentioned earlier, to
4 consider certain parameters and the level of
5 rigor and what questions would be answered by
6 each of these types of studies, and I just
7 wanted to offer a couple of comments in that
8 context which I hope would help frame the
9 conversation.

10 The first one is that the target
11 population for this drug is not millions and
12 millions, it's tens of thousands. That
13 should be a context for risk, and has been
14 mentioned earlier -- because the drug is very
15 specific in -- it's a very specific antibody,
16 we have the biological redundancy of the
17 immune system to counterweight some of the
18 comments that I have heard about malignancy.

19 And I just wanted to put that out
20 there for the record, because I'm not denying
21 the risk, but I just want to give a context
22 here because there are other products on the

1 market, and because of the -- you know, the
2 good news is the drug has a dramatic effect.
3 The bad news is the drug has a dramatic
4 effect. So it's going to be used a lot and
5 that's why this has to be taken seriously,
6 but I just offer that perspective in framing
7 the question.

8 The second point I'd like the
9 committee to consider for B, C, and D is
10 which questions will be answered by what
11 types of studies. There are advantages and
12 disadvantages to voluntary versus mandatory
13 registries. We've heard that often. But
14 even mandatory registries are limited in the
15 ability to detect low signal-to-noise ratios
16 for rare events in what will be a population
17 of tens of thousands, not millions.

18 Then the last question I wanted
19 to -- last issue I'd like the committee to
20 consider is what my colleague mentioned
21 something about access, and is this going to
22 be the type of drug where only people who can

1 pay can use it? I don't know the answer to
2 this question, but depending upon how
3 restrictive we are with regard to mandatory
4 requirements, et cetera, I don't know if that
5 will fuel the ammunition of insurance
6 companies to cover and not cover it. This is
7 just something I don't know, but I'd like us
8 to consider that as a committee. It's a very
9 fine line to walk. This is a strikingly
10 effective drug, but it will be strikingly
11 used, and it's a big responsibility and I
12 just hope these comments might be useful as
13 we consider B, C, and D.

14 DR. BIGBY: Other comments from the
15 committee?

16 DR. KATZ: Yes. Are we going to do
17 each one of these -- the epidemiologic study, I
18 would assume -- Susan; correct me if I'm
19 wrong -- is just hope that somebody will do a
20 study and find out who's having problems.
21 Mandatory registry -- are we going to discuss
22 that now? Or can I? Or do you want me to wait?

1 DR. BIGBY: No, you can discuss it
2 now, because -- I get the sense that what you
3 want to know is how rigorous a study should
4 we be demanding of them to be willing to
5 perform to collect the available data?

6 DR. KATZ: And with a mandatory --

7 DR. AVIGAN: I was going to say just
8 as a framework, here, the challenge is to cap
9 risk -- that is, we have a concern about risk.
10 So we want to do a study that is reassuring in a
11 way that the absence of a signal will be
12 informative. So that's one way of thinking
13 about mixing and matching these various
14 approaches, and they're not necessarily mutually
15 exclusive.

16 DR. KATZ: With a mandatory registry,
17 at least somebody will know everybody who's on
18 that drug, I assume, and they can -- we've got
19 to have the panel first before industry comments
20 on it. At least somebody will be able to go
21 back and see, and even after -- with Rob's
22 concern -- even after people come off the drug,

1 what you're interested in knowing is well, what
2 happens to them? Especially with this, if
3 somebody's immunosuppressed, they're off the
4 drug -- that doesn't mean that immune
5 surveillance is automatically normal. At least
6 somebody can go back, the company or whoever,
7 and check on all the patients who've been on the
8 drug.

9 And the mandatory registry would
10 not be as cumbersome as Accutane, because it
11 doesn't involve getting the drug within six
12 days of a menstrual period and things of that
13 sort. So I would strongly recommend that as
14 being the ultimate vigor (?) for this for
15 this very effective medication.

16 DR. HECKBERT: Since there seemed to
17 be a little confusion about the epidemiologic
18 study -- I think I know what the sponsor has in
19 mind there using the Scandinavian countries.
20 Those data are collected anyway. There's no
21 extra work. Obviously there are costs involved
22 in analyzing the data, but the data are there.

1 So the study would be compare
2 psoriasis patients who don't use this
3 particular biologic agent, who use a
4 different biologic agent, and who use this
5 agent, and compare for end points that are
6 well-captured in automated data like cancer.
7 You wouldn't be able to look at subtle
8 questions such as restarting the drug after a
9 year off. Those kinds of things, you
10 wouldn't be able to look at, but some of the
11 major endpoints that are well-captured by
12 diagnosis codes like cancer, you would be
13 able to look at.

14 I think my concern with the
15 epidemiologic study, which I assume we should
16 recommend because it's not very expensive,
17 it's easy to do, the data are already being
18 collected -- I think my major concern with it
19 is that this drug will not be used by enough
20 people in the Scandinavian countries to study
21 it independently of any other biologic drug.

22 DR. STERN: Michael. I agree with

1 Susan that it shouldn't really be which
2 ones -- it shouldn't be which ones don't we do.
3 It should be what are the requirements, starting
4 with the most rigorous ones. And that's clearly
5 the issue. The other issues about some of the
6 studies you've suggested are both
7 generalizability, and as you've suggested,
8 power.

9 But I think there are few key
10 endpoints that there are ways of answering,
11 much as Susan has suggested earlier, which
12 involve registration of patients and a
13 variety of mechanisms of follow-up for key
14 endpoints, particularly cancer and death.
15 And as you've suggested, in certain areas, we
16 can get cancer. In the U.S., with about an
17 18-month delay, we can get death if we have
18 that basic information. And if there is some
19 monitoring, as there will be, of exposure,
20 we'll have that exposure.

21 Now, there will be confounding
22 because of other exposures and other

1 background, and you can decide how far to go,
2 but the point is that without registering
3 patients, restricting distribution, you'll
4 never get a robust answer to the key question
5 of cancer and death.

6 And the other part I would say,
7 which will probably be very unpopular, is
8 that I can't believe that this will be an
9 inexpensive agent. If they're going to sell
10 it for less than \$2,500 an injection, I would
11 be surprised and amazed. People who use this
12 drug are using a fair amount of social
13 resources directly or indirectly. And when
14 people do that, I believe they have an
15 obligation, if there's no additional danger
16 to them, to help us learn from their
17 experience.

18 So I don't see it as a burden on
19 individuals who are using a real resource of
20 society. We could not afford to put a
21 million people on this drug and not bankrupt
22 the health care system -- for them to, as

1 part of their consent process, to agree to
2 share certain aspects, certain very discreet
3 aspects of their long-term experience with
4 respect to cancer, death, and perhaps a few
5 other endpoints of interest.

6 DR. BIGBY: I think from this point
7 on, we'll go on the record and this is like
8 your final comment about this issue.

9 DR. MAJUMDER: This is Mary Majumder,
10 and I was just going to say what I heard from
11 the patients is that this is a genetic disease.
12 It doesn't just affect them, it affects their
13 family. So they have a real stake not only
14 personally, but for their families, in finding
15 out what exactly the risk might be from this
16 kind of drug.

17 So I don't necessarily see it as a
18 conflict between individual sort of
19 self-interest and the public interest. I
20 think there's probably a fair amount of
21 support for getting the information, and the
22 question is just how best to do that.

1 DR. BIGBY: Michael Bigby. I think
2 that the five-year extension of the pivotal
3 trials that are currently ongoing should
4 provide useful information. Ultimately, the
5 number of patients enrolled in these trials
6 is not going to be sufficient to answer the
7 question about malignancies. It might pick
8 up a signal for infection. I think -- as I
9 said before, I don't think PSOLAR is the
10 solution to this issue. I think the
11 epidemiologic studies using extant databases
12 should be performed. However, their power, I
13 think, is questionable.

14 I really don't want to burden them
15 with mandatory registration, just because
16 it's sort of so different than a current
17 playing field. And I don't really have a
18 suggested solution to the Agency for the
19 problem of how it is that we're going to
20 collect this data.

21 You know, spontaneous reporting,
22 unless there is a fairly big signal, I think

1 spontaneous reporting we've all found
2 underwhelming.

3 DR. THIERS: Bruce Thiers. I think
4 when we think about mandatory registry, we think
5 about I Pledge, but it doesn't have to be that
6 way. If anybody here has ever prescribed
7 thalidomide, it's really a piece of cake. So a
8 mandatory registry could be made informative and
9 easy to use, and could be the easiest solution
10 of any of the other choices listed.

11 DR. LEVIN: And I'll second that.
12 Arthur Levin will second that.

13 DR. CRAWFORD: Stephanie Crawford. My
14 recommendation is there should be some type of
15 commitment to negotiate a post-marketing
16 surveillance study of some type, be it
17 epidemiological or else-wise. I think strongly
18 that the use of the registries can be enhanced.
19 These registries should -- I really can't
20 comment right now as to whether I think
21 mandatory versus voluntary is preferable. But
22 regardless, any registries used should pull in

1 data from a variety of sources, should plan for
2 more active surveillance.

3 I'm a bit concerned from some of
4 the comments I made before just to call to
5 say it's coming and you need to get another
6 dose. At a certain point, if it's an
7 office-based administration, that more active
8 surveillance should be worked out in
9 consideration of how it would work for
10 physicians. If it's self-administration,
11 there needs to be a better delineation of how
12 the safety and the efficacy will be monitored
13 in the long-term.

14 DR. DRAKE: Do you really want a
15 comment?

16 DR. BIGBY: I do.

17 DR. DRAKE: Well, this is Lynn Drake.
18 I think I'm fresh out of comments, except I
19 would tell you that I really don't like anything
20 mandatory, but Bruce just made a persuasive
21 argument as to why this should be. I think we
22 have to figure out some way to monitor, because

1 it's been such a problem.

2 DR. HECKBERT: Susan Heckbert. I
3 think the five-year extension at the pivotal
4 trials is critical and should be done. I'm not
5 sure that the PSOLAR approach is going to give
6 us much, but there may be some limited questions
7 that might be addressable there. The
8 epidemiologic study should be done, because as
9 was indicated earlier, it's already -- the data
10 are already being collected.

11 I am in favor of mandatory registry
12 and restricted distribution. I'm not sure
13 what the disease-based registry refers to,
14 but if it's a voluntary registry, I don't
15 think it has much to add.

16 DR. RINGEL: I'm Eileen Ringel. I do
17 feel this drug is different from other
18 biologicals. It's extremely effective. It's
19 going to be used a lot. It has an
20 extraordinarily long half-life, which really is
21 very different. There are animal signals for
22 cancer which is different from other drugs. I

1 think that the only way we are going to get
2 around problems of bias in recruitment and the
3 problem of the denominator, which we always have
4 when we're looking at AERS data and what not, is
5 to have a mandatory registry which can be very
6 simple, a la what Bruce recommended.

7 DR. SHWAYDER: Tor Shwayder. I agree
8 with a mandatory registry. They have to put
9 some sort of caveat on long-term surveillance
10 off drug, what Dr. Stern was talking about
11 earlier. I don't know how you would institute
12 that. It might not be practical, but that would
13 be the data you really would want to know, and
14 certainly the five-year extension would be an
15 easy first step, and that would be wonderful
16 data to have as well.

17 DR. BIGBY: I am going to end the
18 meeting.

19 I apologize to my committee for
20 running over, but I think that the issues are
21 sufficiently important to have warranted it,
22 and I hope what we did is helpful to the

1 Agency.

2 DR. WALKER: I'd like to thank the
3 committee very much for their comments today.

4 It's been extremely helpful.

5 Thank you.

6 (Whereupon, at approximately 5:36
7 p.m., the MEETING was adjourned.)

8 * * * * *

9

10

11

12

13

14

15

16

17

18

19

20

21

22