

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + #357* 6 DEC 11 12:16
FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL

PRODUCTS ADVISORY COMMITTEE

+ + + + +

OPEN SESSION

+ + + + +

THURSDAY, NOVEMBER 16, 2006

+ + + + +

OPEN

The conference convened in the Versailles Room of the Holiday Inn Select, 8120 Wisconsin Avenue, Bethesda, Maryland, at 1:07 pm., Dr. Ruth A. Karron, Chairperson, presiding.

COMMITTEE MEMBERS PRESENT:

- | | |
|--|-------------|
| RUTH A. KARRON, M.D. | Chairperson |
| SETH HETHERINGTON, M.D. | Member |
| LISA JACKSON, M.D., M.P.H. | Member |
| PHILIP S. LaRUSSA, M.D. | Member |
| JOHN MODLIN, M.D. | Member |
| CINDY LYN PROVINCE, R.N., M.S.N., M.A. | Member |

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

2006-4251+1

COMMITTEE MEMBERS PRESENT (Continued):

WALTER ROYAL, III, M.D.	Member
JACK STAPLETON, M.D.	Member
BONNIE M. WORD, M.D.	Member

FDA STAFF PRESENT:

CHRISTINE WALSH, R.N., Executive Secretary

CONSULTANTS PRESENT:

JAMES COOK, M.D.
SETH HETHERINGTON, M.D.
PAMELA McINNES, D.D.S.
ROBIN ROBINSON, Ph.D.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C O N T E N T S

	<u>PAGE</u>
Introductions	4
Conflict of Interest Statement	9
Presentation of:	
Laboratory of Bacterial Toxins, Dr. Willie Vann, FDA	12
Laboratory of Viral Borne Virus Diseases, Dr. Lewis Markoff, FDA	19
Laboratory of Hepatitis Viruses, Dr. Stephen Feinstone, FDA	34
Laboratory of Respiratory Viral Diseases, Dr. Jerry Weir, FDA	54
Public Comment	67

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

P R O C E E D I N G S

(1:07 p.m.)

1
2
3 MS. WALSH: I guess we can actually get
4 started maybe and hopefully people will join us, the
5 other few people that we're missing in just a few
6 minutes.

7 Dr. Karron, do you want to call the
8 meeting to order?

9 DR. KARRON: Yes, I would. Our meeting is
10 about to begin, and, Christine, I will turn the
11 meeting over to you at this point.

12 MS. WALSH: Thank you.

13 Good afternoon. I'm Christine Walsh, the
14 Executive Secretary for today's teleconference meeting
15 of the Vaccines and Related Biological Products
16 Advisory Committee meeting.

17 I would like to welcome all of you to this
18 meeting of the Advisory Committee. There is a speaker
19 phone for public participation located here in
20 Conference Room C of Building 29B on the NIH campus.

21 This afternoon's teleconference meeting
22 will consist of sessions dealing with presentations
23 and committee discussions that are both open and
24 closed to the public as described in the *Federal*
25 *Register* notice of October 31st, 2006.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 At this time, I would like to introduce
2 the committee members and ask that they acknowledge by
3 saying "present" if they can hear me.

4 The committee Chair, Dr. Ruth Karron,
5 Professor, Johns Hopkins School of Hygiene and Public
6 Health.

7 CHAIRPERSON KARRON: Present.

8 MS. WALSH: Dr. Philip LaRussa, Professor
9 of Clinical Pediatrics, Columbia University.

10 DR. LaRUSSA: Present.

11 MS. WALSH: Ms. Cindy Province, Associate
12 Director, Bioethics Center of St. Louis.

13 MS. PROVINCE: Present.

14 MS. WALSH: Dr. Walter Royal, Associate
15 Professor, Department of Neurology, University of
16 Maryland, School of Medicine.

17 (No verbal response)

18 MS. WALSH: Dr. Bonnie Word, Assistant
19 Professor of Pediatrics, Baylor College of Medicine.

20 DR. WORD: Present.

21 MS. WALSH: Dr. Seth Hetherington,
22 industry representative, Senior Vice President,
23 Clinical and Regulatory Affairs, Icagen, Incorporated.

24 DR. HETHERINGTON: Present.

25 MS. WALSH: Dr. John Modlin, Professor of

NEAL R. GROSS

— COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Pediatrics, Dartmouth-Hitchcock's Medical Center.

2 (No verbal response.)

3 MS. WALSH: Dr. Lisa Jackson, senior
4 scientific investigator, Group Health Cooperatives,
5 Seattle, Washington.

6 (No verbal response.)

7 MS. WALSH: Dr. Jack Stapleton, Professor
8 and Director, University of Iowa Hospital Clinic.

9 DR. STAPLETON: Present.

10 MS. WALSH: Thank you, and welcome Dr.
11 Stapleton to the VRBPAC Committee

12 DR. STAPLETON: Thank you.

13 I am Director of the Division of
14 Infectious Diseases, but not the hospital.

15 MS. WALSH: Okay. Thank you.

16 Dr. Monica Farley and Dr. Steven Self will
17 be unable to join us today.

18 I would like to thank all of the committee
19 members for taking time to join us, and at this time
20 I would ask those seated around the table here in
21 Conference Room C if they would please introduce
22 themselves.

23 DR. BAYLOR: Norman Baylor, Director of
24 Office of Vaccines.

25 DR. WALKER: Dick Walker, Director,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Division of Bacterial, Parasitic, and Allergenic
2 Products.

3 DR. VANN: Willie Vann, Chief of the
4 Laboratory of Bacterial Polysaccharides.

5 DR. FEINSTONE: Steve Feinstone, Chief of
6 the Laboratory of Hepatitis Viruses.

7 DR. MARKOFF: Lew Markoff, Chief of the
8 Laboratory of Vector Borne Virus Diseases.

9 DR. BRENNAN: Mike Brennan, Associate
10 Director of Research, Office of Vaccines.

11 DR. WEIR: Jerry Weir, the Director of the
12 Division of Viral Products.

13 MS. WALSH: Thank you, everyone.

14 Two people joined us. Can you just tell
15 me -- this is Christine -- who joined us?

16 DR. MODLIN: Hi, Christine. This is John
17 Modlin here.

18 MS. WALSH: Okay. Thank you.

19 DR. MODLIN: Sorry to be late.

20 DR. JACKSON: Lisa Jackson. Also sorry to
21 be late.

22 MS. WALSH: Okay. That's okay. Thank
23 you. Dr. Modlin and Dr. Jackson, welcome to the
24 VRBPAC Committee.

25 DR. MODLIN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. JACKSON: Thank you.

2 DR. CARBONE: And, Christine, you didn't
3 read my name, but I'm on the phone also. It's Kathy
4 Carbone, the Associate Director of Research for CBER.

5 MS. WALSH: Okay. Thank you. I was just
6 going to do that.

7 I ask that all our committee members
8 please identify themselves each time they speak
9 because we have a transcriber present who will need
10 your assistance in order to accurately transcribe all
11 comments to the appropriate committee member.

12 I also ask that our committee members do
13 not use cellular phones since they may add extra
14 unnecessary background noise to the line.

15 Should during the teleconference a source
16 of noise occur in your office, we would appreciate it
17 if you would use the mute button on your phone if you
18 have that option. We ask that you do not place us on
19 hold since many clinical centers have background
20 music, and that can be distracting to those remaining
21 on the teleconference line.

22 I would now like to read into the public
23 record the conflict of interest statement for this
24 meeting.

25 The Food and Drug Administration, FDA, is

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 convening today's meeting of the Vaccines and Related
2 Biological Products Advisory Committee under the
3 authority of the Federal Advisory Committee Act, FACA,
4 of 1972. All members of the committee are special
5 government employees, SGEs, or regular federal
6 employees from other agencies and are subject to the
7 federal conflict of interest laws and regulations.

8 The following information on the status of
9 this Advisory Committee's compliance with federal
10 conflict of interest laws, including but, not limited
11 to, 18 USC 208 and 21 USC 355(n)(4), is being provided
12 to participants in today's meeting and to the public.
13 FDA has determined that members of this Advisory
14 Committee are in compliance with federal ethics and
15 conflict of interest laws, including, but not limited
16 to, 18 USC Section 208 and 21 USC Section 355(n)(4).

17 Under 18 USC 208, applicable to all
18 government agencies, and 21 USC 355(n)(4), applicable
19 to certain FDA committees, Congress had authorized FDA
20 to grant waivers to special government employees who
21 have financial conflicts, when it is determined that
22 the agency's need for a particular individual's
23 services outweighs his or her potential financial
24 conflict of interest, Section 208, and where
25 participation is necessary to afford essential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 expertise, Section 355.

2 Today's agenda includes a review and
3 discussion of the intramural research programs of the
4 Laboratory of Bacterial Toxins, Division of Bacterial,
5 Parasitic, and Allergenic Products. The committee
6 will also review and discuss the intramural research
7 programs of the Laboratory of Vector Borne Virus
8 Diseases, the Laboratory of Hepatitis Viruses, and the
9 Laboratory of Respiratory Viral Diseases, Division of
10 Viral Products.

11 Based on the agenda, it has been
12 determined that the committee discussion presents no
13 actual or appearance of a conflict of interest for
14 today's meeting. This conflict of interest statement
15 will be available for review at the registration
16 table.

17 We would like to remind members that if
18 the discussions involve any other products or firms
19 not already on the agenda for which an FDA participant
20 has a personal or imputed financial interest, the
21 participants need to exclude themselves from such
22 involvement, and their exclusion will be noted for the
23 record.

24 FDA encourages all other participants to
25 advise the committee of any financial relationships

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that you have with firms that could be affected by the
2 committee discussion.

3 That ends the conflict of interest
4 statement. Dr. Karron, I turn the meeting over to
5 you, but before I do that, can you just -- this is
6 Christine. Can you please tell me who just joined us?

7 DR. ROYAL: Hi. This is Walter Royal.

8 DR. JACKSON: Thank you, Dr. Royal.

9 CHAIRPERSON KARRON: Thank you, Christine.

10 I want to welcome all of the members to
11 this meeting, including our new members, Drs. Modlin,
12 Jackson, and Stapleton.

13 As Christine just pointed out, this
14 meeting is convened to review four laboratories in the
15 FDA CBER program. At this point we will go ahead and
16 start with the first speaker who will be Dr. Willie
17 Vann from the Laboratory of Bacterial Toxins.

18 Dr. Vann.

19 DR. VANN: Yes. I will give a brief
20 overview of the research program of the Laboratory of
21 Bacterial Toxins, which was reviewed in December 6 of
22 2005 for the period of 2002 to 2005.

23 The Laboratory of Bacterial Toxins
24 consisted of three groups headed by three PIs. The
25 Neurotoxins Group, the principal investigator is Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 James Keller. Glycobiology Group is myself, and the
2 Corynebacteria Group is Michael Schmitt.

3 At the time of the site visit, the
4 laboratory consisted of ten members, which you can see
5 on Slide No. 3.

6 And on Slide No. 4, there's a breakout of
7 the organization of where those members work. The
8 Neurotoxins Group consisted of Dr. Keller, the PI, and
9 his technician, Provina Moto.

10 Corynebacteria at the time was Dr. Michael
11 Schmitt. He had a post doctoral fellow who is still
12 with him, Lorri Bibb, and a technician, Carrie Kunkle,
13 whom at the time of the site visit had just delivered.
14 So he has only recently replaced that technician a few
15 weeks ago.

16 In my group there was Justine Vionet and
17 Dwight Peterson, who were technicians; Katarina
18 Anderisheva, who is sitting on the ground, the blond
19 lady who is a post doctoral fellow and a graduate
20 student of Fritzie Jenahara, who has since this time,
21 has left.

22 Okay. The Laboratory of Bacterial Toxins
23 has responsibility for submissions that were related
24 to toxins and toxoid conjugate vaccines. Submissions
25 that we're responsible for review of IND and BLA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 submissions. We participated in IND meetings and
2 numerous technical meetings over the years.

3 During the review period we had in excess
4 of 200 IND supplements and 15 original IND
5 submissions, two major BLAs, and 96 BLA supplements.
6 We were a chair of at least almost 90 of them.

7 We also participated in the preparation of
8 policy documents. Particularly Dr. Michael Schmitt
9 was on a committee to develop guidance for production
10 of products using spore forming organisms.

11 Dr. Keller and I participated in a policy
12 group developing licensing strategies for vaccines to
13 protect against botulinum toxin intoxication. This is
14 part of our counterterrorism program.

15 We also participated in expert CBER and
16 international workshops and regulatory presentations.
17 Dr. Keller was a very important member of a committee
18 organized by the National Institute of Health, NIAID,
19 on botulinum neurotoxin and counterterrorism, and I
20 participated in a workshop with ECVAM for developing
21 replacement tests for animal tests.

22 As you can see from the list of
23 collaborators, our work is actually fairly well
24 recognized, and we are part of a larger scientific
25 community.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So if you go to the next slide, and now
2 the research focus. The Neurotoxins Group focuses on
3 the characterization of the mechanism of neurocele
4 intoxication by botulinum toxins. Specifically, Dr.
5 Keller is interested in uptake. I'll talk a little
6 bit more about this later.

7 The Corynebacteria Group is interested in
8 identification and characterization of putative
9 virulence determinants that are coordinately expressed
10 with iron regulated diphtheria toxin.

11 And the Glycobiology Group studies the
12 mechanism of capsule polysaccharide biosynthesis and
13 the interaction of neurotoxins with glycoconjugates.

14 Relevance of the program to the CBER
15 mission. Our research program and the mechanism of
16 neurotoxins has provided a basis for numerous
17 regulatory decisions in the past four years. For
18 example, one is developmental policy for the licensure
19 of potential vaccines against botulinum toxin, review
20 of a recent incident -- well, not so recent -- an
21 incident involving patients receiving misbranded
22 therapeutic botulinum toxin, and there's a case where
23 patients received misbranded botox and were
24 hospitalized on respirators. Dr. Keller's expertise
25 was very helpful for CBER in dealing with that issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As a natural consequence of our executing
2 the research program, the Laboratory of Bacterial
3 Toxins has given us extensive experience in molecular
4 biology and biochemistry of toxins in carbohydrates.
5 This has provided us insight in the evaluation of
6 manufacturing changes on the stability and purity of
7 botulinum, diphtheria, and tetanus toxoid vaccines.
8 It is up to us to develop policy on the use of spore
9 forming bacteria in biologics.

10 And our expertise was instrumental in
11 another case, in the analysis of a misbranded tetanus
12 toxoid vaccine that subsequently resulted in a
13 prosecution in 2003.

14 The long term objectives of the research
15 program are to uncover new targets for vaccine
16 development and to develop new approaches to vaccine
17 synthesis and new methods for the evaluation of safety
18 and potency.

19 So what are some of the accomplishments?
20 I've given on the next three slides some select
21 publications from each of the groups, and I will
22 highlight one of them from each just to give you an
23 example of the quality of work that is done by the
24 groups.

25 Dr. Keller is a very bright and innovative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 scientist. In the first publication, one of the
2 things that comes out there is that he's developed a
3 very sensitive, nonlethal assay for botulinum toxin,
4 and he has used this to characterize the response of
5 various animals and recovery from intoxication of the
6 various serotypes of the neurotoxin.

7 Briefly what he does is he has mice
8 running in a cage, and he measures their voluntary
9 running and the paralysis of these organisms, and then
10 he can look at the rate of recovery and a number of
11 other things.

12 The sensitivity of this assay is on the
13 order of ten picograms of botulinum neurotoxin Type A,
14 which is about .1 mouse LD-50s, which is more
15 sensitive than the current mouse assay.

16 And one of the other things that he does
17 that I was going through is that he's really
18 interested in the uptake of various serotypes of
19 botulinum neurotoxins, and he has developed assays to
20 develop models for how the organism takes these and
21 explains some of the differences between the
22 neurotoxins.

23 Dr. Schmitt is interested in iron uptake
24 and iron utilization by Corynebacteria. Iron is
25 actually very important for virulence, and the thing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about this is he's looking at uptake mechanisms that
2 are coordinately regulated with the expression of the
3 toxin, and the toxin is actually regulated by iron.

4 He has developed a very novel assay for
5 looking for other regulated systems. One of the
6 things he has identified, which is in the second paper
7 here of Schmitt and Kunkle, is a center of four
8 system that he identified as a result of using this
9 assay system and the recently published genome
10 sequence of Corynebacteria. So he has characterized
11 the biosynthetic system for this center of four and
12 how it is actually regulated and expressed.

13 And our group is interested in
14 polysaccharide biosynthesis and also toxin interaction
15 with carbohydrates. The last paper here, what we've
16 done is actually used radiation target analysis to
17 define the molecular organization of this
18 polysialyltransferase. Polysialyltransferase is the
19 enzyme that actually polymerizes the sugar to form a
20 polysaccharide.

21 And that concludes my comment.

22 CHAIRPERSON KARRON: Thank you very much,
23 Dr. Vann.

24 I do have one question for you, which is
25 I know that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. VANN: Who is asking?

2 CHAIRPERSON KARRON: I'm sorry. This is
3 Dr. Karron.

4 DR. VANN: Okay.

5 CHAIRPERSON KARRON: I know that when we
6 reviewed the laboratory last year, I know that the
7 plan at that time was actually to dissolve the
8 Laboratory of Bacterial Toxins, and that you would
9 become the new Chief of the Laboratory of Bacterial
10 Polysaccharides, and Dr. Schmitt and Keller would move
11 over to the Laboratory of Respiratory and Special
12 Pathogens.

13 Has that occurred? Is that what has
14 happened?

15 DR. VANN: In fact, that has occurred.
16 I'm now Chief of the Laboratory of Bacterial
17 Polysaccharides, and Dr. Schmitt and Dr. Keller are
18 now members of the Laboratory of Respiratory and
19 Special Pathogens. I must say they continue to do
20 fine work in spite of limited resources.

21 CHAIRPERSON KARRON: Thank you very much.

22 Are there additional questions for Dr.
23 Vann from the committee members?

24 Okay. Hearing none, I think we will move
25 on to our next speaker, who is Dr. Lewis Markoff, who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is Chief of the Laboratory of Vector Borne Virus
2 Diseases.

3 Dr. Markoff.

4 DR. MARKOFF: Thank you, Dr. Karron.

5 I'm just going to go over these slides
6 which I made for the occasion thinking that we'd be
7 showing slides.

8 The first slide shows the organization of
9 the Laboratory of Vector Borne Virus Diseases. I am
10 the only PI or principal investigator in the lab, and
11 I directly supervise Dr. Barry Falgout, who is a staff
12 scientist who has been with me for more than a decade,
13 and Dr. Li Yu, who is a newly minted staff fellow in
14 the laboratory.

15 And as you can see, Dr. Falgout supervises
16 Dr. Tadahisa Teramoto, who is a post doctoral fellow
17 under ERDA fellowship, and Dr. Eileen Kelly, who is a
18 visiting scientist from Walter Reed Army Institute for
19 research.

20 And there's sort of an advice and consent
21 relationship between myself and Dr. Falgout on the
22 research that he and these two individuals are doing,
23 and I directly supervise Ms. Stephanie Polo, who is a
24 microbiologist in the lab, along a long term person.

25 And in this diagram Jin Gao, who was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 microbiologist in the lab, also being supported by an
2 ERDA fellowship. And our lab was reviewed. We had a
3 site visit on January 2006, at which time Ms. Gao was
4 a member of our lab, but she has since been offered a
5 permanent position in CBER in Division of Viral
6 Products, and understandably she took that job. So
7 she's no longer with us. We're currently looking for
8 a replacement for her.

9 And on the next page, Slide 2, is the
10 personnel history of the lab from the site visit in
11 2001 to that which occurred in January of 2006, and
12 just quickly you can see that myself, Dr. Falgout and
13 Stephanie Polo and Eileen Kelly are the constants that
14 have been there. We're in the lab for the whole five-
15 year period, and this document is the coming and
16 going.

17 In essence, in the five-year period we
18 lost two FTE and gained back Dr. Yu, who is a staff
19 fellow, who is an FTE, but is not a permanent, which
20 is not a permanent position yet.

21 One of the purposes of the site visit in
22 January 2006 was to review the qualifications of Dr.
23 Yu for conversion to a permanent staff. Then he would
24 be a staff scientist if he were converted.

25 The mission statement for our lab is there

1 in front of you on the next slide. We view our
2 mission as the conduct of state of the art review of
3 INDs and BLAs through our parallel pursuit of research
4 goals that remain relevant to the regulatory mission
5 of CBER and which enable us to provide insight into
6 scientific issues that relate to the review process.

7 On the next page, I've summarized the
8 regulatory responsibilities of the laboratory, and
9 first of all, we review all IND submissions related to
10 vaccines to prevent illnesses caused by vector borne
11 viruses, and this includes the Flavi viruses, dengue,
12 West Nile, Japanese encephalitis, and Yellow Fever.
13 VEE, which is an alpha virus, and any other alpha
14 virus related products, for example, recently I got
15 embroiled in a discussion of chikungunya vaccine for
16 emergency use in Reunion where they were having an
17 epidemic through the French government, Hunta viruses,
18 et cetera.

19 We also represent CBER on issues related
20 to these vaccines in collaborative efforts with WHO
21 and CDC. The WHO has a very active steering committee
22 to promote the development of dengue and novel
23 Japanese encephalitis virus vaccines which meets at
24 least twice a year.

25 I just came back from a two-day meeting in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Atlanta on this subject.

2 We also reviewed BLAs and BLA supplements
3 related to these vaccines, and we all have so little
4 outside that category. We have other
5 responsibilities. For example, Barry Falgout in the
6 lab reviews, is a consultant on adenovirus vectored
7 vaccines because Dr. Falgout did his Ph.D. thesis in
8 adenoviruses, and he's one of the few people within
9 DDP that has that expertise

10 I have been heavily involved in Hepatitis
11 A vaccine reviews, and currently I'm still reviewing
12 anything relating to Twinrix, the bivalent Hepatitis
13 A and Hepatitis B vaccine.

14 And I and Robert Levis, who has left the
15 lab since 2001, and Jin Gao were involved most
16 recently in development of a rabies, *in vitro* potency
17 assay for rabies virus vaccines, and that's still an
18 ongoing project largely because it's an international
19 effort, and there's been lots of bureaucratic delays
20 in getting that done. But I hope to see that done
21 very shortly.

22 And here on the next slide I've summarized
23 our work load for the last five years, and I think
24 it's just easier to look at the red print. So we've
25 done a total of 28 pre-IND reviews. Those are reviews

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where you get a big folder of what a company wants to
2 do, and usually they are followed by a meeting with a
3 company and some advice goes back and forth. There's
4 a direct interaction.

5 We've got 49 total INDs that we review of
6 which in the last five years, there were 16 new
7 originally INDs that initiated within the last five
8 years.

9 There's a lot of activity, I should say,
10 in the development of novel flavivirus vaccines as
11 many of you may already know.

12 This IND amendments, there were a total of
13 448 reviewed by the four individuals on the list
14 there, and the BLA that we reviewed or participated in
15 the review of 29 BLA supplements, and eight of those
16 supplements were chaired by myself or Dr. Falgout.

17 Research activities are indicated on the
18 next slide, and I'll just read what I wrote. A
19 genuine effort is made to maintain a high quality
20 research effort that is consonant with the mission of
21 the laboratory and the nature of its regulatory
22 responsibilities. Thus, the research effort generally
23 makes use of the tools of molecular biology in order
24 to elucidate mechanisms of flavivirus replication as
25 they may relate to strategies for attenuation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 flavivirus virulence and therefore, enhance vaccine
2 safety.

3 The lessons that we learn in the lab are
4 applied in the review of novel vaccines, and as some
5 of you may know, we are reviewing a large number of
6 live virus vaccines that were attenuated by site
7 directed mutagenesis of the flavivirus genome in one
8 or another of a variety of ways.

9 So here on the next slide, research
10 projects, 2001 to 2005. I've basically listed some of
11 the major research projects, and in red are the three
12 projects that I'll discuss in a little bit of detail.

13 So I'll just read these off as a list. We
14 developed and characterized a candidate, live
15 attenuated dengue vaccine candidate, ourselves that's
16 called Mutant F virus. The candidate we did the most
17 work on is Dengue 1 Mutant F. As you may know there
18 are four serotypes of dengue viruses, and everybody
19 agrees that the first dengue vaccine will have to
20 consist of a tetravalent mixture of all four
21 serotypes.

22 We have shown that when we introduce the
23 mutations, characteristics of Mutant F into dengue 2,
24 3 and 4 genomes, the viruses have the same tissue
25 culture phenotype. Only dengue 1 Mutant F has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 tested in preclinical studies in monkeys and has
2 proved to be attenuated.

3 Dr. Yu primarily was involved in
4 developing and characterization of a candidate live
5 attenuated West Nile virus vaccine, which we call
6 Mutant E virus. I'm very hung up on alphabetical
7 designations, Mutant E West Nile. Dr. Falgout and Dr.
8 Teramoto were majorly involved in the study of the
9 mechanism by which flaviviruses can repair three prime
10 terminal deletions of genomic RNA. This is a natural
11 phenomenon that Dr. Falgout discovered serendipitously
12 and that we went to work to find out the mechanism for
13 this repair, and I'll talk more about that.

14 And then I've been involved in the study
15 of the role of the conserved basic residue and the
16 capsid protein in virion morphogenesis, and I'll just
17 let you read the last two slides so that will save
18 time. The last time projects at the bottom.

19 I had mentioned the rabies potency assay.

20 Okay. So I'll talk a little bit in detail
21 on Dr. Yu's project, which ended up in development of
22 a candidate West Nile vaccine.

23 This was tied to a previous study that I
24 had done in the late 90s on the role of the conserve
25 three prime stent and loop structure in the flavivirus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 genome in virus replication.

2 And so when Dr. Yu came to the lab, he
3 embarked on a study of the three prime SL in the West
4 Nile genome, and the idea of this was to use the two
5 studies to get some information on the role of the
6 three prime SL in flavivirus replication, and when we
7 added the results of Dr. Yu's study to that of the
8 dengue study that was done earlier, we showed that
9 there are bulges in the long stem. There's a, I
10 should say, conserved long stem and loop structure at
11 the three prime terminus of all flavivirus genomes,
12 and the results of Dr. Yu's study indicated that the
13 loci of small bulges in that long stem, or in other
14 words, where there is no base pairing, was critical to
15 virus replication.

16 That led to a publication in the *Journal*
17 *of Virology* in 2005. After that Dr. Yu took several
18 of the viable mutant viruses that he had made. These
19 are West Nile viruses now that have mutations in this
20 three prime stem and loop structure, and we tested
21 them all of their level of attenuation,
22 neuroattenuation in mice, and West Nile virus was
23 identified as a candidate vaccine, and that virus is
24 currently in the NIH patent process.

25 This next slide just shows you briefly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what the mutation is in the three prime SL of the West
2 Nile genome. So what you're looking at is just the
3 three prime SL nucleotide sequence. The whole genomes
4 is over 10,000 nucleotides long. So this is at the
5 very end of the genome, and what Dr. Yu did was simply
6 transfer a set of bulges in the dengue three prime
7 stem and loop structure, which substituted for a bulge
8 in the West Nile three prime stem and loop structure.
9 That virus was viable.

10 He made many other mutations, but this is
11 the one that turned out to be a candidate vaccine. If
12 you go to the next slide, you can see that that virus
13 -- you see the pink Xes across the top. That's the
14 Mutant E after intracerebral inoculation of two day
15 old mice.

16 All of these other mutants that Dr. Yu
17 tested and wild type West Nile virus, all kill, had a
18 50 percent lethal dose around ten or 100 PFU, but at
19 10,000 PFU, the Mutant D virus didn't kill any mice.

20 We have since learned that at around
21 100,000 PFU we get about a 50 percent, is the LD-50 of
22 that virus in mice. We're currently introducing more
23 mutations into that genome to try to attenuate it
24 further.

25 On the project on the capsid protein, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 flavivirus capsid protein is about 100 amino acids in
2 length. It's very hydrophilic because it has to
3 associate with RNA in the nucleus of the virus and the
4 nucleocapsid of the virus.

5 It contains a conserved internal
6 hydrophobic domain. That hydrophobic domain has a
7 single positively charged amino acid, and that's
8 conserved in all mosquito borne flavivirus capsid
9 proteins, except that of YF, Yellow Fever, virus.

10 And this is called Arginine 55 in the
11 dengue 2 capsid. So what Jin Gao did was mutagenize
12 that Arginine 55 and we evaluated the infectiousness
13 of RNAs bearing those mutations in both monkey cells
14 and mosquito cells.

15 On the next page is just a diagram of a
16 picture, acquire CyroEM, cross-sectional photo of a
17 dengue 2 genome, and from a publication, and you can
18 see the nucleocapsid is all inside, is completely
19 inside. The virus was actually a three nanometer
20 space between the nucleocapsid and the lipid envelope,
21 and so it's not obvious how mutations in the capsid
22 protein, which capsid is entirely in the nucleocapsid,
23 could affect functions of attachment.

24 But in our analysis of the phenotypes of
25 the mutants, which is summarized on the next slide,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you can see that thee of the mutations that Jin Gao
2 made, substituting that arginine with asmet or
3 leucine, had a host range phenotype whereby the
4 resultant virus particles were very defective for
5 detachment, entry into the endosome or uncoating. We
6 don't know which at this point in monkey cells, but
7 we're actually able to infect mosquito cells.

8 So this shows that a mutation of the
9 capsid could somehow perturb functions of the envelope
10 glycoprotein, which is actually the major protein
11 involved in virus attachment.

12 And we're studying this further. I guess
13 there's not really time to go into more detail on
14 that.

15 And then the next project I wanted to
16 highlight was one which had been the work of Dr.
17 Teramoto and Dr. Falgout, and as I mentioned, the
18 preliminary observation was that Dr. Falgout prepared
19 an infectious DNA for Japanese encephalitis virus,
20 that inadvertently lacked the three prime terminal
21 seven nucleotides of the West Nile genome, or of the
22 JE genome, which both Barry and I expected to be a
23 lethal event.

24 However, RNA transcripts generated from
25 that DNA were shown to be infectious in cells, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 when we looked at the three prime terminal nucleotide
2 sequence of the RNA in replicating virus, the wild
3 type sequence was restored.

4 So the question arose what is the activity
5 that is preventing this to happen because there was no
6 known activity of the virus coded RNA dependent, RNA
7 polymerase that could account for this.

8 So we studied this question further in the
9 context of our dengue 2 infectious DNA because of the
10 safety aspects of working with Japanese encephalitis
11 virus infectious DNA.

12 And basically Dr. Teramoto introduced a
13 series of deletions with the three prime terminus of
14 the dengue 2 infectious DNA of up to 22 nucleotides.
15 Transcripts were generated and used to transfect LOCM-
16 2 cells which are monkey kidney cells, and Dr.
17 Teramoto looked for virus replication for up to 100
18 days post transfection. I should say post
19 transfection, not PI, and the genomes of viable
20 viruses were sequenced.

21 And we also studied this phenomenon in the
22 context of an *in vitro* assay for the RdRp activity,
23 which was all contained on the viral, nonstructural
24 protein called NS5.

25 The summary of the results were that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 essentially that deletions of up to six or seven
2 nucleotides were usually repaired, and on some
3 occasions even up to ten nucleotides could be
4 repaired.

5 So basically we concluded there does exist
6 a mechanism for three prime end repair and restoration
7 of the nucleotide sequence.

8 Some of the characteristics, this was
9 heavily studied by Dr. Teramoto and Dr. Falgout in
10 terms of looking at the genomes of viruses that arose,
11 and we concluded that there was a slow evolution of
12 mixed sequences toward the wild type length,
13 suggesting that there was a nucleotide addition
14 mutation process which selected for better replicating
15 molecules.

16 The short of it is that molecules that
17 were shorted in genome length were obviously able to
18 replicate all along the way, but the selection
19 pressure was toward making longer and longer
20 molecules.

21 So we are looking at that. We want to
22 map. We think that's a function of NS5, a heretofore
23 undescribed activity of NS5, and we were engaged in a
24 project in collaboration with Dr. Steve Whitehead's
25 group at NIH to try to map the locus of this activity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 within NS5.

2 So I'll stop there.

3 CHAIRPERSON KARRON: Okay. Thank you very
4 much, Dr. Markoff.

5 Are there questions for Dr. Markoff?

6 DR. STAPLETON: This is Jack Stapleton.

7 Do you have a hypothesis for what directs
8 the sequence's repair?

9 DR. MARKOFF: In that last project?

10 DR. STAPLETON: Yeah.

11 DR. MARKOFF: We have a hypothesis that,
12 as you may remember, those nucleotides, as I said in
13 discussing one of the earlier projects on the West
14 Nile vaccine, there's a conserved three prime stem and
15 loop structure there.

16 DR. STAPLETON: Right.

17 DR. MARKOFF: So those six, seven, or ten
18 nucleotides have complementary -- are complemented on
19 the opposite strand of that stem and loop. So our
20 major hypothesis was that the other strand is being
21 copied when the new sequences are generated.

22 And we have done some experiments to test
23 that hypothesis. They tend to confirm that
24 hypothesis, but it's far from certain. So we're going
25 to look at that further.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRPERSON KARRON: Are there questions?
2 Okay. Thank you very much, Dr. Markoff. The next
3 speaker will be Dr. Stephen Feinstone, who is Chief of
4 the Laboratory of Hepatitis Viruses.

5 Dr. Feinstone.

6 DR. FEINSTONE: Thank you, Dr. Karron.

7 The Laboratory of Hepatitis Viruses has
8 been in existence since about 1990, and within the
9 last two years, we've added a group that doesn't work
10 specifically on hepatitis viruses, and that's the team
11 led by Dr. Chintamani Atreya.

12 But the laboratory, as you can see in this
13 slide labeled "Personnel," is divided into three
14 teams. There's my group, with Kathleen Mahalick and
15 Tania Uren, who left the lab in May and has been
16 replaced by Dino Feigelstock.

17 Marian Major's team has one ORISE Fellow,
18 Dr. Wananabi, and one biologist, Francis Wells, and at
19 the time of our review in January of 2006, C.D.
20 Atreya's team consisted of himself plus a biologist,
21 Ms. Colcarne. Krishna Keith was a visiting
22 association. Dr. Chen, who is an ORISE fellow, and
23 Karen Stark, an ORISE fellow. At this time he has
24 himself plus two members of his laboratory.

25 Also listed on this page are individuals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 who have left the laboratory during the period of this
2 evaluation.

3 The regulatory activity of the Laboratory
4 of Hepatitis Viruses consists of review of all
5 submissions dealing with all the hepatitis viruses,
6 and we do receive submissions for all of the viruses
7 except I think for delta. We don't have any direct
8 submissions for delta, and there are at this time no
9 proposed delta vaccines.

10 But we do have pre-INDs, original
11 submission INDs, as well as IND amendments for
12 Hepatitis A, B, C and D. We have BLA and BLA
13 supplements for Hepatitis A and B viruses.

14 The regulatory activity, you can see on
15 the next slide that deals with the numbers of
16 submissions that we review, and as you can see, we do
17 get quite a few IND amendments primarily, but pre-INDs
18 and original submission INDs are also quite numerous
19 in all of these areas for hepatitis.

20 Dr. Atreya's group deals with rotavirus
21 vaccines and rubella virus, as well as SARS
22 applications and Norwalk virus application, and they
23 also have quite a bit of activity that's listed on the
24 next page and the following page.

25 So the BLA activity that we have is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 listed on the following page. As you can see, I've
2 reviewed 39, Marian Major 40, and so on. Most of
3 these are BLA supplements, but we do also have some
4 original BLA submissions.

5 And then finally listed are inspections
6 that our laboratory has been involved in, and as you
7 can see, Dr. Atreya has done one, Dr. Major one, and
8 Ms. Mahalick one.

9 The research program of the Hepatitis
10 laboratory, again, is divided with the three groups.
11 Dr. Atreya's group reviews, and has programs of
12 rotavirus and rubella virus and a small program on
13 SARS virus.

14 Dr. Major's team investigates Hepatitis C
15 pathogenesis and vaccine development.

16 And my team has been involved more with
17 animal models, cell culture studies, as well as
18 vaccines.

19 To summarize briefly the research activity
20 in the lab in the three teams, which is shown in much
21 more detail in your briefing books, but we've
22 extracted just a few of the highlights, Dr. Atreya's
23 team, as I mentioned before, has focused on rotavirus
24 and rubella virus activity, research, as well as a
25 small project on SARS.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In the past Dr. Atreya's group has shown
2 that rotavirus attenuation phenotype does not involve
3 mutations in the NSP4 C terminal region, and rotavirus
4 NSP5 associates with cellular actin by directly
5 binding to the actin binder aldolase B, and Dr. Atreya
6 believes that this may explain the formation of the
7 viroplasms where replication of these rotaviruses
8 occur, and he believes that this is accomplished by
9 anchoring to the cytoplasmic actin through the actin
10 alloy's B binder.

11 He has also shown that rotavirus VP4
12 translocates to cellular peroxisomes by functional
13 peroxisome targeting signal 1 and PTS-1, and using
14 inhibitory drugs, biosynthesis of intracellular lipids
15 was shown to be crucial for the mature virion
16 assembly, release, and perhaps RNA packaging.

17 In the future, he had plans to study the
18 role of cellular aldolase and actin on the NSP5
19 viroplasm formation and their contributions to RNA
20 replication and packaging, and further
21 characterization of the nature of the rotavirus
22 particles following drug treatment toward
23 understanding of the role of lipid inhibitory drugs.

24 So he has actually shown that through the
25 use of statins, he can reduce the replication of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rotavirus in cell culture by nearly two logs, and this
2 is an area of continuing investigation.

3 His rubella virus project has been carried
4 out in recent times primarily through collaborations.
5 He had previously demonstrated that the N-terminal
6 region of the capsid protein interacts with cellular
7 protein P32, which enhances viral infectivity.

8 Perturbations in the P32 level is known to
9 be associated with autoimmune disorder, such as
10 arthralgia, and it's known that the rotavirus vaccine
11 is often associated with arthralgias, and the idea was
12 to investigate this interaction with P32 as the
13 possible cause of that adverse effect of rotavirus
14 vaccination.

15 He has also demonstrated that the -- I'm
16 sorry. Rubella virus -- he has also demonstrated that
17 the rubella virus P90 interacts with the cellular
18 Citron-K kinase, CKK, and affects the host cytokines
19 in cell culture.

20 At the organismal level this event could
21 explain the teratogenic manifestations of rubella
22 virus that have been observed when patients, when
23 mothers get rubella virus infections during the first
24 trimester of pregnancy.

25 They've shown through his collaborations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that P90 can have a profound effect on cell
2 replication when it's over expressed *in vitro*.

3 In the future, he has continued plans to
4 work on rubella virus and using a rat model of *in vivo*
5 interactions with rubella virus P90 and CKK will be to
6 evaluate to test if that is responsible for the
7 associated teratogenic manifestations of rubella virus
8 infections.

9 And as he notes here, most of this work
10 has been done in collaboration with Dr. LoTurco's
11 laboratory.

12 It appears that as a side note, it appears
13 that it's very likely that Dr. Atreya's group will be
14 moving again from the Division of Viral Products into
15 the Office of Blood Research and Review where he is
16 likely to take a new position.

17 Dr. Major's program has been very
18 extensive over the past several years, and she has
19 made extensive studies of pathogenesis, of Hepatitis
20 C virus in the chimpanzee model. She has studied
21 viral evolution in the Chimpanzee model using a
22 monoclonal virus, essentially a monoclonal virus that
23 was derived from the infectious CDNA clone of
24 Hepatitis C virus that we developed in collaboration
25 with Charlie Rice's laboratory at Rockefeller

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 University.

2 And so using this virus, we can inoculate
3 chimpanzees with a virus that does not -- with an
4 inoculum that does not contain the full array of
5 quasi-species that one normally finds in the typical
6 clinical sample that would be used to infect
7 chimpanzees. So it's a virus that has only a single
8 sequence is the best that we can determine.

9 And from that we can follow the true
10 evolution of the virus in the chimpanzee model over
11 time and associate that with various clinical and
12 serologic events occurring in the chimpanzee.

13 She has also studied the phenotypic
14 effects of mutations arising during infection that she
15 has uncovered by this analysis of viral evolution, and
16 she has also been heavily involved in studying the
17 mechanism of protection from infection as well as
18 applying that information to the problem of vaccine
19 development.

20 So in the next several slides she has
21 shown just a few of the areas that she's been working
22 on, and I would like to emphasize that this really
23 represents only a small amount of her work, and there
24 is much more detail in the briefing book.

25 So one of the things that we were very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interested in was to look at the difference between
2 animals that clear infections and animals that become
3 persistently infected, and amongst other things that
4 she's shown is that animals that become chronically
5 infected actually do control virus replication to a
6 very large degree.

7 Typically from the peak viral levels found
8 during acute infections, these animals plateau off at
9 a viral titer that's about two logs lower than the
10 peak, and so they average about a 98 percent reduction
11 from the peak virus loads, which we believe is due to
12 immunologic control of virus replication.

13 She has also shown the clinical
14 differences and the virologic differences in the
15 animals that are able to clear the virus compared to
16 the animals that go on to persistence, and as you can
17 see in the slide, in the open circles, the circles and
18 squares represent animals that go on to persistence
19 where the closed circles and squares represent the
20 animals that cleared the infection.

21 So you can see both the ALT in the squares
22 and the peak RNA levels in the circles occur generally
23 earlier in animals that clear the infections relative
24 to the animals that go on to persistent infections,
25 and we think that this is indicative of an earlier,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but probably a subtly earlier immune response to the
2 infection in animals that clear.

3 The animals that clear seem to be able to
4 control the infection earlier, which is due either to
5 a more effective immune response or a slightly earlier
6 immune response.

7 In the next slide you see the rate of
8 mutations occurring in animals that go on to
9 persistence and how those mutations associate with
10 some of the clinical findings. And this is just a
11 small amount of the data that Dr. Major has developed
12 that are represented in this slide.

13 But what you can see is that the rate of
14 synonymous and non-synonymous mutations that occur
15 over time seems to change, where you see early in
16 infection there are more non-synonymous changes
17 relative to synonymous changes.

18 I should also say because you don't see
19 this data, that we really don't see mutations arising
20 in these chimpanzees during the first 22 weeks or so
21 of infection. It's only after we begin to see the
22 immune response coming up that the animals begin to
23 accumulate mutations.

24 So during that first 22 weeks that we've
25 studied these particular animals, they had essentially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 no mutations arising. So with the advent of the
2 immune response, you do see the appearance of new
3 mutations, and remember, again, these are all animals
4 that were infected with the monoclonal virus. So this
5 is not selection of preexisting variants that existed
6 in the population at the time of inoculation.

7 So over time, you begin to see more
8 synonymous mutations arising, and what we think that
9 this represents is sort of a steady state of stability
10 when the virus is no longer under the same immune
11 pressure that is seen relatively earlier in the
12 chronic state of the infection.

13 In the next slide, you see the results of
14 one T cell vaccination experiment that was done. What
15 we had learned prior to this is that animals that
16 recover from infection can always be reinfected, even
17 if you use exactly the same inoculum, in our case
18 using a virus with exactly the same sequence that the
19 original animal was infected with.

20 But the animal rapidly controls the
21 infection, and I should also say that animals that
22 clear the infection do not make antibody to either of
23 the surface glycoproteins. We can detect no antibody
24 by either ELISA type assays or neutralization assays
25 in animals that clear the infection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So they cleared it is believed primarily
2 by the T cell response, and so one of the things that
3 we had done was to try to see what would happen if we
4 induced a T cell response by vaccine and to look at
5 the effect of that on the response to a challenge
6 inoculum, and so this is an experiment that Dr. Major
7 did with a vaccine that she developed along with
8 Montserrat Pruich in the laboratory.

9 And so this vaccine consisted of a DNA
10 vaccine and a vaccinia virus challenge, and both the
11 DNA and the vaccinia virus had the same antigens
12 coded, which included the viral NS3, NS5-A and NS5-B
13 proteins that we knew were generally potent inducers
14 of T cell responses in the chimpanzees.

15 And what we saw in an animal that had been
16 vaccinated with this regimen upon challenge is that
17 there was a very rapid control of the viral infection,
18 and as you can see, the level of virus that we could
19 detect in the serum actually went down to zero. It
20 became undetectable.

21 But then there was a breakthrough and that
22 animal eventually went on to develop a persistent
23 infection, and what Dr. Major did is, amongst other
24 analyses that she performed, she looked at mutations
25 in the viral genome that occurred after that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 breakthrough, and she did identify several mutations
2 that appeared to be in T cell epitopes, and as you see
3 in the lower panel there, she analyzed those, and as
4 you can see, T cells from the chimpanzee did recognize
5 the peptides made with the original sequence from that
6 region of where the mutations occurred.

7 But following the mutation, the mutated
8 peptide was not recognized by the same chimpanzee, and
9 both of those two chimpanzees suggesting immune
10 escape.

11 She also showed that this was based on CD4
12 response that you can see when she defeated CD4s of
13 the ability to detect those epitopes was largely
14 eliminated.

15 Now, for my team, we've been working,
16 amongst other things, on non-primate animal models for
17 Hepatitis C, and we have followed on the work of the
18 Mercer Group in Canada on a chimeric mouse model,
19 which you can see in diagram 4 on the next page.

20 What this is is a transgenic
21 immunodeficient mouse which is expressing the
22 neurokinase plasminogen activator gene under an
23 albumen promoter so that it expresses in the liver.
24 And what the uPA does, it results in destruction of
25 the liver once it begins to express, and using the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 liver destruction as an inducer of regeneration
2 signals, one can then inoculate human or in our case
3 often chimpanzee hepatocytes into the spleen, which
4 can then repopulate the liver and, as I said, under
5 the signals for liver regeneration, you can get a
6 fairly large degree of liver repopulation with human
7 or chimpanzee liver, and the animals then become
8 sensitive to infection with Hepatitis C virus.

9 There are a major difficulties with the
10 original albumen uPA system, and that is it required
11 that you use homozygous mice to get good engraftment.
12 The homozygotes are essentially impossible to breed,
13 and they are simply not very healthy, and there's a
14 very high mortality rate of homozygote pups, and so
15 you must maintain the colony as heterozygotes, and so
16 it really requires an immense mouse colony to generate
17 just a very few animals that are useful in Hepatitis
18 C experiments.

19 Antonio Uren in the lab started working on
20 several solutions to these problems. One was a new
21 transgenic system, which I sort of didn't put in here
22 correctly. It's not a uPA system. We had talking
23 about using an inducible promoter for UTA, but instead
24 we used a transgenic mouse that expressed a
25 nitroreductase gene from bacteria, and using a PRO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 drug term, CB1954 in the presence of this
2 nitroreductase the PRO drug is converted into a toxic
3 substance that induces apoptosis in the liver, in the
4 cells in which it is being expressed.

5 The second solution was to use the same
6 uPA transgene, but under a different promoter, in this
7 case the major urinary protein promoter also expressed
8 in the liver. These mice were developed by Dr.
9 Sangrin, the same person who developed the albumen uPA
10 system. And we have also done a very small amount of
11 work on alternative systems to uPA.

12 So the bottom line of this research has
13 been that these new systems do work to some degree,
14 and in the next slide, you can see repopulation of an
15 NTR transgenic mouse with GFP hepatocytes from a
16 healthy mouse, and so that has been quite successful.

17 At the time that Dr. Uren left the
18 laboratory, we were will working on when to give the
19 drug, what dose of the drug to give, and issues such
20 as that to optimize this system, but it hadn't yet
21 progressed much beyond that.

22 The second system that we used was this
23 MUP-uPA system in which the neurokinase plasminogen
24 activator is expressed under the control of the major
25 urinary protein promoter, and the advantage to this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that it's not expressed until about two to three weeks
2 of life, and so the pups are born relatively healthy,
3 and at the time that the transplantation is done, the
4 animals are much older and much more able to accept
5 the transplant.

6 And in the next page you do see again the
7 repopulation of mouse livers with health mouse
8 hepatocytes from transgenic mouse that expresses GFP.

9 And on the next page you see some
10 preliminary data on infecting mice with Hepatitis C,
11 some of these transgenic mice that showed that we can,
12 indeed, infect them with Hepatitis C. However, to
13 date, at the time that Dr. Uren left the laboratory,
14 we still had a lot of problems with consistency in the
15 system and to get a consistent level of hepatocyte
16 repopulation.

17 A lot of the problems came with our
18 ability to maintain hepatocytes frozen that we really
19 -- it appears that we really need to use very fresh
20 hepatocytes.

21 In a second project that was done by Deb
22 Taylor before she left the lab, it involved the
23 investigation of the effect of ADAR on HCV
24 replication. Deb had previously shown that the
25 Hepatitis C genome seems to undergo deanimation by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ADAR-1, which is adenosine deaminase associated with
2 RNA, and what you see in Panel A on the next slide.

3 What she found was that ADAR is induced by
4 interferon treatment, and using the HCV replicon
5 system, she was able to show that by using ADAR
6 inhibitors one was able to rescue the replicon from
7 interferon treatment. So typically as you see in the
8 lighter colored boxes, with interferon the replication
9 goes down very rapidly, but with an ADAR inhibitor,
10 one's able to sort of rescue that.

11 And to make a long story short, she showed
12 that that was related to ADAR directly by using both
13 siRNA and VA RNA, which are ADAR inhibitors that you
14 see in the next page.

15 And finally, what I don't show there is
16 that we have been able to use ADAR inhibitors to
17 actually enhance the replication of Hepatitis C virus
18 in cell culture.

19 And I'll end there.

20 CHAIRPERSON KARRON: Thank you very much,
21 Dr. Feinstone.

22 Are there questions for Dr. Feinstone?

23 DR. LaRUSSA: Yes, one question. This is
24 Phil LaRussa.

25 Dr. Feinstone, you've had two people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 leave, first Dr. Taylor and now Dr. Uren. Who's going
2 to take over or take responsibility for the work on
3 the mouse model?

4 DR. FEINSTONE: So the mouse model work
5 is somewhat on hold right now. We do have some
6 funding that will support a post doctoral fellow whom
7 we hope will be involved in the mouse project. We've
8 also initiated collaborations, one with Snori
9 Thorgesson and NCI, and he's very actively involved
10 with these mice and doing some very interesting work
11 with them in his systems using mouse embryonic stem
12 cells, as well as with a group at Children's Hospital
13 in Philadelphia, Allen Flake.

14 And that group is able to do *in utero*
15 inoculations, and what we wanted to do with Dr.
16 Flake's system was to actually develop a non-
17 immunodeficient mouse model so that we could use mice
18 that express these transgenes, but actually inoculate
19 them with the human or chimpanzee liver *in utero* and
20 to which they, of course, would become immune tolerant
21 at that point, but the rest of their system would be
22 intact.

23 And then once those animals are born, we
24 thought we could further stimulate the replication of
25 the transplant of liver by either treating with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pro drug CB 1954 or in the MUFF system that in two to
2 three weeks of life they would have this sort of a
3 stimulus for further repopulation of the liver.

4 And so Dr. Flake has our mice now and is
5 breeding them and is going to try to work on that
6 project, but we hope this year we will hire a post
7 doctoral fellow who will continue the work in our lab.

8 DR. LaRUSSA: Okay. Thank you.

9 DR. MODLIN: Dr. Feinstone, it's John
10 Modlin.

11 I'd be curious as to your own personal and
12 professional view about Hepatitis C viral persistence
13 in humans. I was reading with interest a paper that
14 was in, I believe, the Critical Infectious Diseases
15 within the past week or so from Spain suggesting that
16 in humans it's clear all critical markers of
17 replication, they're still able to find replication in
18 circulating peripheral blood leukocytes and in the
19 liver as well.

20 DR. FEINSTONE: Well, I haven't seen that
21 paper. there has been a fair amount of speculation
22 that no one actually really clears the virus, that
23 there always is some left somewhere.

24 However, in the clinical situation, and
25 I'm sure you're aware in Hepatitis B, patients who are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 chronic carriers or who have cleared Hepatitis B
2 infection and then perhaps later in life undergo
3 immunosuppression sometimes have a recurrence of
4 Hepatitis B infections.

5 DR. MODLIN: Right.

6 DR. FEINSTONE: To my knowledge, and I've
7 talked to several hepatologists about this, that
8 hasn't been observed in Hepatitis C. So at this point
9 we don't really know if the ability to find genomes or
10 fragments of genomes is really clinically significant.

11 DR. MODLIN: I don't mean to prolong this.
12 In the paper they're actually able to find negative
13 stand RNA.

14 DR. FEINSTONE: Yeah.

15 DR. MODLIN: Suggesting that there was at
16 least some very low level of ongoing virus.

17 DR. FEINSTONE: Right, right. You know,
18 I think it's possible. You know, the assays for
19 negative strand RNA are technically difficult. One
20 has to be really careful when you do them, but I
21 wouldn't dispute it. In fact, we have found some
22 similar things, but you know, it's all very low level,
23 all PCR results which, you know, it's very hard to be
24 absolutely certain of them.

25 DR. MODLIN: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRPERSON KARRON: Thank you, Dr.
2 Feinstone.

3 The next speaker will be Dr. Jerry Weir
4 with the Laboratory of Respiratory Viral Diseases.

5 Dr. Weir.

6 DR. WEIR: Thank you.

7 I'm going to give an overview of the
8 laboratory of pediatric and respiratory viral
9 diseases. You can go ahead and turn to Slide 2 that
10 shows where this laboratory fits into the Division of
11 Viral Products.

12 As you see, there's seven laboratories.
13 You've already heard from the lab chiefs of two of
14 these. Today I'm going to talk about the laboratory
15 of pediatric and respiratory viral diseases, and there
16 have been quite a few changes in this lab over the
17 last few years. In fact, you've noticed that it's
18 titled in this slide "The Laboratory of Respiratory
19 Viral Diseases." That's because the focus of this lab
20 is now exclusively in respiratory viruses, and we just
21 haven't gotten around to officially changing the name
22 yet.

23 I'll describe the current organization of
24 this lab in a minute. The lab chief position is
25 vacant at the time and has been for almost a year now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sine Roland Levandowski left the division last
2 November, and I'll also point out now, even though
3 I'll describe the organization in just a minute, that
4 only one team out of this group was actually in the
5 site visit that was conducted in June of '06, but I'll
6 try to sort of put in the perspective for everybody of
7 where the rest of them fit in the scheme of things.

8 If you go ahead and turn to the next few
9 slides, Slide 3, I'm just briefly going to go through
10 some of the background of the division and this
11 laboratory.

12 The mission of the Division of Viral
13 Products is to regulate viral vaccines and related
14 biological products to insure their safety and
15 efficacy for human use.

16 We also facilitate the development,
17 evaluation, and licensure of new viral vaccines that
18 positively impact the public health. You can see the
19 responsibilities on Slide 4, and these apply to all of
20 the laboratories in the division.

21 We all review an investigational new drug
22 and biologics license applications as well as other
23 pre-marketing activities, such as pre-INDs. We're all
24 involved in BLA supplement review, lot release review
25 and testing. Some of us are involved in lot release

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 review and testing, as well as other post marketing
2 activities, such as biological product deviations.

3 We participate in manufacture inspections,
4 both pre and post licensure, and we have a very large
5 role in consultation with other public health
6 agencies, such as WHO, CDC, NIBSC and others.

7 And finally, last but not least, we
8 conduct research related to the development,
9 manufacture, evaluation and testing of viral vaccines.

10 Go to Slide 5.

11 The role of research for us. Our research
12 and laboratory activities are designed to complement
13 the regulatory mission. In general they address
14 issues related to regulated viral vaccines, as well as
15 to anticipate and address issues related to the
16 development and evaluation of new viral vaccine
17 products. This includes general issues applicable to
18 many products or product classes, as well as specific
19 product issues, for example, correlates of protection,
20 the animal models necessary for the implementation of
21 animal rule.

22 Finally, if you'll look at Slide 6, you'll
23 see the current layout of the laboratory, respiratory
24 viral diseases. There are now four teams in this
25 laboratory. The first one that I've listed is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 influence virus molecular biology team with Dr.
2 Zhiping Ye as the team leader.

3 The respiratory virus immunology team,
4 Maryna Eichelberger as the team leader.

5 The influenza vaccine technologies is a
6 vacant team leader. This is was the group that was
7 leaded by Roland Levandowski before he left, and then
8 the subject of today's site visit is the agnogenic
9 structure and function team with Judy Beeler as team
10 leader.

11 I'm going to go through one slide each for
12 the groups that were not reviewed, again, just to give
13 you a little perspective of what they do in this
14 laboratory.

15 The influenza virus molecular biology team
16 is headed by Zhiping Ye. The major regulatory
17 responsibilities of this group focus on influenza
18 virus vaccines. The areas of research in this group
19 can generally be described as the molecular mechanisms
20 of influenza virus attenuation and virulence,
21 molecular and genetic approaches to improving
22 influenza vaccine candidates, and the evaluation of
23 new influenza vaccine technologies.

24 This group was reviewed on a site visit in
25 November 2004.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The second group, Slide 8, respiratory
2 virus immunology. This is one that's headed by Maryna
3 Eichelberger. This group also has major regulatory
4 responsibilities in the area of influenza virus
5 vaccines. Their areas of research focus on the role
6 of neuraminidase and virus delivery, the adjuvant
7 effect of neuraminidase and neuraminidase's role in
8 homo as well as heterotypic immunity.

9 This is a new research team. All of its
10 members, in fact, came on board just this past
11 September. So they have not been the subject of a
12 site visit at this point. What we plan is, of course,
13 that all of these groups will be site visited the next
14 time as an entire laboratory.

15 If you go to Slide 9, this is the
16 influence of vaccine technologies group that was
17 originally headed by Roland Levandowski. This group
18 is still here. They function and have major
19 regulatory responsibilities also in virus vaccines,
20 but they have traditionally been heavily involved in
21 influenza vaccine to release as well as the
22 preparation of reagents.

23 The areas of the research of these folks
24 focuses on improved growth characteristics of
25 influenza viruses, as well as improved assays for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 influenza potency and clinical evaluation. This group
2 was also part of a site visit that was conducted in
3 November of 2004.

4 And now the subject of today's site visit
5 review that's being presented to the VRBPAC is the
6 antigenic structure and function team. Judy Beeler is
7 the team leader of this group, and the rest of the
8 group includes Lynne Crim and Susette Audet.

9 This group has major regulatory
10 responsibilities basically for the other respiratory
11 viruses, RSV, PIV3, other respiratory virus vaccines,
12 as well as you will see in just a minute, they have
13 responsibilities in the areas of measles virus
14 vaccines and immunoglobulins.

15 The areas of research, which I'm going to
16 go over just briefly in a few minutes include RSV
17 whole cell interactions and measles immunity, and this
18 was the one that was reviewed in the site visit of
19 June 2006.

20 Okay. So I'm going to spend the new few
21 minutes basically summarizing some of the results that
22 Dr. Beeler's team presented at the site visit. It can
23 be divided up into two sections, the focus on RSV
24 studies and a focus on measles studies.

25 Dr. Beeler is here today. So if anyone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 has technical questions or questions about her
2 research, I'm sure she'll be happy to answer them.
3 But I'm giving a general summary of some of the
4 results that she presented in your site visit book.

5 The RSV studies that this group has
6 focused on address the following questions listed in
7 Slide 11, which RSV envelope proteins bind heparin,
8 and the studies over the last few years have focused
9 almost exclusively on RSV-F glycoprotein, which domain
10 is in the RSV-F mediate heparin binding.

11 And are these interactions specific for
12 cellular glycosaminoglycans or GAGs? And are these
13 virus-GAG interactions important for virus binding and
14 infectivity?

15 A summary of some of these results are
16 shown on Slide 12. Basically this group identified
17 eight linear heparin binding domains in the RSV-F
18 glycoprotein, RSV F-heparin binding peptides were
19 shown to bind specifically to cellular GAGs. Two of
20 these, RSV-F heparin binding domain peptides had
21 unique reactivity patterns that suggest they may also
22 bind to non-GAG cell surface molecules.

23 Three of the heparin binding domain
24 peptides blocked virus attachment. Three of the
25 peptides inhibited virus infectivity. The overall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 conclusion of some of these studies were that the
2 interactions between RSV-F heparin binding domains and
3 cellular gags facilitate virus attachment and
4 infectivity.

5 Dr. Beeler outlined, Slide 13, outlined in
6 her site visit book the future directions of this
7 group and these can be summarized in this slide.
8 Basically this group is going to pursue the identity
9 of non-heparin binding RSV-F peptides that bind cells,
10 block virus attachment, and inhibit virus infectivity.

11 They're also interested in using the RSV-F
12 peptides that bind cells and block infectivity to
13 probe for potential RSV receptors, and finally, to
14 evaluate the peptide specific neutralizing antibody
15 responses.

16 A second part of this group or the second
17 part of the study described by this group focused on
18 measles. These are outlined in Slide 14 and have been
19 divided up into studies on passive immunity and active
20 immunity. The first one I'll talk about in the next
21 couple of slides, focuses on the passive immunity
22 studies, and this is collaboration between these
23 researchers in OBRR and the Office of Blood at CBER.

24 The general question being addressed in
25 these studies is will an apparent increase in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relative number of donors with vaccine derived
2 immunity have an impact on measles antibody potency of
3 immunoglobulin products, and I'll show you a couple of
4 her data slides in a second.

5 The second part of the measles studies is
6 titled "Active Immunization," and here the group uses
7 a validated measles neutralization assay to
8 essentially provide support for several ongoing
9 studies for researchers in the field who focus on
10 questions about measles immunization. Some of these
11 questions are listed at the bottom of the slide.

12 What are the optimal ages for measles
13 immunization? How durable is post vaccination measles
14 immunity? And is measles vaccine administered by the
15 aerosol route, immunogenic.

16 The last one that I mentioned is a WHO
17 priority, particularly in Africa and other areas of
18 the world where needle free technology is important.

19 Okay. If you turn to Slide 15, the
20 measles study passive immunity, the goals of this
21 study were to estimate the proportion of donors born
22 after 1956, in other words, donors whose most likely
23 exposure to measles was through the measles vaccine,
24 and to measure measles neutralizing antibody in 166
25 lots of IVIG from seven manufacturers between 1998 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2003.

2 Slide 16 shows a little bit of the data
3 from this study. The top bullet points out that there
4 are two types of plasma, one, recovered plasma from
5 volunteer donors, the second source plasma from paid
6 donors. Approximately 60 percent of the donors in the
7 first group were born after 1956, in other words, most
8 likely were vaccinated, 85 percent of the second
9 group.

10 And if you'll look at the graph that Dr.
11 Beeler provided, in the bottom part of that graph you
12 see various manufacturers and different lots of IVIG
13 that each were tested for measles neutralization. And
14 you see the general trend in each manufacturer is
15 downward as each bar left to right represents a
16 different year, and you see an apparent decreased
17 trend in the measles antibody potency during the
18 years.

19 If you turn to Slide 17, you see some more
20 results from the passive immunity studies. In this
21 case these were IVIG lots made from source plasma. I
22 mean, these were IVIG lots from both source plasma and
23 from recovered plasma. These were assayed for measles
24 neutralizing titer, and these have shown the different
25 years of the plasma.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And here you see that the source plasma,
2 which are the open bars in the graph, generally have
3 lower titers than the recovered plasma, and in fact,
4 some of these are significant, as shown by the arrow
5 bars, the second and the sixth one in the graph, and
6 basically what this is showing is that the source
7 plasma which has a higher percent of donors born after
8 1956 generally have lower titers, suggesting that a
9 higher percentage of vaccine immunity correlates with
10 a lower measles antibody titer.

11 Slide 18 shows some other conclusions from
12 their works. Listed here are measles neutralizing
13 antibody, which was predominantly IGG-1 and IGG-3.
14 IGIV lots manufactured using a unique protease
15 adjustment step at the lowest levels of IGG-1 and IGG-
16 3, and the lowest measles antibody potency compared to
17 other IGIV products.

18 The conclusion from some of these studies
19 were that changes in donor demographics and unique
20 manufacturing steps may contribute to diminished
21 potency of measles antibody and IGIV products.

22 The future directions for these studies
23 and some more conclusions include that the quantity of
24 measles neutralizing antibody needed for passive
25 protection is not known. Therefore, it is currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not possible to reset the lower limit for measles
2 antibody potency for immunoglobulin products.

3 And in the coming year, 2007, the Office
4 of Blood, Research and Review will have a workshop
5 that this group will participate in to discuss the
6 relationship between antibody potency of
7 immunoglobulin products and successful
8 immunoprophylaxis.

9 And the last two slides summarize a little
10 bit of their work in measles and the active immunity
11 studies that I mentioned a minute ago. As already
12 pointed out, this group uses their validated
13 neutralization assay to support numerous studies.

14 Slide 20 shows a group of studies that
15 have already been completed over the last few years
16 and the general outline or the general point of the
17 studies, such as investigation of waning immunity,
18 infant immunization, and so forth.

19 Future studies for the active immunity
20 focus of the group are shown in Slide 21. Here Dr.
21 Beeler's group would like to develop a measles
22 neutralization assay using a reporter readout, and
23 they also have a set of ongoing collaborations which
24 are listed in the table shown in this slide.

25 And finally, I'll stop here. As I said,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 this was the group that was reviewed on July 29th,
2 2006 and evaluated for overall progress and future
3 directions.

4 And, again, Dr. Beeler is here. If anyone
5 has technical questions, I'll be happy to answer
6 anything about the lab and the division if you'd like.

7 CHAIRPERSON KARRON: Thank you very much,
8 Dr. Weir.

9 Are there questions for Dr. Weir or for
10 Dr. Beeler? Okay. Thank you. I think at this point
11 we'll move forward. Christine, I believe you have an
12 announcement for us.

13 MS. WALSH: Thank you, Dr. Karron.

14 As part of the FDA Advisory Committee
15 meeting procedures, we are required to hold an open
16 public hearing for those members of the public who are
17 not on the agenda and would like to make a statement
18 concerning matters pending before the committee.

19 I have received one written comment from
20 B. Saku. A copy of this statement has been given to
21 the committee members, has been placed in their
22 viewing notebook at the registration desk, and will be
23 made part of the official meeting record.

24 Is there anyone in the room who would like
25 to address the committee at this time?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I see no response. Dr. Karron, I turn the
2 meeting back over to you.

3 CHAIRPERSON KARRON: Thank you, Christine.

4 I think at this point we will take a five-
5 minute break. This will allow us to clear the
6 teleconference room for our closed session. So we
7 will reconvene in about five minutes.

8 Thank you, everyone.

9 (Whereupon, at 2:39 p.m., the open session
10 was concluded.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

CERTIFICATE

This is to certify that the foregoing transcript
in the matter of: Vaccines and Related Biological
Products Advisory Committee

Before: Food and Drug Administration

Date: November 16, 2006

Place: Bethesda, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


Charles Morrison

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com