

1 impressive, if you want to put it that way, but it is
2 a more obvious difference for influenza B than it is
3 for either the H1N1 or the H3N2 this year.

4 CHAIR DAUM: Dr. Griffin, and then what I
5 think I would like to do is move on to the last two
6 presentations. We will then have all the cards on the
7 table, and we can continue this discussion. If you
8 could wait, that would be great.

9 Let's thank Dr. Ye, very much for his
10 presentation, and call on Dr. Greg Slusaw, I hope I'm
11 not ruining his name. Thank you, I'm doing three for
12 three today, from Aventis, to represent the
13 manufacturer's point of view.

14 DR. SLUSAW: Thank you. First of all just
15 an administrative note on the agenda. Today I will be
16 representing Aventis Pasteur, not necessarily the
17 views of PhRMA, and that is simply because all the
18 PhRMA flu manufacturer members didn't have a chance to
19 review the content of what I'm saying today. So we
20 will just leave it at that.

21 The members of this committee are, once
22 again, faced with a difficult challenge of analyzing
23 today's surveillance data, and projecting that into
24 the future, and arriving at strain recommendations for
25 the 2001-2002 flu vaccine formula.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We sometimes euphemistically refer to this
2 activity as fine tuning, or updating the vaccine
3 formula. And to the casual observer it may seem that
4 this is a trivial exercise.

5 But I think the manufacturer's experience,
6 from last year, reminds us that it is not. Each time
7 we change the vaccine composition we undertake a risk.
8 And even though we typically change one or more of the
9 components of the vaccine formula each year, and we
10 are generally very successful at manufacturing
11 vaccine, that doesn't change the fact that we are
12 taking a bit of a gamble each time we do it.

13 And even looking at antigenic drift, and
14 changing to an antigenically similar strain, the
15 growth and purification characteristics of that virus
16 may be much different, and may have tremendous impact
17 on our ability to manufacture vaccine.

18 The first overhead, please. Really, there
19 are a number of critical pieces that have to come
20 together into this complex puzzle to manufacture
21 vaccine each year.

22 But if I can just distill that down to
23 some of the main components, obviously the first
24 critical issue is our major raw material, having a
25 reliable consistent supply embryonated eggs for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccine production.

2 This is something that we anticipate each
3 year, though, and we can work toward, and we actually
4 have a very robust, and reliable system in place, to
5 ensure that we have an adequate egg supply.

6 Which for the 70 million doses being
7 produced for the United States market, involves about
8 half a million chicken eggs, per day, over about a six
9 month period, for vaccine production.

10 The second critical element, strain
11 selection, of course is the activity that we are
12 involved with here today. And this is very important,
13 of course, for choosing strains with the proper growth
14 characteristics, but also achieving that balance
15 between the best antigenic match, and having suitable
16 growth characteristics for vaccine production.

17 And, of course, part of strain selection
18 involves having high growth reassortants available for
19 the A strains, which is very critical to being able to
20 produce a vaccine supply.

21 And then the final critical piece of the
22 puzzle is having SRID potency test reagents for any
23 new strains that are included in the vaccine formula.

24 And although we have various methods for
25 estimating the amount of antigen we are producing,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when we begin production of a new strain, it is not
2 until we actually have those homologous SRD reagents
3 that we know exactly how much we've manufactured, and
4 we can begin to think about formulating the trivalent
5 vaccine.

6 Next. Actually Dr. Levandowski provided
7 a good introduction to the manufacturing timelines and
8 constraints this morning in his presentation.

9 The flu vaccine manufacturing cycle
10 actually begins about a year ahead of time, when the
11 chickens are ordered for the following vaccine
12 production cycle. And that usually occurs in January
13 for the following year.

14 And those birds are moved into the houses
15 and begin to lay eggs usually in the October to
16 November time frame.

17 Something that is ongoing, even as we are
18 completing the previous production cycle is we are
19 receiving candidate seed viruses, both from the CDC
20 and from the FDA. And this is a time of very close
21 cooperation between the FDA, CDC, and the
22 manufacturers, to evaluate the growth characteristics
23 of those virus, and ensure that any candidates that
24 are identified for the formula are acceptable for
25 vaccine production.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And also in parallel would be the
2 identification of new strains, the preparation of high
3 growth reassortants occurs.

4 We generally plan to work on a time table
5 beginning the first strain production in January, and
6 followed on approximately monthly intervals with the
7 second strain selection in February, generally perhaps
8 after the WHO strain recommendations, and then
9 finally, about a month later, the third strain
10 selection in March.

11 And during the entire January, usually
12 July, August, about a six or eight month time frame,
13 monovalent concentrate production is in progress, and
14 also in tandem with that, the potency test reagents
15 are being prepared for any new viral strains in the
16 vaccine formula.

17 Finally we attempt to target, usually the
18 first week in June, for the first bulk vaccine
19 preparation, and the manufacturer's license are
20 generally issued the first week or so in July, and
21 then immediately vaccine distribution begins, in a
22 normal year, into the October time frame, this year,
23 of course, was extended into November and December.

24 Finally we just had a lot of discussion
25 about some of the B candidate strains, and I can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assure you that Dr. Levandowski was correct when he
2 mentioned that we received at least 12 or 15 potential
3 B candidates to consider for this year.

4 This is just a relative comparison of
5 growth based on hemagglutination, simply chicken red
6 blood cell hemagglutination testing. And I've just
7 standardized them all to be Johannesburg as a growth
8 of one, so it is just relative growth.

9 Also including in this graph B/Yamanashi,
10 the previous northern hemisphere B strain. So by this
11 slide it is giving an indication of growth of some of
12 the candidates that we've had sufficient time to do a
13 little evaluation on, and it is not an attempt to
14 lobby for any particular B strain at this point.

15 But I think more to remind us that it is
16 very important to consider the growth characteristics
17 of each of these. The B/Johannesburg is something
18 we've had experience with in full scale production for
19 Southern hemisphere.

20 And actually this ratio is about correct,
21 it appears to yield about one-third to one-half the
22 amount of the B/Yamanashi. So based on that I would
23 certainly consider the B/Johannesburg a low yielding
24 strain.

25 Likewise something like the B/Sichuan/379

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appears to be similar. Not that they are not
2 potentially viable vaccine candidates, but there is an
3 omen of risk there, again, because their growth
4 characteristics may or may not improve with sufficient
5 passages in eggs.

6 And certainly something like B/Alaska, or
7 B/Canada, which so far does not even grow under
8 conditions that are similar to production conditions,
9 I would have grave concern even considering one of
10 those strains for the vaccine formula.

11 So just to summarize, the main points are
12 that the flu vaccine manufacturing cycle, from the
13 manufacturer's perspective, depends on very close
14 communication, cooperation, and I guess choreography
15 between various agencies and the manufacturers,
16 especially at this time of year.

17 And the second take home message is that
18 changes in the vaccine formula do introduce an element
19 of risk, and naturally one change is not as bad as
20 considering two changes, or in years where we've had
21 a very complex surveillance data we have considered
22 three changes in the past. But that is an additive
23 effect, the more changes, the more risk.

24 And, finally, the timing of strain
25 selection is very critical to allow us to respond and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So rest assured there will be a lot of
2 activity to try to maximize the growth and the vaccine
3 availability for an strains that are selected. But
4 there still is that element of uncertainty as far as
5 how successful we will ultimately be.

6 CHAIR DAUM: Dr. Griffin, then Dr. Fagget.

7 DR. GRIFFIN: So my previous question was
8 going to be, does that mean that none of these
9 candidate strains are good if Victoria is only
10 moderate, and the others are low?

11 But my reading of your graph is that
12 Victoria is just as good as Yamanashi, which is the
13 current vaccine strain as far as its yield. Is that
14 correct?

15 MR. SLUSAW: Again, we are kind of
16 extrapolating ability to produce vaccine from very
17 limited data, just hemagglutination data. So it gives
18 some indication, and there is historical precedent
19 that it is a somewhat valid way of anticipating how
20 well they will behave.

21 But it is almost like an order of
22 magnitude guess. I wouldn't assign too much weight to
23 it. But it does give us an indication.

24 And, certainly, I wouldn't select a strain
25 that had evidence of being an extremely poor grower,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adapt to any problems with growth of the strains, and
2 adjust our processes to be able to manufacture
3 vaccines.

4 Any questions?

5 CHAIR DAUM: You have an opportunity for
6 questions for Dr. Slusaw. Dr. Snider?

7 DR. SNIDER: Yes. You mentioned
8 subsequent passages. But are there other things that
9 you can do to try to improve the yield, and will be
10 doing with these strains?

11 MR. SLUSAW: Influenza vaccine
12 manufacturing licenses are for the process. And
13 there is, generally, a lot of flexibility built into
14 there, again acknowledging that the vaccine
15 composition changes annually, and different strains
16 may have different growth and purification
17 characteristics.

18 So that allows us some latitude, even
19 within our existing licenses, to fine tune the process
20 to adapt to any peculiarities of a given vaccine
21 strain.

22 And it is something that we do on an
23 ongoing basis, in fact, whether a strain is a poor
24 grower or not, it is something we do to optimize our
25 process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thinking it may get better.

2 CHAIR DAUM: Dr. Fagget, please.

3 DR. FAGGET: You were able to produce nine
4 million doses in short order. How were you able to do
5 that, and this year if you have another problem, will
6 you be able to do that again? And how much did you
7 lose?

8 MR. SLUSAW: I will answer the second
9 question first, I don't know. And, again, it was a
10 combination of a lot of hard work, and persistence,
11 and luck, that allowed us to ultimately be able to
12 produce A/Panama in sufficient quantities.

13 The downside of that was it took us many
14 months of work, and it kind of resulted in late
15 availability and late deliveries to finally achieve
16 that.

17 But I suppose it was just as likely that
18 we may still be working on it, and had never had some
19 measure of success.

20 CHAIR DAUM: Before I call on Dr. Decker
21 I would like to ask you, if you are not speaking on
22 behalf of all the manufacturers today, then can you
23 tell us how much confidence we can take from the fact
24 that B/Victoria grows well in your hands, versus what
25 the rest of the industry might say, or do we just not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know anything about what they might say?

2 MR. SLUSAW: Actually we have
3 representatives, colleagues from some of the other
4 vaccine manufacturers, and perhaps one of them would
5 like to address their experience with some of the B
6 candidate strains.

7 CHAIR DAUM: Before you do that I just
8 might like to say that it would be helpful if the
9 process of clearing had gone on, so that we could have
10 one statement that was real clear, for the whole
11 industry. I don't know what went wrong.

12 Could you identify yourself for us,
13 please?

14 MR. HJORTH: This is Richard Hjorth from
15 Wyeth laboratories. And I think one reason that we
16 didn't fully get together in this is that some of the
17 data just came off yesterday.

18 But we have been looking at slot blots,
19 using a monoclonal antibody to B. And we thought that
20 might be an alternative way of quantitating, with
21 everything on a level playing field, to look at these
22 different isolates.

23 And, of course, if you just pass an
24 isolate once or twice it is hard to tell how it is
25 ultimately going to yield. But looking at early

1 passage data we agree one hundred percent that
2 Victoria is an excellent strain. We also found
3 B/Perth to be an excellent strain.

4 And perhaps a third choice would be Shis
5 loca, but I'm not sure that -- I think that was in
6 your low category?

7 MR. SLUSAW: Yes, that is right.

8 MR. HJORTH: So at least we agree on
9 Victoria and Perth.

10 CHAIR DAUM: Thank you very much, that is
11 very helpful. Now, Dr. Decker, I'm sorry.

12 DR. DECKER: Actually that worked out very
13 well because now I have the same question for both
14 gentlemen, which is does the slide you show of the
15 tests you reported predicting possible growth
16 characteristics, were similar tests done at this time
17 last year, and if so, did they predict or fail to
18 predict the problems with Panama?

19 MR. SLUSAW: A/Panama was an interesting
20 situation. And as Dr. Levandowski noted earlier this
21 morning, I think we had five or six high growth
22 reassortants of A/Panama to work with.

23 And we chose, in the U. S. and globally,
24 the reassortant which appeared to give the highest
25 growth. And even that, as we later found out, was not

1 one hundred percent assurance that we would be able to
2 produce sufficient quantities of vaccine.

3 So, really, until we get into full scale
4 production of the strain it is difficult to anticipate
5 what production yields will be like. Many
6 manufacturers have capability to simulate their
7 processes on a small scale, and that may give some
8 indication.

9 But it is not until we actually get into
10 it that we know where we are going to stand with
11 viruses yields.

12 CHAIR DAUM: Thank you. I have erred
13 before, and didn't realize there is another industry
14 representative out there. Would you like to comment?
15 Please tell us who you are.

16 MR. O'BRYAN: Thank you very much. My
17 name is John O'Bryan from Evans Vaccines in UK. I
18 would just like to make another comment about the B
19 strains.

20 Firstly, we haven't had the whole range to
21 look at, but we have worked with B/Johannesburg, and
22 B/Victoria. Again, obviously for the southern
23 hemisphere.

24 We looked at the HA from the primary
25 growth for sera. I think we found a similar aspect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that is being reported today. We thought Victoria was
2 higher growth than Johannesburg.

3 But when we put Johannesburg through the
4 whole of the production process, and Victoria through
5 the whole of the production process, we actually found
6 that at the end of the day the yield is still
7 significantly lower than the B/Yamanashi, it is about
8 65 to 70 percent, in fact.

9 CHAIR DAUM: The yield of -- I just want
10 to make sure I understand. Victoria was lower than --

11 MR. O'BRYAN: Yes.

12 CHAIR DAUM: Thank you. Are there other
13 committee questions? Dr. Kilbourne.

14 DR. KILBOURNE: I would just like to ask
15 Greg, and other manufacturers, whether if there is
16 something the industry can do, by reviewing past data,
17 to see if you have some kind of phenotypic marker that
18 might correlate with whatever your production criteria
19 are.

20 In other words, are there simple things
21 like temperature sensitivity, susceptibility to
22 detergent disruption, any of those things that go on
23 during manufacturing that might allow you to, without
24 taking each individual candidate into a pilot
25 production, be helpful in feedback to those of us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 making reassortants as to what to look for, other than
2 high yield?

3 MR. SLUSAW: Well, Dr. Kilbourne, if you
4 have an idea what that phenotypic marker is I would
5 love to hear more of your ideas.

6 Actually it is something we tried to look
7 at and many manufacturers, as I mentioned, can mimic
8 their production process on small scale. And that is
9 really a useful tool for evaluating.

10 But I'm aware of the experience with
11 A/Panama of manufacturers who ran A/Panama through the
12 process, even at moderate scale, tens of thousands of
13 eggs, and thought everything looked fine at that
14 point, only to find that when they went into full
15 scale production the yields were disappointing.

16 So it would be great to identify some kind
17 of phenotypic characteristic that could be used as a
18 proxy for final vaccine yields. But I'm not sure what
19 the perfect characteristic might be.

20 CHAIR DAUM: Thank you, Dr. Decker.

21 DR. DECKER: The last few answers have
22 stimulated a conclusion in my mind that I want to
23 articulate to give a chance to get it knocked down if
24 it is wrong.

25 But it sounds as though the current state

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the art is that despite good attempts that might
2 give clues as to which are the most promising strains,
3 we don't really know until we are so late in the
4 process, that it is very hard to recover from a
5 problem.

6 And that is what happened in the last
7 year. So that would seem to suggest, first, that we
8 ought to have a clear benefit in mind when we
9 recommend a change in strains to warrant that perhaps
10 a reducible risk of running into production problems.

11 And second the one tool that we seem to
12 have that can help to manage this is to allow as much
13 time as possible for the detection and correction of
14 the problems in production. So anything that can be
15 done to move up the time table of strain selection,
16 delivery of the validation antigens, and sera and so
17 on, would seem to be our best safeguard as long as we
18 are in the situation of even mini-production exercises
19 not being able to accurately predict whether or not we
20 will be able to produce vaccine in true industrial
21 production scale.

22 CHAIR DAUM: Thank you. Other comments
23 before we move on? Dr. Estes, then Dr. Kohl.

24 DR. ESTES: It is not clear to me, I heard
25 that obviously there were problems with production of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the A/Panama. And yet in the end those were overcome.

2 Do we know how they were overcome? I
3 mean, is there any information from that that gives us
4 a hint?

5 MR. SLUSAW: I think, at least in our
6 experience, there were not any tremendous mysteries
7 with how they were overcome. We tried many of the
8 fixes in the process that we would have tried with any
9 other low yielding strain.

10 There are certain characteristics of low
11 passage level reassortants, some morphological
12 characteristics that might affect the purification
13 efficiency, for example.

14 And, really, just through optimizing the
15 process, and additional egg passages, which of course
16 take time, the problem basically was corrected. But
17 part of that is the selection process of developing
18 new seed cultures for production.

19 And it is somewhat of a hit and miss kind
20 of statistical exercise, and there is no assurance
21 that after one week the problem can be solved. It may
22 take months, and there would be no resolution.

23 So there was an element of chance
24 involved.

25 CHAIR DAUM: Dr. Kohl?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOHL: I am thinking about how we make
2 strain decisions, and typically having done this for
3 three or four years now, we always pick the easiest
4 one first, and say go with such and such to begin
5 with, and then we will do the hard one as late as
6 possible.

7 And this year that seems, again, like a
8 fairly easy thing to do. I wonder if that is
9 backwards? And if it looks like it is clear, at
10 least, what is emerging, and that we do want to make
11 a shift, if we can tell the -- maybe I'm putting the
12 cart before the horse.

13 But if we can tell the companies we want
14 to shift to a Sichuan-like strain and leave it at
15 that, and let them start playing early on, although it
16 sounds like they are already playing early on.

17 So we are basically doing that at this
18 point.

19 DR. GRIFFIN: But I think the thing that
20 made the changes hard, or the decisions hard, at least
21 last year or before, because we didn't have enough
22 data on the strains that were emerging during that
23 year, and it was thought that another month's worth of
24 collecting that kind of data -- I don't think we are
25 in that situation this year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think we have pretty clear indications
2 on what the strain patterns are like without a lot of,
3 maybe except for possibly H3N2, but without a lot of
4 questions out there.

5 DR. SNIDER: Dr. Kohl, do you want to make
6 a follow-up comment?

7 DR. KOHL: I would hope that we can make
8 a more rapid kind of decision, maybe even pick all
9 three at this meeting, and at least a general idea,
10 and let the companies take it from there.

11 CHAIR DAUM: Thank you, Dr. Kohl.

12 What I would like to do is move on and
13 hear from Dr. Cox what the options are for strain
14 selection. And then continue in a slightly different
15 guise, this discussion yet again.

16 Thank you very much, Dr. Slusaw, and other
17 pharmaceutical folks who commented. While Dr. Cox is
18 getting set up you may make one more comment. Could
19 you remind us who you are?

20 MR. HJORTH: Rich Hjorth, from Wyeth.

21 CHAIR DAUM: Thank you.

22 MR. HJORTH: We find in general, though,
23 that the yield in eggs is a pretty good indicator. We
24 knew last year that Panama was certainly going to be
25 much worse than Sidney, but the change was made.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I say rarely is it, for us, is it a
2 manufacturing problem. If it is a better yielder in
3 eggs, it usually works better through the process.

4 CHAIR DAUM: Thank you very much. Dr.
5 Cox?

6 DR. COX: I think we can move fairly
7 quickly, and clearly, through the options for
8 selection of strains this year.

9 And for each group of viruses I'm going to
10 start out with some bullet points, which will
11 summarize the data, then I will go on to the three
12 options that exist for each strain, either maintaining
13 the same strain in the vaccine, updating the strain,
14 and making a decision on that, or deferring the
15 decision until a later time.

16 And then I will be following with another
17 sort of more general overall summary.

18 For H1N1 viruses we can summarize by
19 saying that little antigenic heterogeneity has been
20 observed. And that most strains are antigenically
21 very similar to the New Caledonia vaccine strain.

22 And in general the neuraminidase genes of
23 current strains are also similar to the neuraminidase
24 of the vaccine strain. There are a few low reactors,
25 as you will recall from the tables. But they do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fall into one clear genetic group.

2 And in addition to the viruses being less
3 well inhibited by the ferret sera, we are -- we see
4 the viruses are well inhibited by the ferret sera.

5 We also see that in the human serologic
6 studies, generally these new strains are quite well
7 inhibited by serum of individuals who have been
8 vaccinated with the New Caledonia vaccine strain.

9 The current vaccine strain has been in the
10 influenza virus vaccine for one year. So option one
11 is to maintain the current vaccine strain. The pros
12 are that the current vaccine strain is immunogenic,
13 and well matched to currently circulating viruses.

14 Manufacturing is well defined and
15 predictable, and we don't really have any new vaccine
16 candidates available.

17 Against that position is the fact that a
18 variant strain possibly could be identified in the
19 next two to three weeks.

20 Option two is to update the current
21 vaccine strain. I had to sort of really dig, but I
22 could come up with one pro. We might be able to
23 provide a closer genetic match to next year's viruses
24 if we chose the correct sublineage, because you will
25 recall that the HA is dividing out into two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sublineages.

2 But against that we can see, from our
3 data, that there are no clear advantages based on
4 antigenic characterization, or serologic results. And
5 we have no superior alternate vaccine candidates.

6 We could defer to accumulate additional
7 data, and we do know that there will be more data
8 available in the next two to three weeks, including
9 both genetic and antigenic analysis of some new Chinese
10 H1N1 viruses that have only just arrived.

11 Against this we realize that additional
12 data may not alter the current considerations since,
13 so far, the global data have consistently indicated a
14 good vaccine match.

15 So in summary we can say that although
16 influenza activity associated with H1N1 viruses has
17 generally been low, world-wide in the past four years
18 or so, significant H1N1 activity has occurred this
19 season in the northern hemisphere, as well as during
20 the southern hemisphere's season during our summer.

21 The majority of current viruses are
22 antigenically similar to a New Caledonia. However,
23 viruses similar to Johannesburg, the Johannesburg
24 reference strain were also identified.

25 Human serologic responses suggest that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 current vaccine strain is immunogenic, provides a good
2 antibody response against current viruses from both
3 antigenic and genetic groups.

4 In summary for the H3N2 viruses, we see
5 that little antigenic heterogeneity is observed. And
6 most strains are antigenically quite similar to
7 A/Panama.

8 The neuraminidase genes of many current
9 strains fall into a different genetic group from
10 Panama, but the low reactors, and there are a few of
11 them, but only a few, do not fall into any particular
12 genetic group.

13 And the H3N2 viruses are generally well
14 inhibited, not only by the ferret serum, but also by
15 human post-vaccination serum.

16 This current strain has also been in the
17 vaccine for one year. So we could maintain the
18 current vaccine strain. And the pros for this
19 approach would be that the current vaccine strain is
20 immunogenic, and well matched to currently circulating
21 viruses, manufacturing is now well defined and
22 predictable.

23 And we have, perhaps, only one obvious new
24 vaccine candidate, which has been mentioned so far,
25 and that is Ulan Ude, which was considered during the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 southern hemisphere deliberations.

2 Against this position is the possibility
3 that a new variant might be identified in the next two
4 to three weeks. I should mention that we do not have
5 any H3N2 viruses from China. Apparently their
6 activity has been mainly H1 and B over the past few
7 months.

8 Option two is to update the current
9 vaccine strain. We might provide a closer genetic
10 match to the HA, but especially to the neuraminidase
11 of next year's viruses.

12 Against this there is no clear advantage,
13 based on antigenic characterization, or the serologic
14 results that we have, acknowledging that we do not
15 have results for the -- we do not have neuraminidase
16 inhibition tests.

17 The only clear vaccine candidate that we
18 have is the Ulan Ude, which does have the correct
19 "neuraminidase" but as I said, that was under
20 consideration for the southern hemisphere
21 recommendations, as well.

22 Option three is defer to accumulate
23 additional data. And one of the issues in favor of
24 this position would be that since H3N2 viruses cause
25 the most serious morbidity, and mortality, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular choice should be made very carefully.

2 And I think we've all always given a lot
3 of attention to this particular vaccine component.
4 There will be a few additional pieces of data
5 available within the next two to three weeks, but we
6 have to recognize that at this point additional data
7 may be insufficient to alter current considerations,
8 since we haven't yet identified a new variant.

9 So, in summary for the H3N2 viruses, we
10 can say that in contrast to most recent years, this
11 year few H3N2 viruses have been isolated globally.
12 Those few viruses are antigenically similar to
13 A/Panama, the A/Panama vaccine strain.

14 And serologic responses suggest that the
15 current vaccine strain is immunogenic and provides an
16 equivalent antibody response against most current
17 viruses, but you can find some exceptions to that.

18 For influenza B viruses we can see quite
19 clearly that antigenic drift has been detected. A new
20 variant represented by B/Sichuan/379/99 has been
21 identified as prototype variant strain.

22 The neuraminidase genes of many current
23 strains are generally similar to the vaccine strain,
24 but are closer to the neuraminidase gene of the
25 B/Sichuan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There is no evidence for circulation of
2 B/Victoria lineage strains at the present time. And
3 B viruses are generally less well inhibited by ferret
4 serum, and to some extent by human post-infection
5 serum. The current vaccine strain has been in the
6 vaccine for two years.

7 So we could maintain the current B vaccine
8 strain. Once again, the current vaccine strain is
9 immunogenic, and manufacturing is well defined and
10 predictable.

11 Against this the current influenza B
12 strains are not well inhibited by ferret serum to the
13 vaccine strain, and so we would essentially not be
14 able to say that we had a good match with the current
15 vaccine.

16 Human serologic responses against some
17 recent strains are somewhat reduced. And egg isolates
18 with appropriate antigenic properties are being
19 evaluated as candidate vaccine strain.

20 And I think that, as I mentioned before,
21 there are a number of additional strains that have
22 been sent out for examination by the manufacturers.

23 Option two is to update the current
24 vaccine strain. In favor of this position would be
25 that we would provide a better antigenic match with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the current B strains, and that vaccine candidate
2 strains, such as B/Johannesburg/599, and
3 B/Victoria/504/2000 have been used to manufacturer
4 vaccines for the southern hemisphere, where smaller
5 number of doses are produced.

6 Against this position would be that no
7 data are now available on the immunogenicity of
8 vaccines produced with any of these B/Sichuan-like
9 candidates. And we know that many recent influenza B
10 egg isolates grow rather poorly.

11 The third option, of course, is to defer
12 in order to accumulate additional data. In favor of
13 this we do know that more data will be available in
14 the next two to three weeks. We have a number of
15 viruses that are backlogged in our laboratory waiting
16 for analysis, including some new Chinese influenza B
17 viruses.

18 We will have more data available on the
19 growth properties of the potential vaccine candidates.
20 And I suspect this data will be developing fairly
21 regularly over the next few weeks.

22 And then against deferral is that
23 additional data may not alter current considerations.

24 So in summary for influenza B viruses
25 there is antigenic drift from the vaccine strain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 B/Yamanashi. And most of the viruses that are reduced
2 in titer to the B/Yamanashi serum are antigenically
3 and genetically similar to the prototype reference
4 strain B/Sichuan.

5 Serologic responses suggest that the
6 current vaccine strain is immunogenic, but it may
7 provide a more limited response against some of the
8 current B viruses.

9 And a great deal of work has been done
10 since the southern hemisphere vaccine recommendations
11 were issued to develop additional alternate vaccine
12 candidates.

13 Thank you.

14 CHAIR DAUM: Thank you very much, that was
15 very succinct, and very clear.

16 We have a moment or two for some
17 questions. Dr. Decker?

18 DR. DECKER: I have two questions. The
19 first one is, in any given year we might make a
20 recommendation, and then events would unfold that
21 indicate, within a month or two, that there is a
22 serious new problem, and that recommendation needs to
23 be revisited.

24 What are the consequences of withdrawing
25 and replacing a recommendation? A related question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is, let us contrast two scenarios. And scenario one,
2 five years in a row we make a recommendation in
3 January, and one time it has to be changed in February
4 or March.

5 The other alternative is five years in a
6 row we defer everything until February or March, and
7 we never have to change our minds, we just don't speak
8 them until we are sure.

9 Which of those is better for the public
10 health?

11 DR. COX: Were you directing those
12 questions to me?

13 DR. DECKER: It seemed like the subject of
14 books, or articles --

15 (Laughter.)

16 DR. COX: Yes, indeed. And I think your
17 first question could be better answered by folks from
18 the FDA, since this is the committee.

19 DR. DECKER: Or perhaps from the
20 manufacturer, because if they start work, is it better
21 to start work and start it over, than to do no work at
22 all?

23 CHAIR DAUM: Bring this man a mirror. You
24 are the manufacturer, I don't know, you tell me.

25 DR. DECKER: I'm not speaking as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manufacturer, I'm not here in that role.

2 CHAIR DAUM: Oh, okay. Does anyone from
3 FDA want to comment on this question? Dr. Midthun.

4 DR. MIDTHUN: Karen Midthun, FDA. I think
5 part of the issue is, obviously, the more you know the
6 more certain you can be about the recommendation you
7 are making. And that is offset also by the time
8 factor.

9 We all know that it is difficult to really
10 gear up and get everything in line to get the
11 influenza vaccine available for when we need it.

12 And so I guess part of the issue is, if
13 one were to make a recommendation based on the data
14 available, and when one obtained additional data one
15 said, maybe we need to reconsider this, the issue then
16 becomes, and I guess my question would now be to the
17 manufacturer, what kind of impact does that have.

18 MR. SLUSAW: I am still thinking about
19 this one a little bit, because there are obviously
20 pros and cons either way.

21 Obviously having selections made earlier
22 would be an advantage, even with the potential risk of
23 having to make a change at some later point if new
24 data were identified.

25 I guess one thing that I ask myself is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 weighing all the risks and advantages here, what is
2 the likelihood, in a given year, that we would need to
3 make a later change.

4 Because in the case where we had to make
5 a change several months into the season, that could be
6 catastrophic as well, I suppose, especially if we are
7 starting with a new seed virus, something that we
8 haven't prepared working seed, something that we
9 haven't had a chance to evaluate, really puts us back
10 several months away from monovalent concentrate
11 production and ultimately vaccine production.

12 So it is really a trade-off, I think,
13 either way. But at least having things to work with
14 earlier help to identify potential problems earlier,
15 and perhaps correct and address those so that it makes
16 working with those strains a bit easier, and more
17 practical.

18 CHAIR DAUM: Thank you. Dr. Midthun, and
19 Dr. Kohl.

20 DR. MIDTHUN: I just want to make one
21 comment. I mean, certainly I think everyone would
22 agree that it is best to make a recommendation as
23 early as possible if one feels one has sufficient
24 data.

25 CHAIR DAUM: Thank you. Dr. Kohl?

1 DR. KOHL: If there is not a change in the
2 vaccine strain, are there potential manufacturing
3 problems that crop up, or is it a slam dunk? I mean,
4 if you know we are doing last year's vaccine again, is
5 that just very simple to do, or are there risks in
6 that?

7 MR. SLUSAW: Again, I think from a
8 manufacturing standpoint it probably is a slam dunk,
9 barring any natural disasters like avian influenza
10 sweeping through the northeast.

11 But as far as from a manufacturing
12 standpoint, and the performance and predictability of
13 purification of the viruses, I think it makes things
14 a lot easier.

15 CHAIR DAUM: I am going to presume that
16 the other two industry spokespeople would agree, or
17 would now comment. Dr. Manley?

18 DR. MANLEY: I am concerned about the time
19 line. We have heard several comments that the earlier
20 we make the -- it is better to make a decision
21 earlier. I'm not sure yet that I understand what
22 early is.

23 This morning we saw some timelines that
24 showed September, October, what is the optimum time to
25 be making the decision for any given year? And does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 someone know?

2 CHAIR DAUM: Dr. Cox seems willing to take
3 that question on. Thank you.

4 DR. COX: Yes. I think that we all are --
5 have been working for many years with a balance, and
6 the balance is between giving the manufacturer
7 sufficient time to produce the vaccine, and developing
8 enough data so that we can have a pretty good picture
9 of what is actually going on.

10 And if we make the decision too early we
11 simply will be stabbing in the dark. So we can't make
12 the decision any earlier than we've traditionally done
13 so, I think, without a risk that we will make a
14 decision before we have as much data as we would like.

15 I think that one of the things that we
16 have to remember is that until this year we've been on
17 the same time cycle. The manufacturers have been
18 producing more vaccine each year, and we really hadn't
19 stubbed our toes, so to speak, until this year when
20 several things went wrong, not just in the
21 manufacturing process itself.

22 And so I think that we also have to keep
23 in mind the global picture, and recognizing that many
24 of the manufacturers do manufacture in a global
25 environment, and export vaccine, and we import

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine.

2 And if we have different strain
3 recommendations in the United States to the rest of
4 the world, this also will have implications for
5 vaccine supply here, and for immunization of the
6 military, and a variety of other things as well.

7 CHAIR DAUM: Thank you, Dr. Cox. Dr.
8 Midthun, and Dr. Kohl.

9 DR. MIDTHUN: I just wanted to make the
10 point that in general we do like to have vaccine
11 available by September for distribution so that it can
12 get out there, so that you can start immunizing the
13 individuals whom you intend to immunize.

14 And as such the process of manufacturer,
15 from sort of getting going to getting it ready by
16 September, usually takes in the order of about six
17 months.

18 So I think it is fair to say that you
19 really want to start at the latest in March to be able
20 to meet that September timeline. And so if you can
21 start a little bit before then, let's say February,
22 then there is a little bit of additional margin in the
23 event that certain problems arise, such as low yield
24 growth of a particular vaccine strain.

25 CHAIR DAUM: Dr. Kohl.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Dr. Cox, you mentioned that
2 the H3 selection was critically important because it
3 is such a variant virus. And you said there were
4 possibly new pieces of information that might come in,
5 in the next several weeks.

6 Yet there are no new viruses, it sounds
7 like, that are coming in. What new information were
8 you alluding to, and how important are they?

9 DR. COX: Actually there are a few
10 viruses. We don't have any from China, but there are
11 viruses from Korea that have been collected through
12 the military network.

13 I believe that there are also some viruses
14 from Thailand or Singapore that Dr. Hampson will be
15 analyzing. And we have just three or four additional
16 H3N2 viruses from the United States, I think, that are
17 waiting analysis.

18 So it will be a relatively small amount of
19 data, but there will be a few pieces of data.

20 DR. KOHL: And you foresee possible data
21 that might change the current situation?

22 DR. COX: It is really hard to say for
23 sure. I don't honestly anticipate any large change,
24 but I would hate to tell the committee, no, there
25 won't be a change.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Okay. Dr. Ferrieri, then Dr.
2 Stephens, then we are going to go to our open public
3 hearing. We are going to take a short break, and then
4 continue this discussion in the form of dealing with
5 the questions and the actual selection.

6 Dr. Ferrieri, please.

7 DR. FERRIERI: A couple of quick
8 questions for Dr. Cox, or perhaps Dr. Kilbourne.

9 Could you refresh our memories on the
10 genetic sequencing, and what you are really doing, is
11 this just interminous sequencing you are doing?

12 And, secondly, my question is, do we know
13 what the exact protective locus might be on either of
14 these two genes, the HA or neuraminidase?

15 DR. COX: Sure. We are sequencing the
16 entire HA1 domain of the hemagglutinin, which is the
17 variable domain. No, we do not know the exact
18 protective locus.

19 We are actually trying to focus on
20 regions, or particular amino acids that have been
21 demonstrated to be under positive selection, and have
22 been working with a group in California to do some
23 modeling in that regard, not only for the H3N2, which
24 we've published on, but we are also moving to look at
25 H1N1 and B viruses in similar manner.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But we can't really, by sequencing, tell
2 what is going on. We use sequencing as an adjunct to
3 the serologic information. And when we see a virus
4 that is reduced in titer in the serologic analysis, we
5 actually look to see if we can find some signature
6 amino acid changes that correspond to that antigenic
7 change.

8 DR. FERRIERI: What did it mean, then, if
9 there were eight changes in the B virus, B
10 neuraminidase, was that -- I mean, all the others were
11 compared to B/Yamanashi.

12 So I was confused about, then, what the
13 amino acid changes were for B/Yamanashi, in one of
14 your tables, from CDC. Is that some new variant of
15 the B/Yamanashi compared to the B prototype
16 B/Yamanashi, or what?

17 DR. GRIFFIN: Those are changes from the
18 consensus slides.

19 DR. COX: Yes, these are all compared to
20 the consensus. So that just indicates that there are
21 eight changes between the consensus neuraminidase
22 sequence, and that of the B/Yamanashi.

23 DR. FERRIERI: Thank you.

24 CHAIR DAUM: Thank you. Dr. Stephens,
25 please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: This is for Dr. Cox. I'm
2 still a bit confused about the H3N2 story, which we
3 talked about a bit. In terms of this neuraminidase
4 drift, and even the drift towards more Moscow-like
5 strains, is it your kind of recommendation that those
6 drifts are not significant change of action?

7 DR. COX: You are asking about whether the
8 change in neuraminidase between the --

9 DR. STEPHENS: I am asking about the
10 general, what I perceive of as a general drift away
11 from our Panama strains, to the more Moscow-like
12 strains.

13 Is that, in fact, a correct interpretation
14 of the data?

15 DR. COX: The HA is actually well matched.

16 DR. STEPHENS: Okay.

17 DR. COX: It is the neuraminidase --

18 DR. STEPHENS: It is the neuraminidase --

19 DR. COX: Which is in a different genetic
20 clave.

21 DR. STEPHENS: And you think that that is
22 not necessarily an issue that we should concern
23 ourselves with?

24 DR. COX: I think that this is an issue
25 which is important. I think that because the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 neuraminidase isn't actually quantitated in the
2 vaccine, as the HA is, we are not holding the
3 neuraminidase to the same standard in terms of the
4 vaccine process.

5 And, therefore, and because we recognize
6 that the hemagglutinin is the primary antigen that
7 provides protection, although antibody to
8 neuraminidase is also important, I think we have
9 really tended to focus much more on the hemagglutinin
10 for fairly good practical reasons at the present time.

11 That is not to say that we can't think
12 about ways to improve the vaccine standardization in
13 the future.

14 CHAIR DAUM: Thank you very much. I would
15 like to move on now to the open public hearing, then
16 we will take a short break, and then we will come back
17 and begin discussing the questions the FDA has asked
18 us to consider today.

19 So I understand that there is one speaker
20 in the open public hearing, and that we have a
21 presentation of five minutes or less. May we call on
22 the individual now to speak?

23 Is there anyone who wishes to speak at the
24 open public hearing part of this session?

25 (No response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Then I thank you very much.
2 This is the end of the public hearing part of this
3 session.

4 I would like to ask us to take a 15 minute
5 break, it is 3:02. We will reassemble at 3:17.

6 (Whereupon, the above-entitled matter
7 went off the record at 3:02 p.m. and
8 went back on the record at 3:22 p.m.)

9 CHAIR DAUM: I have asked Dr. Levandowski
10 to put the question up on the screen for us.

11 The question that was passed out this
12 morning is a little longer than that. Which one is
13 from the previous meeting? This one. We will go with
14 what Roland says.

15 So this is the question, and it has been
16 the tradition of this committee, I think, to consider
17 this antigen by antigen, beginning with H1N1, going
18 then to H3N2, and going to B for a grand finale.

19 So what I would like to do is to see if
20 there is any more general discussion, or general
21 comments that people would like to make before we
22 begin consideration of the question.

23 We have had some pretty lively discussion,
24 but if we need to have a little more, that is fine.
25 Dr. Decker, we need to have a little more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DECKER: Just briefly I want to go
2 back and revisit one question I asked that I didn't
3 get fully answered, that I thought would be helpful in
4 the long run for us.

5 And that is to ask the Aventis and
6 Letterly reps to compare for us the impact on them of
7 two alternate scenarios. One is they are not given a
8 recommendation until, say, March. Therefore they
9 don't do anything in serious furtherance of the
10 recommendation, because it wasn't given.

11 The other is they are given a
12 recommendation in good faith at this time of the year,
13 right now, but then a freight train comes roaring out
14 of China bearing new information and people say, wait,
15 we have to change that.

16 Now, would that latter scenario cause some
17 damage, materially, over and above the effects of the
18 simple delay until March? So that question is
19 addressed to the production representatives from the
20 vaccine manufacturers.

21 CHAIR DAUM: Is Dr. Slusaw still here,
22 would he care to respond to the question? I think I
23 see him emerging from my visual field, into my visual
24 field.

25 MR. SLUSAW: Greg Slusaw, Aventis Pasteur.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think after considering the alternatives it would,
2 obviously, be desirable to have the selection earlier,
3 even with the risk of a potential change later in the
4 process that would mean expending additional
5 resources.

6 But still assuming that it is relatively
7 low risk, that the decision would ultimately be
8 changed, it would probably be advantageous to be able
9 to begin manufacturer earlier.

10 CHAIR DAUM: With the recognition that you
11 are not representing the industry today, we had two
12 other spokespeople from two different companies, would
13 they care to comment on this very question?

14 MR. HJORTH: Well, I think a lot would
15 depend on how far we got with the other strain before
16 the change were made. If we were just doing is
17 development that would be great. If we were actually
18 manufacturing, you know, that is -- those eggs are
19 gone forever, you know, we've used them up.

20 But it would depend on the relative yield.
21 If the new strain were a much better yielder, we would
22 be happy to change and throw out two weeks of vaccine,
23 or something like that.

24 So it is kind of hard to give a black and
25 white answer, I think.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: So it just depends, sort of.
2 Thank you very much. Other general questions before
3 we begin H1N1 discussion? Dr. Kim, please.

4 DR. KIM: Well, I guess one plea that I
5 would like to make is that instead of hearing same
6 kind of data although the presentation, as Dr. Daum
7 indicated, that are much clearer or well done this
8 time, but my plea is that instead of hearing the same
9 kind every year, that perhaps we can have some
10 additional information to those questions which have
11 been raised during the discussion session, that there
12 are no information available to those issues.

13 Perhaps those issues can be incorporated
14 so that, perhaps, we will have more data, and a little
15 more, perhaps science into this process in future
16 meetings.

17 CHAIR DAUM: Thank you, Dr. Kim. Other
18 general comments?

19 (No response.)

20 CHAIR DAUM: Good, let's move on, then.
21 We have the FDA's question of what strain, I'm going
22 to interpolate to mean what H1N1 strain should be
23 recommended for inclusion in next year's flu vaccine.

24 And, Dixie, there you are in the hot seat
25 to start our discussion, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Actually I think for H1N1
2 that is an easy question, at least in my mind. It
3 seems to me that all the epidemiologic, serologic and
4 genetic information we have been provided today
5 suggests that we have a vaccine that is well matched.

6 We don't have any evidence that it is not
7 efficacious. And, therefore, I would recommend, for
8 the H1N1, that we leave in the current A/New
9 Caledonia/20/99 strain.

10 CHAIR DAUM: Okay. Dr. Stephens?

11 DR. STEPHENS: I would agree with that.
12 I think that, as Dixie has suggested, the data
13 supports continued use of that strain. We still have,
14 I believe, seven to ten percent of the Johannesburg
15 strain causing disease, and there is, obviously, some
16 concern that we might identify something new quickly.

17 But I think certainly for right now the
18 New Caledonia strain would be my recommendation.

19 CHAIR DAUM: Thank you very much. Dr.
20 Kim, please.

21 DR. KIM: I concur, New Caledonia should
22 be the one for the next year.

23 DR. GRIFFIN: I agree, New Caledonia.

24 CHAIR DAUM: We may have started out with
25 a nice simple one to get the juices flowing here. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Huang?

2 DR. HUANG: Right. This is a no-brainer.

3 I agree, too.

4 CHAIR DAUM: Steve?

5 DR. KOHL: The only reservation I have is
6 Dr. Cox's comment that there is a shipment coming from
7 China. And I agree right now that New Caledonia looks
8 like the best.

9 But my decision would be a little bit
10 biased if we were sure which H3N2 we wanted to do at
11 this point, and could recommend that first, with
12 confidence.

13 Then I would be for waiting a couple more
14 weeks to do the H1N1 pending these new strains.

15 CHAIR DAUM: I am not entirely sure I
16 follow that. Could you clarify for us, please?

17 DR. KOHL: Well, it sounds like the -- I'm
18 jumping ahead, as usual. But it sounds like the H3N2
19 story is a little simpler, actually, than the H1N1,
20 because -- the H3N2 is more complicated?

21 Well, it is more complicated, and this is
22 why I'm jumping ahead, it is more complicated if the
23 neuraminidase issue is a problem. And I was hoping
24 that Dr. Cox, possibly, could clear that up to begin
25 with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Or do you want to wait for the H3N2? What
2 I'm saying is that my H1N1 decision is tempered
3 somewhat by the H3N2 decision. Because the only
4 really new data we are expecting in the next couple of
5 weeks is H1N1 new strains from China.

6 And if there is a blast of new strains
7 coming from China, as Nancy said is possible, that
8 would really change what I would feel comfortable
9 with.

10 CHAIR DAUM: How about if we go ahead and
11 take your H1N1 decision, but allow you to revisit it,
12 depending upon what the H3N2 decision is.

13 DR. KOHL: Okay. Then it is New
14 Caledonia.

15 CHAIR DAUM: There. Dr. Manley, please.

16 DR. MANLEY: I agree. I think the New
17 Caledonia is the strain that I would recommend.

18 CHAIR DAUM: Dr. Diaz?

19 DR. DIAZ: I likewise would agree.

20 CHAIR DAUM: Ms. Fisher?

21 MS. FISHER: I am going to abstain.

22 CHAIR DAUM: Could we flesh you out a
23 little bit? It sounds like there is a concern in your
24 part that we haven't heard.

25 MS. FISHER: I just do not feel that I

1 should be voting on this particular issue. I don't
2 feel backgrounded enough on it.

3 CHAIR DAUM: Thank you very much. Dr.
4 Fagget, please.

5 DR. FAGGET: I agree with New Caledonia.

6 CHAIR DAUM: Dr. Estes?

7 DR. ESTES: I agree that this is the
8 simplest decision. I think really the only issue,
9 probably, on everyone's mind on the committee is that
10 when we look at the isolations of the viruses, it is
11 not clear if we've hit the top of the peak, or for the
12 next two weeks that is going to increase, and there
13 will be new viruses that appear there.

14 But based on all the data that we have at
15 the moment the recommendation, I think, should be to
16 keep the New Caledonia as the H1N1.

17 CHAIR DAUM: Thank you very much. Dr.
18 Ferrieri?

19 DR. FERRIERI: I support the New
20 Caledonia/20/99. If Dr. Cox and colleagues find
21 anything that is dramatically different, please let us
22 know.

23 CHAIR DAUM: Thank you. Dr. Myers?

24 DR. MYERS: I agree.

25 CHAIR DAUM: Dr. Goldberg?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: I agree.

2 CHAIR DAUM: And Dr. Kilbourne?

3 DR. KILBOURNE: Based on the information
4 now on hand I certainly concur.

5 CHAIR DAUM: Thank you. And FDA, there is
6 a box here, but I presume that -- ignore that box,
7 thank you very much.

8 Then we have a consensus on issue number
9 one, or question one in the way of H1N1.

10 DR. FERRIERI: What about your vote?

11 CHAIR DAUM: My vote is to keep the --
12 thank you, Dr. Ferrieri. I actually totally concur.
13 I think maintaining the present strain is the right
14 way to go. And I will put my name on here. My name
15 is not on here, that is why I didn't call on me.

16 (Laughter.)

17 CHAIR DAUM: I'm under fire here all the
18 time, it is not easy.

19 Let's move on to the next issue, the same
20 question for the H3N2 candidate. And ask the exact
21 same question, and start with the same sequence. Dr.
22 Snider? I'm sorry, Dr. Cox?

23 DR. COX: If I could just make a technical
24 comment? I realize, during the break, or it was
25 brought to my attention during the break that there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some confusion about whether Panama and Moscow are
2 considered to be antigenically similar. They are.

3 So I don't know if that was confusing some
4 people or not. But --

5 DR. GRIFFIN: For the HA?

6 DR. COX: The HA is antigenically similar.
7 The neuraminidase is not genetically similar. We
8 haven't tested its antigenic properties.

9 CHAIR DAUM: Thank you very much. If
10 there was confusion about that, it sounds clarifying.

11 Dr. Snider, please.

12 DR. SNIDER: Harry always swap back and
13 forth --

14 (Laughter.)

15 CHAIR DAUM: And you saw what happened to
16 him.

17 DR. FERRIERI: I used to go back and
18 forth, too, and I'm still here.

19 CHAIR DAUM: Well, good. Dixie, listen,
20 for the B we will not start with you, all right?

21 DR. SNIDER: H3N2 is a bit more
22 complicated decision, a little bit less clearcut, I
23 think.

24 First of all there have been fewer
25 isolates this year. I mean, it hasn't been an H3N2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 season. So the number of isolates that we have to
2 evaluate is relatively limited.

3 As I mentioned earlier, we expect that Dr.
4 Cox and presumably some others will be getting some
5 additional H3N2 isolates, although they won't be large
6 in number, given the small number of H3N2 isolates
7 this year, we are really less certain.

8 And then there is the issue that was just
9 mentioned about the neuraminidase match being not so
10 good in terms of comparison with the consensus.

11 And, therefore, there is some reason for
12 concern. Nevertheless I think I'm not completely
13 convinced that we have a good alternative in hand
14 right now.

15 So I'm torn between saying let's wait and
16 get the additional isolate information to make sure
17 that there is nothing new that has popped up on the
18 H3N2 scene versus staying with the current strain.

19 And I'm not sure what -- how much
20 additional information is going to be available in the
21 next two to three weeks, or what impact a two to three
22 week delay would have in making that decision, or if
23 we would have to wait until a March meeting.

24 I'm sorry to bring that up at this
25 particular point in time, but this is the point at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which it came to mind. I mean, we have very little
2 information, and a little more information here might
3 increase or decrease our level of comfort staying with
4 the same strain.

5 CHAIR DAUM: So I am going to try to
6 interpolate what I'm hearing, and that is that you
7 are, at least, tentatively believing that we should
8 remain with the same?

9 DR. SNIDER: Tentatively remain the same,
10 but inclined, if it is not very problematic, to want
11 to take into account what the new strains that will be
12 looked at demonstrate. And have the flexibility to
13 make a change at that point, if there is something
14 that shows up there that indicates there should be a
15 change.

16 But if pressed today, if someone said you
17 have to make a decision today, I would say stick with
18 the same strain, because I don't have an alternative
19 that I want to offer that I think is a better option.

20 CHAIR DAUM: Well, I think the -- I think
21 the practical thing to say back to that is that we are
22 asking you to commit today. At the same time I think
23 everybody's mindful, at least I hope they are, CDC,
24 FDA, and other agencies that are involved, of our
25 comments that if there is a reason to be brought back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the table by a conference call, or a revisit to
2 this issue, we would like to be consulted.

3 But I think we are being asked to make the
4 best decision we have.

5 DR. SNIDER: In that context --

6 CHAIR DAUM: With the available
7 information.

8 DR. SNIDER: In that context then, as of
9 today, with the information I have today, then I think
10 I would stick with the A/Panama/2007/99, I think is
11 the one in the current vaccine.

12 CHAIR DAUM: Dr. Stephens?

13 DR. STEPHENS: I basically agree with
14 Dixie's comments. I think that as of today our choice
15 is the A/Panama/2007/99 strain. I have the
16 reservations about the neuraminidase issue which have
17 been discussed.

18 And also a point that was raised earlier,
19 that didn't get a lot of attention, and I think it was
20 by Dr. Hampson, concerning these low avidity viruses
21 strains that are H3N2. I would like to know more
22 about those.

23 But as of today the A/Panama strain seems
24 the best choice.

25 CHAIR DAUM: Dr. Kim?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KIM: I guess I concur with the
2 previous two speakers. With the given information
3 available today that if I had to choose, then I think
4 I have to choose the A/Panama with some constraints
5 being outlined by the previous two speakers.

6 DR. GRIFFIN: I would agree that A/Panama
7 is the best choice for today, with the caveat that I
8 think that the H3N2 strain is the one that we have the
9 most risk, that we might have to change it downstream
10 if we got more important information on new strains
11 that were emerging.

12 And also would just like to raise the
13 issue, since we know we will be choosing a strain
14 where the neuraminidase is divergent from the strains
15 that are currently circulating, or appear to be
16 circulating in greater abundance, as to whether there
17 is any way to design a study in order to be able to
18 get some information that might shed light on whether
19 having a neuraminidase match is or is not important in
20 this kind of a context.

21 And so I would just put that out as a
22 thought.

23 CHAIR DAUM: Thank you very much. Dr.
24 Huang?

25 DR. HUANG: I think given the current

1 information that there is drift in the N2, and not
2 very much drift, if any, in the H3, that I would
3 certainly stick with the H3, and certainly would not
4 change H3 just because of the N2 drift.

5 The other question of the low avidity, or
6 the low reacting strains to the H3, is a bother. And,
7 obviously, one needs to keep an eye on it. I think
8 that Nancy Cox mentioned that for these strains, that
9 if you looked at the N2 pattern, there wasn't any
10 genetic consistency. Correct me if I'm wrong, Nancy.

11 DR. COX: For the low reacting strains
12 there was no consistency in which genetic group they
13 fell out in, in terms of their HA.

14 DR. GRIFFIN: For the HA, for the N,
15 right? So, anyway, I come down to the fact that I
16 agree that we should retain Panama.

17 CHAIR DAUM: All right. Dr. Kohl?

18 DR. KOHL: Now I can get back to where I
19 was going. I agree with what everyone said. I think
20 right now the Panama looks like the best bet we have.

21 But I think the pharmaceutical companies
22 have to make a practical decision at this point. They
23 have to go in with one virus to their eggs that are
24 being laid a half a million a day.

25 And I guess I would ask Nancy, Dr. Cox,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which one should they go with first and give
2 themselves a month more of time on the other one? Is
3 it riskier to go with the H1, or is it riskier to go
4 with the H2? Or whatever.

5 Would you go with the Panama, or would you
6 go with the Caledonia first?

7 DR. COX: I would, personally, most likely
8 think that it would be safer to go with the New
9 Caledonia, simply because we have more data, and it
10 seems really solid at the moment.

11 There could be some surprises with the
12 strains coming from China, but because the picture has
13 been so consistent I feel that we are standing on a
14 firmer foundation with that subtype.

15 DR. KOHL: With that in mind, then, I
16 would continue to support the New Caledonia first, as
17 the committee has already decided. And then presuming
18 that we will go with the Panama, but we have a month
19 of new data to come in before we have to make that
20 decision firm.

21 CHAIR DAUM: I am going to put you down as
22 a Panama/defer, and put that flavor in it. Dr.
23 Manley?

24 DR. MANLEY: In light of all that has been
25 said I concur that we should proceed with Panama. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we would expect, certainly CDC and the FDA to be
2 vigilant.

3 And as you have said if there is
4 indication that this needs to be revisited they would
5 let us know. But I would not defer, I think they
6 should proceed.

7 CHAIR DAUM: Thank you. Dr. Diaz, please.

8 DR. DIAZ: Based on my current
9 understanding of the problem, I would concur and be,
10 probably, in the category of continuing with the
11 Panama with a slash defer, as you put it.

12 I want to make sure that, I guess I'm a
13 little bit confused about the issue. And at least my
14 current understanding of this particular situation,
15 that I guess I'm asking is this correct in my
16 understanding of this.

17 That the neuraminidase, the differences in
18 the neuraminidase that we are seeing currently tend to
19 fall more along the lines of the Moscow strain, and
20 the Ulan Ude strain, but all three, Panama, Ulan, and
21 Moscow, have similar hemagglutinin.

22 And that what we are trying to balance
23 here is the question of whether we more selectively go
24 after the neuraminidase change that we are seeing,
25 that seems to be a trend. Or whether we stick with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the Panama strain which will, presumably, if the
2 neuraminidase does not play that large a role in terms
3 of protection, that by going with the A/Panama we will
4 be able to protect ourselves a little bit in case some
5 of that neuraminidase does not continue to progress
6 along the same lines, and perhaps changes and picks up
7 some other neuraminidase characteristics that are
8 similar to other strains, but not necessarily the
9 Moscow or the Ulan.

10 CHAIR DAUM: Does someone from CDC or FDA
11 want to answer that?

12 DR. COX: I think that we have, perhaps,
13 injected a little bit of confusion into the process.
14 And I just want to emphasize, again, that when we are
15 looking at hemagglutinin, and we have both antigenic
16 and genetic data, when we look at neuraminidase we
17 have only genetic data at the present time.

18 And so we don't really know if those
19 genetic changes confer antigenic differences on the
20 viruses. In the past, where we had choices, where we
21 had already clearly decided that we needed to update
22 the vaccine strain based on the HA, then we really
23 tried to match, as closely as possible, the
24 neuraminidase.

25 But we have never really changed strains

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based on the neuraminidase alone, I think. So while
2 we know that in genetic terms the Ula Ude and Moscow
3 have a better matching neuraminidase, we really don't
4 know what that means in terms of protection.

5 DR. DIAZ: That is a better clarification.
6 Again, I would state --

7 CHAIR DAUM: That is a very helpful
8 comment. Thank you, Dr. Cox. So where do you come
9 down?

10 DR. DIAZ: That we should stay with the
11 A/Panama, but if something unusual comes down later
12 that we could defer. But, currently as of now, I
13 would -- the A/Panama seems to be the best choice.

14 CHAIR DAUM: Thank you. Ms. Fisher?

15 MS. FISHER: I am abstaining.

16 CHAIR DAUM: Thank you. And for the same
17 reason?

18 Dr. Fagget?

19 DR. FAGGET: Based on the previous
20 discussion I agree that Panama/2007/99 should be the
21 choice at this time.

22 CHAIR DAUM: Thank you. Dr. Estes?

23 DR. ESTES: I think based on what we have
24 seen presented today I'm comfortable, I think the
25 A/Panama would continue to provide protection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, there is some concern about where
2 are we on, are we at the peak, or is there going to be
3 some other activity in this curve, because we haven't
4 seen where the end of the curve is going.

5 So that would be the only concern,
6 particularly with the H3N2 viruses, which do cause
7 more severe disease. So I agree with everyone, but I
8 think this FDA and CDC certainly should have the
9 option to look at that again, and perhaps come back to
10 us if something happens dramatically.

11 And based on everything that has happened
12 in the last several years, within the next two weeks
13 you should know whether that peak is beginning to go
14 down.

15 CHAIR DAUM: Thank you very much. Dr.
16 Ferrieri?

17 DR. FERRIERI: I support staying with
18 A/Panama/2007/99. And based on the information we've
19 heard, it would appear that the antisera to A/Panama
20 neutralize some of these other strains that have been
21 in for studies.

22 My educated guess is we are not going to
23 see many more H3N2s, and that we will end up staying
24 with this one. I say that for comfort for the
25 manufacturers, and as you are dealing with all those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 eggs, I recommend you see the movie "Chicken Run".

2 (Laughter.)

3 CHAIR DAUM: Thanks for the
4 recommendation, Dr. Ferrieri. Let's continue with Dr.
5 Myers, please.

6 DR. MYERS: Like everybody else I would
7 like more data, but I also don't think we are going to
8 likely get much more for this season. So I think that
9 A/Panama makes the most sense.

10 I think that the many comments about the
11 discomfort about not knowing more about the
12 contribution of neuraminidase in the vaccine is making
13 everybody uncomfortable. And I would hope we collect
14 that data, so that we will make sure what its
15 contribution is.

16 CHAIR DAUM: Thank you, Marty. Dr.
17 Goldberg, please.

18 DR. GOLDBERG: I would agree, and I would
19 also echo that we should be collecting some
20 information on the world of neuraminidase.

21 CHAIR DAUM: Dr. Kilbourne?

22 DR. KILBOURNE: Well, first I apologize
23 for being a source of discomfort. But on the other
24 hand I'm glad to see a certain amount of discomfort
25 after all these years, in recognition of the probable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important of neuraminidase, and even a trivalent
2 vaccine of the sort.

3 Having said that, on the basis of Nancy
4 Cox's reassurance that these are just different, at
5 this point, in terms of sequence, rather than prove
6 antigenic differences, I would certainly go along with
7 retention of Panama.

8 CHAIR DAUM: And I would concur with what
9 you said. I would like to hear more, in future
10 years, about -- as I think Dr. Griffin suggested, and
11 Dr. Kilbourne I think you are suggesting also, to hear
12 more about what these antigenic -- excuse me, what
13 these genetic changes mean in terms of understanding
14 serology, and their importance in protection against
15 disease.

16 But I think right now we don't know how to
17 factor that information in. And I think that in terms
18 of sending a clear signal to manufacturers so that we
19 have a good vaccine supply next year, there is a lot
20 of solid data to support the fact that Panama is a
21 good choice, and I concur, therefore, with that.

22 And with that I will launch us into a more
23 controversial area, I guess, and that is the
24 consideration of the type B strain for next year. And
25 if I could be allowed, I would call upon my colleague,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and former Chairman of the Committee, Dr. Ferrieri, to
2 initiate the discussion, lest I go to Dr. Snider yet
3 again.

4 DR. FERRIERI: Well, very briefly, I
5 think that we have seen data that there has been
6 antigenic drift, and that of these variant strains
7 that have been presented to us, the B/Sichuan is the
8 prototype of such strains.

9 Although we see no evidence of these
10 moving around in the population, there is the
11 potential that they will, and we would be
12 uncomfortable, I think, staying with the Yamanashi,
13 whatever it is, 166/98.

14 And so -- and I guess Roland has convinced
15 me, and I reexamined the serologic responses, and I
16 would use the adjective that they are somewhat reduced
17 compared with the newer strains.

18 And so I think on the basis of data on
19 current strains that might be available to us, and
20 this is where I need correction, the B/Victoria of the
21 A/Sichuan lineage, is that correct, Nancy?

22 DR. COX: Yes.

23 DR. FERRIERI: And so -- and that gave
24 moderate growth. So I would make the recommendation
25 that we -- everything works out perfectly in vitro

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that -- well, it is in vivo, eggs, sorry. That we
2 would go to B/Victoria/504/2000.

3 CHAIR DAUM: Thank you very kindly, Dr.
4 Ferrieri. Dr. Myers, please.

5 DR. MYERS: Let me be sure I understand
6 also, Nancy, the B/Sichuan is of the same as --

7 DR. COX: B/Sichuan and B/Victoria are
8 antigenically similar to each other, and would be
9 considered equivalent strains, antigenically. And,
10 therefore, in terms of the vaccine properties.

11 DR. MYERS: I guess what I tend to agree
12 with what Dr. Ferrieri said. I have a concern in that
13 we hear that there is a great deal of activity in
14 China that is B, and that we will have more
15 information in a couple of weeks.

16 We have spotty geographic activity which
17 is predominantly New Caledonia in this country. So
18 while I tend to agree with what she is saying about
19 the Victoria and Sichuan, the direction we should go,
20 because we are seeing drift, I sure would like to know
21 what those strains in China look like.

22 I guess -- so I guess this is one I would
23 probably suggest deferring for two to three weeks.
24 But if it is two months until there is another
25 meeting, then I guess I would probably go with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Victoria.

2 CHAIR DAUM: Thank you very much. Dr.
3 Goldberg, please.

4 DR. GOLDBERG: I guess I would like to see
5 more information, also. In lieu of that, the
6 Victoria. But if there is a possibility of deferring
7 anything, I would suggest we do that with this.

8 CHAIR DAUM: I think that -- let's try and
9 clarify this, because I think you have really two
10 possible decisions to make, and we can certainly have
11 discussion which one is the best.

12 But one of them would be to say that you
13 believe that a -- I mean, you believe either staying
14 with the same strain, or changing to a prototype such
15 as B/Victoria is what we should now recommend.

16 The other option is, as Dr. Myers has
17 done, is to say I make no recommendation, but rather
18 defer. I think the decision to pick a prototype now,
19 either the existing Yamanashi strain, or the proposed
20 change of Dr. Ferrieri and now Dr. Goldberg carries
21 with it, and I hope Dr. Cox and Levandowski have heard
22 us, that if something startling happens, or if there
23 is some new information that this committee should
24 consider, we would be delighted to, and in fact want
25 to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And so that anybody who picks, let's just
2 say B/Victoria, it carries with it that notion that we
3 would like to be informed, and have an opportunity to
4 discuss, again, within the limits of the practicality
5 of the manufacturers, and the eggs, and the chickens,
6 and all the things we've heard that go into this
7 decision, we would like to hear about that.

8 Deferring, on the other hand means that I
9 give no advice today, and I would like to not do
10 anything until this new information is available in
11 terms of advice.

12 So I would like people to consider that as
13 they go around the table, and try to help with their
14 best opinion. Granted, it is not a perfect world, but
15 it is where we live.

16 DR. FERRIERI: Could I add to what I
17 said?

18 CHAIR DAUM: Yes.

19 DR. FERRIERI: It is implicit, in what I
20 said, that it would obviously be influenced by new
21 information, and that is the way it has always been in
22 the past, and I don't doubt that we will be hearing
23 from them again.

24 CHAIR DAUM: Dr. Midthun, did you want to
25 comment?

1 DR. MIDTHUN: Yes, I just wanted to say
2 that it might be helpful if people said we feel that
3 we need to move away, if they feel we need to move
4 away from the Yamanashi strain to say so, and then if
5 they make that decision and then to say either I need
6 more information before I can give a recommendation,
7 or this is my recommendation.

8 And that way we would know, at least, if
9 there is a fairly significant trend towards moving
10 away from Yamanashi.

11 CHAIR DAUM: I'm going to ask FDA to
12 understand that if a person such as Dr. Ferrieri says
13 B/Victoria, we don't have to separately ask her if she
14 wants to move away from Yamanashi.

15 On the other hand if Dr. Myers says I wish
16 to defer we will ask him whether he wishes to move
17 away from Yamanashi, or he can't say anything to us
18 right now.

19 So, Dr. Myers, I'm going to dump this back
20 in your lap. Would you like to make no decision at
21 all right now, or do you know that Yamanashi is not
22 your recommendation, can you go any further than just
23 defer?

24 DR. MYERS: I think I would say we need to
25 move away from Yamanashi.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Thank you very much. And,
2 Dr. Goldberg, I have your vote recorded. We will go
3 to Dr. Kilbourne.

4 DR. KILBOURNE: Well, I would vote at this
5 point to move to the Victoria.

6 CHAIR DAUM: Thank you very much. We are
7 going to do a loop the loop here, and pick up with Dr.
8 Estes on this side of the table, and go up this way.

9 DR. ESTES: To me the data looks very
10 clear that we need to move away from the Yamanashi
11 probably to a B/Sichuan but I think that picking a
12 specific strain today is too early.

13 I think more information is needed, in
14 particular how well these various candidates behave in
15 eggs, and so forth.

16 CHAIR DAUM: Thank you very kindly. Dr.
17 Fagget?

18 DR. FAGGET: I need one clarification. It
19 would appear that B/Victoria is not isolated as much
20 since April of this year. Am I reading it correctly,
21 that CDC has not really identified that as being
22 present?

23 DR. COX: The strains, that is just the
24 particular strain. That strain itself was isolated in
25 April. I didn't check, but that is probably right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But it is a B/Sichuan-like strain, or you could
2 consider it the other way around, that B/Sichuan is
3 Victoria-like, they are antigenically similar to each
4 other. Does that help?

5 DR. FAGGET: Yes, that helps.

6 DR. COX: So the viruses like B/Victoria
7 have been isolated.

8 DR. FAGGET: Been isolated, okay. Well,
9 based on the discussion, and that clarification, I
10 would agree to move away from Yamanashi and to the
11 Victoria.

12 CHAIR DAUM: Thank you very kindly. Ms.
13 Fisher?

14 MS. FISHER: I abstain.

15 CHAIR DAUM: Is it for the same reason?

16 MS. FISHER: That is correct.

17 CHAIR DAUM: Dr. Diaz? Thank you, Ms.
18 Fisher.

19 DR. DIAZ: I would move that we move away
20 from the B/Yamanashi to the B/Victoria, obviously
21 withstanding we need data that may come down the pike,
22 but currently that would be my recommendation.

23 CHAIR DAUM: Thank you very much. Dr.
24 Manley?

25 DR. MANLEY: I agree that we should move

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the B/Victoria/504/2000. And, again, that if both
2 CDC and FDA would be vigilant, and if there is reason
3 for us to revisit this in the next three or four
4 weeks, that they would then notify the committee.

5 That would be my vote, thank you.

6 DR. KOHL: I agree with the move away from
7 Yamanashi to a Sichuan-like virus that grows well as
8 determined by the manufacturer.

9 CHAIR DAUM: Dr. Huang?

10 DR. HUANG: I agree with the move away
11 from Yamanashi, and I would hold a decision on what
12 strain to move to.

13 CHAIR DAUM: Thank you very much. Dr.
14 Griffin?

15 DR. GRIFFIN: I think this virus, I mean
16 I think we have more information on the B viruses
17 strains than we usually do, because there has been a
18 lot of B virus around. And it seems pretty clear to
19 me that it has moved away from Yamanashi.

20 So I would definitely agree that we should
21 move to a strain, it is going to be easier to make one
22 change, at least, at a time. That we should move away
23 to a new B strain, and Victoria right now looks like
24 the one that grows the best.

25 But if there should be a better one, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be fine.

2 CHAIR DAUM: Dr. Kim, please.

3 DR. KIM: Yes. I agree that we move away
4 from Yamanashi for all the reasons that have been
5 presented, and to a strain like Sichuan, again, the
6 final selection of the strain will be determined based
7 on the in vivo and other information available.

8 CHAIR DAUM: I need to clarify that, I
9 apologize. I understand you want to move away from
10 the Yamanashi. But do you recommend B/Victoria today,
11 or do you defer?

12 DR. KIM: Defer.

13 CHAIR DAUM: Okay, thank you. Dr.
14 Stephens?

15 DR. STEPHENS: I agree we should move to
16 a Sichuan-like strain, the choice of which I think
17 should be deferred.

18 CHAIR DAUM: Thank you very much. And Dr.
19 Snider?

20 DR. SNIDER: I agree that we should move
21 away from Yamanashi, barring any unexpected events,
22 such as trying to move away from it would
23 substantially decrease vaccine supplies in the
24 country.

25 And, therefore, I would move to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sichuan-like strain, probably Victoria, but I think
2 since the manufacturers are still in the process of
3 evaluating these, it is premature to be too definitive
4 about that.

5 CHAIR DAUM: Okay. I'm going to record
6 you to be B/Victoria, unless you correct me. Would
7 you like to be a move away from Yamanashi, defer; or
8 would you like to be a B/Victoria?

9 DR. SNIDER: I think they are going to
10 defer, anyway. They are going to keep playing with
11 this, and if it doesn't work --

12 CHAIR DAUM: Well, we would like your
13 opinion.

14 DR. SNIDER: My opinion is that they
15 should choose what works best for them.

16 CHAIR DAUM: That sounds like defer to me.
17 I'm sorry -- I hope I'm not putting words in your
18 mouth.

19 DR. GRIFFIN: But I guess I want to
20 clarify, by defer are you implying that they have to
21 come back to us to actually make the strain selection,
22 or that they should defer until they have enough
23 information that they have decided on the best strain?

24 CHAIR DAUM: That is a good question. Let
25 me ask Dr. Levandowski, or Dr. Cox's opinion about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 DR. GRIFFIN: I personally don't think
3 this is where we have expertise.

4 CHAIR DAUM: It is a reasonable question.

5 DR. LEVANDOWSKI: Well, I guess we are
6 going to expect the committee to make a specific
7 strain recommendation at some point. I don't think we
8 were expecting that the committee would have to name
9 strains for all the strains today.

10 And that has been true in the past. But
11 I think that is what you've been telling us about, if
12 we find some new information that we should expect --
13 and we are expecting, actually, to come back to the
14 committee.

15 We would be -- we will be continuing to,
16 as we have been, collecting information to try to
17 inform the recommendation. And we do want to come
18 back with that information to you, and have you review
19 it, and make the recommendation at the time that we
20 think that we have as much information as we are going
21 to have.

22 So I guess I'm just getting a little
23 confused, also, about the terminology that we are
24 using. But I guess what I have been hearing, and
25 maybe you will let me go on with this, and tell me if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm right.

2 Everybody thinks that the strain selection
3 for the H1N1 strain for New Caledonia is really the
4 only choice, and that is where we should go, and the
5 manufacturer should get busy, and they shouldn't
6 expect to have any other changes, barring something
7 really unusual happening.

8 Whereas with the H3N2, where there is
9 somewhat less information, and we are feeling
10 uncomfortable because it is an important part of the
11 vaccine, and for the B strain because we don't know
12 enough about the performance for the manufacturers,
13 that for those strains you are going to expect us to
14 bring some more information back to you and then you
15 will definitely make the recommendations.

16 CHAIR DAUM: On the other hand there was
17 a lot of support for the current vaccine strain in the
18 H3N2 situation.

19 DR. FERRIERI: We voted --

20 DR. MIDTHUN: Can I make a clarification?

21 CHAIR DAUM: Please.

22 DR. MIDTHUN: I guess, really, what I
23 thought I heard was that the majority of the committee
24 said we are comfortable going with the current H1N1,
25 and the current H3N2, barring something that is so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 significant that materializes within the next couple
2 of weeks, that we would then let you know about, so
3 that you could reconsider.

4 However, I think with the B what I'm
5 hearing is that many of you are saying that everyone
6 pretty much has said move away from the Yamanashi.
7 But in several instances people have said, we don't
8 yet have enough information to make a recommendation.

9 So a deferral in that instance means we
10 will definitely come back to you with information on,
11 further information on B, and get your input on that.
12 That is how I interpret deferral.

13 CHAIR DAUM: I see three hands. And
14 before I call on them I want to say, give my own vote
15 here. And that is that I believe we should move away
16 from the Yamanashi, and I'm pretty comfortable with
17 B/Victoria as a choice.

18 So I want to summarize by saying that the
19 committee, that Dr. Midthun summarized the committee's
20 views perfectly, I think, on the 2 A types. And on
21 the B type we are 16 in number voting, one abstention.
22 15 out of 16 want to move away from the Yamanashi.
23 Nine of those are able to name B/Victoria as the
24 strain they are comfortable moving to, today; 6 are
25 unable to name the strain they would like to move to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 today, and would require more information to make that
2 decision.

3 That is where things stand this minute.
4 And now we will have some more discussion about that.
5 Dr. Kohl, Dr. Stephens, and Dr. Snider.

6 DR. KOHL: I wonder if I could simplify
7 it, possibly. If we feel comfortable saying a
8 B/Sichuan-like virus, depending on positive growth
9 characteristics for whichever B/Sichuan-like viruses
10 that is, I think that is what Nancy is suggesting.

11 Because if tomorrow there is a virus that
12 grows better than the Vic, but is still a B/Sichuan
13 like virus, that would fit within our recommendations,
14 and would not have to be a deferral. Would that be
15 simpler?

16 CHAIR DAUM: It would be simpler. The
17 question is, do all the people that wanted to defer
18 agree with that? Dr. Huang, do you?

19 DR. HUANG: I do.

20 CHAIR DAUM: Dr. Estes, do you?

21 DR. ESTES: Yes.

22 CHAIR DAUM: Dr. Myers, do you?

23 DR. MYERS: Yes.

24 CHAIR DAUM: Dr. Kim, do you?

25 DR. KIM: Yes.

1 CHAIR DAUM: Dr. Stephens?

2 DR. STEPHENS: Yes, that was going to be
3 my point.

4 CHAIR DAUM: And Dr. Snider?

5 DR. SNIDER: Yes, that was going to be my
6 point too, not to tie their hands.

7 CHAIR DAUM: I think we've helped
8 considerably, thank you for whoever raised that point.

9 DR. GRIFFIN: And then on the other side,
10 all the people who voted for B/Victoria would also be
11 happy if there was another Victoria-like strain that
12 grew better, and that would be better for
13 manufacturing purposes.

14 CHAIR DAUM: I think that is true. I
15 think the concern about the Victoria is largely the
16 manufacturing issues. And so we should be able to say
17 that as well.

18 Dr. Goldberg?

19 DR. FERRIERI: It is actually just as
20 good in manufacturing, it is as good as Yamanashi,
21 probably.

22 CHAIR DAUM: We heard that from one
23 company.

24 DR. FERRIERI: Sorry, you are right.

25 CHAIR DAUM: Dr. Goldberg?

1 DR. GOLDBERG: I guess I would propose
2 that certainly my vote for B/Victoria would fit into
3 a good Sichuan-like virus with good growth properties.
4 So I would change my vote to go with the other.

5 CHAIR DAUM: I think we have come to a
6 nice closure on this. Does anyone want to make any
7 other points that haven't been made regarding this
8 issue?

9 Then I would like to adjourn the meeting
10 today, and remind the committee members that tomorrow
11 the good news is 9 o'clock is your starting time.

12 (Whereupon, at 4:09 p.m. the above-
13 entitled matter was concluded.)

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of:

Vaccines and Related Biological Products
Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: January 30, 2001

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

