

1 about how much beef people consumed. I think that is really
2 wrong.

3 DR. GAYLOR: In fact, we ought to go more than a
4 factor of three because the impact on the military, we have
5 heard, is more than it would be for the general public. So,
6 if we are going to look at impact, then it would go more
7 than a factor of three.

8 DR. PRUSINER: We just heard the impact is not a
9 problem, that they can fix it up. He just told us he can
10 take care of it. So, I just really totally disagree with
11 everything you have said.

12 DR. LEITMAN: Let me just reiterate that the
13 effect on the civilian blood supply is the same because
14 every person in the military is there for 18 months to 3
15 years. So, when they come back into the civilian population
16 we would be eliminating all Americans and their dependents
17 who served in that period in Europe. All of them. That is
18 still a 3 percent loss, predicted loss by the REDS data to
19 the civilian donor supply.

20 DR. BROWN: Yes, that is true. When they go
21 inactive and come back and start wanting to donate to the
22 civilian blood supply, they are 100 percent excluded.

23 DR. ROOS: Just two points. First, although beef
24 is imported into these bases, as you noted, Paul, there may
25 be dietary specialties of U.K. and France that are important

1 nd not actually mirrored in these military bases that may
2 e important to pathogenesis. So, I have a little less
3 concern perhaps about this U.K. beef, or it might of
4 nterest to get more detail with respect to exactly whether
5 t was processed or cooked, etc.

6 But another point, I mean the question here, if
7 ou read it literally, is whether we suggest some other
8 policy and I think the answer is yes, and I don't know
9 whether the FDA really wants us to come up with a number at
10 .he moment.

11 DR. BROWN: It just occurs to me why don't we just
12 say yes and punt the whole issue back to the FDA? Say yes
13 without specifying what. We should probably give them a
14 clue, however, if it is possible, as to the direction of
15 thinking. Is our direction of thinking altering a time? That
16 is the only direction I can think of but there may be
17 others.

18 DR. ROOS: But, you know, when we came up with the
19 six months it did have to do with benefit-risk --

20 DR. BROWN: That is right.

21 DR. ROOS: -- and what the damage was to the blood
22 supply.

23 DR. BROWN: That is right.

24 DR. ROOS: And, I am not sure that we know that if
25 we now limit residence in Europe of the military to one

1 'ears, or a year and a half or two years, or three, and that
2 s why I have some difficulty --

3 DR. BROWN: Right, and Stan is entirely correct in
4 laying that six months was based on benefit rather than risk
5 because we didn't know anything about risk, and we still
6 don't know anything about risk, but the benefit was that we
7 eliminated close to 90 percent of person years in the U.K.,
8 on the one hand, and did not damage the blood supply more
9 than two percent, on the other hand. So, these were really
10 the two elements of the formula that we used for the six
11 months and, logically speaking I suppose, again we have no
12 more science now than we did then and, therefore, if that
13 decision was made on that basis for the civilian community
14 it is conceivable that consistency calls for the same basis
15 to be used for the military. Colonel?

16 COL. FITZPATRICK: Let me clarify on Dr. Leitman's
17 comment. Allan and I discussed his slide afterwards and he
18 had age corrected and, if you recall, he had reduced the
19 number by about 16 percent. When we discussed it, it was in
20 the light of really it shouldn't be age corrected because as
21 those individuals age-they will become of an age to donate
22 but they won't be able to donate because they or a family
23 member was stationed in Europe during that time. So, the
24 actual number is over 3 percent, probably about 3.3 percent.

25 DR. BROWN: Another thing that the committee could

1 dlo would be to vote yes and then, as has happened before,
2 ask for information which a subsequent committee meeting
3 could consider.

4 DR. DAVEY: That is certainly a reasonable course.
5 Listening to the data that are somewhat sketchy and
6 unsubstantial about the impact, although there are some
7 warning flags, and hearing about the impact on the military
8 blood supply and the civilian blood supply, rather than give
9 the FDA just an unspecified yes, without any guidance, I
10 would suggest that it might be a more prudent course to give
11 the FDA a no at this point and perhaps, if necessary,
12 revisit this at a future meeting.

13 DR. BURKE: The reason that the six months was
14 chosen is that we tried to optimize the risk-benefit ratio.
15 In the military population, which is a closed system, if we
16 tried to optimize it for the military it won't be six months
17 because the number of persons who will be deferred will be
18 much greater because everybody that we are talking about in
19 that system will have been overseas. A much higher
20 percentage go overseas than whatever it is -- 10 percent of
21 donors in Chicago who go overseas. So, we would have to re-
22 optimize whatever that ratio is for the percentage of people
23 who will be spending that period of time in a risk
24 situation, or perceived risk situation. So, I disagree. It
25 has to be recalculated and I think the military can provide

1 those numbers. Without those numbers in hand, I think we are
2 just guessing about what that interval should be.

3 DR. BROWN: I don't think we disagree. Did I
4 indicate that we shouldn't recalculate?

5 DR. BURKE: If we didn't disagree, that is great!

6 COL. FITZPATRICK: We are talking about a finite
7 group and a finite period of time. We are talking about
8 1980-1996 at this point, with known procurement of beef from
9 U.K. sources so that that 4.4 million number, in regard to
10 this issue before the committee, is a static number.

11 DR. BROWN: Right.

12 COL. FITZPATRICK: No, as far as France, and
13 Portugal and those, it is different but it is a static
14 number at this point.

15 DR. BROWN: Would it be possible for Allan and
16 somebody from the military to put together the same kind of
17 two-parameter figure showing loss to blood supply versus
18 potential exposure to U.K. beef, in the way that we did for
19 the civilians, so that somebody could, if they chose to do
20 it, make a decision using the same basis? That is what you
21 wanted, wasn't it, Don, more or less?

22 DR. BURKE: I think we both wanted it.

23 DR. BROWN: Okay.

24 DR. KATZ: Do you have any estimate out of that
25 4.4 million who is still in the military? I would guess not

1 too many. This is primarily an impact on civilian centers.

2 **COL. FITZPATRICK:** That is where my 15 percent
3 Loss comes from. There are only about 215,000 active duty
4 members left on active duty and about 217,000 family
5 members. So, in aggregate I have about 442,000 that I have
6 to deal with.

7 **DR. BROWN:** So, basically we are talking about
8 half a million people.

9 **DR. BOLTON:** I would like to clarify something. My
10 understanding is that the six-month U.K. rule already covers
11 those in the military who served in the U.K. Is that
12 correct? What we are talking about here really is those who
13 were in the European Theater but were not in the U.K.

14 **COL. FITZPATRICK:** Yes, that is true.

15 **DR. BOLTON:** So, their diet is, at most, 25030
16 percent U.K. sourced meat and the rest is either U.S. beef
17 or local economy beef. So, I think in that case that
18 adjustment of the risk factor is warranted. You are really
19 talking about somewhere between a three- and five-fold
20 reduction in risk, and so you could rationalize, if there is
21 any way to rationalize this, the 24-30-month period of time.

22 **DR. BROWN:** Yes, we can rationalize the risk ratio
23 but what we don't know is the effect of the various time
24 periods on the blood supply. I mean, that is what we don't
25 know anything about.

1 DR. BOLTON: It is clear that an 18-month cut-off
2 time point is going to have a major impact, but 24 months
3 would have much less impact. So, in terms of that the risk-
4 benefit ratio for the military themselves is much better at
5 a 24-month or greater time period cut-off than 18 or 6
6 months. Is that right?

7 COL. FITZPATRICK: I can say that a 24-month break
8 would have an impact on both the civilian and the military
9 donors, probably more on civilian because that applies to,
10 the single soldiers who are there and may no have made a
11 career of the military and are now out. As far as Dr.
12 Brown's first question, I know that Allan and I could get
13 together on the demographic data as far as the effect on
14 both donor populations and analyze that. We have the
15 quandary on the risk factor and we would have to ask for
16 guidance from you and Col. Severin on what ratio or factor
17 could be used to factor in the risk based on the consumption
18 of beef, and how we go about determining that.

19 DR. LEITMAN: Dr. Brown, could I make a comment?
20 You have a natural experiment ongoing. You have zero cases
21 out of 4.4 million at risk, in contrast to 90 cases out of
22 55 million at risk who resided in the U.K. So, that is less
23 than 1/10 of the risk. There are no cases right now. It is
24 possible that there is actually no risk because, as someone
25 stated, the dietary habits in U.K., the cuisine in France

1 may be very substantially and critically different than what
2 into packaged beef that went to military commissaries. So,
3 the risk may be extremely low, approaching zero. You have no
4 data to suggest otherwise.

5 DR. GAYLOR: But the number of years are not the
6 same there. You are comparing U.K. years, people who have
7 been there 20 years, where the military may be only three or
8 four years. So, you get another factor of five.

9 DR. BROWN: Maybe it takes five years of constant
10 eating before you begin to play Russian roulette with
11 whatever it is that you have eaten. That is true. We just
12 don't know. We don't know, for example, if it takes six
13 successive exposures over a period of a week or two weeks or
14 a single exposure will do it. I mean, there is just no
15 information. Even experimentally there is no information.

16 DR. MCCURDY: Looking at the issue of the blood
17 supply, if you were to interdict all people who lived in
18 Europe you would have a very heavy impact on one major blood
19 center and one major metropolitan area, which may be very
20 difficult to overcome. The military is likely to have an
21 impact, perhaps a smaller impact but nevertheless an impact
22 that is spread over the entire country. If the blood supply
23 can cope with that loss, then you may have a leg up the next
24 time you have to consider this as to whether you should move
25 on to the rest of Europe. There may come a time -- I hope

1 and don't believe it is soon -- where the blood supply of
2 the whole country may not be sufficient because of the
3 multiple deferrals that are added. I think that is a ways
4 away.

5 DR. BROWN: I propose that we vote on question
6 (b). I think it is possible for the committee to say no, of
7 course, and they can say yes, and they can say yes'with the
8 proviso that they need additional data to even begin to
9 formulate a suggestion. If you would like to vote on that,
10 worded as such, that is to say the yes with the
11 qualification that the committee is really unable to
12 formulate any specifics about what that policy should be but
13 that we do feel that something ought to be done -- yes?

14 DR. EWENSTEIN: Given the nature of this question
15 being so vague, I mean, I would rather vote on a question
16 that recommends that an impact study be done.

17 DR. BROWN: Okay. Shall we word it in that way, an
18 impact study?

19 DR. EWENSTEIN: With the goal of trying to find an
20 optimal period of time of exposure of military personnel for
21 blood donation deferral.

22 DR. BROWN: Yes, it would be an impact study of
23 estimated risk versus effect on blood supply. That will be
24 the question we will vote on. Either we vote no, or yes with
25 the recommendation that an impact study be conducted to

1 examine the potential risk versus the impact on blood
2 supply.

3 **DR. EPSTEIN:** I think that the impact of a
4 majority vote yes is that the **FDA** should go away and try to
5 develop the issue a little better along the lines suggested,
6 like an impact study. I think the implication of a vote now
7 is that it is the sense of the committee that this risk does
8 not rise to the level that we should be developing a policy.
9 So, I think it is useful to have a yes or no vote before we
10 consider whether we want any additional votes. Personally, I
11 don't think we need an additional vote. We got the message.

12 **DR. BROWN:** Shall we go on to the next issue?

13 **DR. EPSTEIN:** I think it is important whether the
14 sense of the committee as a whole is that we should continue
15 to work on developing a policy or not. Because what we are
16 really talking about in terms of looking at an impact study
17 is how to develop a policy, and I am a little bit uncertain
18 what the sense of the committee is.

19 **DR. BROWN:** Fine, we will vote on the question as
20 written and then put on a little caveat. Yes?

21 **DR. CLIVER:** One thing I wanted to interject
22 before we actually go around is that it seems that we are
23 saying that this decision needs to be based on something
24 that isn't really science. We accept that. We realize that a
25 decision has to be made and the science isn't there. My

1 feeling is that our charge to FDA ought to be to go ahead
2 and look at this from an expediency standpoint, which is
3 where the six months came from or the ten years came from,
4 but don't necessarily come back to this committee with it.
5 Go ahead and consider these factors and go with it.

6 DR. BROWN: Well, part of the caveat was not
7 necessarily that they come back to this committee, although
8 I have no idea what other committee it might go to. I think
9 the FDA likes expertise brought to bear in public on their
10 decisions, and I think they are probably right to do so. In
11 any case, let us vote on this as written, which is do
12 members of the committee suggest some other policy for
13 deferral of U.S. military personnel or dependents due to
14 exposure to U.K. beef products? Stan?

15 DR. ROOS: Since I was defeated for the last one,
16 I have to vote yes this time.

17 DR. WILLIAMS: Yes.

18 DR. LURIE: Yes.

19 DR. CLIVER: Yes.

20 DR. BELAY: I can't really vote on this issue
21 without knowing what the impact is so I abstain.

22 DR. BROWN: Yes.

23 DR. BOLTON: Yes.

24 DR. NELSON: Yes.

25 DR. GAYLOR: Yes.

1 DR. PICCARDO: Yes.

2 DR. MCCURDY: Yes.

3 MS. FISHER: Well, I voted yes on (a) so I am
4 going to abstain.

5 DR. BURKE: No.

6 DR. EWENSTEIN: Y e s .

7 DR. DETWILER: Yes.

a DR. ROOS: Yes.

9 DR. FREAS: There were two abstentions, Ms. Fisher
10 and Dr. Belay. There was one no vote, Dr. Burke. All the
11 rest were yes.

12 DR. BROWN: Which is 13. Is that correct?

13 DR. FREAS: It should be, yes. Thirteen yes, one
14 no, two abstain.

15 DR. BROWN: And, I think the transcript will
16 reflect the direction of the committee's thoughts on what
17 kind of further information would be desirable before
18 anybody made a specific decision.

19 We now arrive at the next major topic for the day,
20 which charts unexplored territory in terms of similar
21 considerations of deferral of donors of human cells, tissues
22 and cellular and tissue-based products. We now have a number
23 of presentations and we will see if we can get through two,
24 three of four of them before we take a short break. The
25 first presentation will be background on current and

1 proposed policies for blood, human tissue and dura mater
2 regarding CJD and vCJD. This will be presented by Dr.
3 Solomon who is a member of the FDA. Dr. Solomon?

4 **Background on Current and Proposed Policies for Blood,**
5 **Human Tissue and Dura Mater Regarding CJD and vCJD**

6 DR. SOLOMON: Thank you.

7 [Slide]

8 I am going to provide some background information
9 on the current and proposed FDA regulation on human cells
10 and tissues. First, the current regulation. These products
11 are diverse and the regulation has been diverse. There is a
12 category called human tissue intended for transplantation
13 that does not receive FDA approval. Another group, the cell
14 and gene therapies are regulated as licensed biologic
15 products. Still other tissues are regulated as medical
16 devices, such as dura mater, heart valves and corneal
17 lenticulas.

18 Historically, FDA has not regulated hematopoietic
19 stem cells, except if they are extensively manipulated, nor
20 has it regulated reproductive cells and tissue. FDA does not
21 regulate organ or bone marrow transplantation. This is
22 regulated by another federal agency, HRSA.

23 [Slide]

24 We began regulating human tissue intended for
25 transplantation in 1993, and published a final rule in 1997

1 which was codified at 21 CFR 1270. Under this category would
2 be included musculoskeletal tissue, like bone, ligaments,
3 tendons, fascia, cartilage, ocular tissue such as corneas
4 and sclera, and skin. These regulations focus on a
5 determination of donor suitability through donor screening,
6 that is, looking for risk factors and clinical evidence, and
7 donor testing for certain specific agents -- HIV-1; HIV-2,
a hepatitis B and hepatitis C.

9 [Slide]

10 The donor screening process involves a donor
11 medical history interview, which is a documented dialogue
12 with the donor if living, or with an individual
13 knowledgeable about the donor's medical history and relevant
14 social behavior. It also includes physical assessment,
15 review of medical records, any laboratory test results,
16 coroner and autopsy reports, if available.

17 [Slide]

18 An exception to the requirement for the donor
19 medical history interview occurs with corneas procured under
20 legislative consent. There are three states that have laws
21 that permit retrieval of corneas by medical examiners or
22 coroners without the consent of the next of kin. In these
23 cases, the physical assessment is required. All available
24 information is reviewed, and the corneal tissue, when sent
25 to the ophthalmologist, is accompanied by a statement that

1 it was determined suitable in the absence of the interview,
2 and was procured under legislative consent.

3 [Slide]

4 Although the regulations I have just described do
5 not address TSEs, a guidance document that we issued in
6 July, 1997 states that, although not directly within the
7 scope of 21 CFR 1270, FDA' is aware that screening for
8 possible risks of exposure to CJD is recommended in industry
9 standards, and these risks include known family history of
10 CJD; receipt of human pituitary growth hormone; and receipt
11 of dura mater transplant.

12 The tissues that are known to have transmitted
13 classic CJD are dura mater and cornea. Dura mater is
14 currently regulated as a medical device. In July, 1999 the
15 Center for Devices issued a guidance on processed human dura
16 after several discussions with this advisory committee. The
17 guidance contains strict controls of the dura mater recovery
18 and processing. For instance, a donor is disqualified if he
19 has a diagnosis or known family history of CJD; receipt of
20 pituitary growth hormone; receipt of dura mater; a
21 degenerative or demyelinating disease; or other neurologic
22 disease; or has died in a neurologic or psychiatric
23 hospital.

24 [Slide]

25 In addition, this guidance for dura mater

1 recommends a gross and histologic examination of the brain,
2 the archiving of brain and dura mater tissue, testing for
3 prions by a validated test when available, CJD disinfection
4 by a validated procedure, manufacturing controls such as
5 aseptic recovery, procedures to prevent cross-contamination,
6 for instance, no co-mingling with tissues from several
7 donors, and use of disposable instruments. There are also
a record-keeping and tissue tracking requirements.

9 [Slide]

10 Next we will move on to the proposed FDA
11 regulations. In February of 1997 FDA published a proposed
12 approach to the regulation of cellular and tissue-based
13 products. This was a unified risk-based approach in which
14 all human cells, tissues and cellular and tissue-based
15 products intended for transplantation would come under one
16 umbrella. That is, all manufacturers of these cells and
17 tissues would be required to follow the same minimum
18 requirements.

19 To date, we have published three proposed rules
20 and are working on one guidance document. In 1998, we issued
21 a proposed rule on establishment registration. This has been
22 finalized and is on display today and will be published
23 tomorrow.

24 In 1999, we issued a proposed rule on donor
25 suitability. We are in the process of reviewing comments to

1 the docket for this proposed rule.

2 This past January, 2001, we issued a proposed rule
3 for current good tissue practice.

4 [Slide]

5 The scope of the proposed approach would include
6 all of the cells and tissue products that FDA currently
7 regulates. That is, the human tissue products, the
8 musculoskeletal tissue, ocular and skin tissue, the cell and
9 gene therapy, medical devices, as well as two types of
10 products that have not previously been regulated by FDA,
11 hematopoietic stem cells from peripheral blood or umbilical
12 cord blood and reproductive cells and tissue.

13 Under the proposed approach we would plan to make
14 dura mater and heart valve allografts -- we would consider
15 them regulating them as tissues instead of medical devices,
16 but these same controls have been incorporated into the
17 donor suitability and the good tissue practice proposed
18 rules.

19 [Slide]

20 The proposed rule on donor suitability would
21 require the screening of all donors for risk factors and
22 clinical evidence of HIV, HBV, HCV and now we have included
23 the TSEs. It would also require the testing of all donors
24 except autologous donors for HIV-1, HIV-2, hepatitis B,
25 hepatitis C and syphilis.

1 [Slide]

2 Again, the donor screening would involved the
3 medical history interview, physical assessment and review of
4 medical records.

5 [Slide]

6 However, there would be no exception from the
7 donor medical history interview for corneas procured under
8 legislative consent laws. The reasoning behind this is that
9 risk factors, signs and symptoms of TSEs would be expected
10 to be uncovered in the donor medical history interview, but
11 would be less likely to be found during other parts of the
12 screening process.

13 [Slide]

14 FDA specifically requested comments on this
15 proposal and we received mixed comments -- this is on the
16 requirement for a donor suitability interview, and 57
17 comments were opposed to having the interview be required;
18 ten comments supported having the interview be required.

19 [Slide]

20 I also want to point out that in the donor
21 suitability proposed rule we would not prohibit the use of
22 cells, tissues and tissues from an unsuitable donor, that
23 is, a donor with a behavioral risk factor or a positive test
24 in certain situations. In other words, there is an out-
25 clause. If the cells and tissues were for family related

1 allogeneic use, reproductive tissue from a directed donor,
2 or there is a documented urgent medical need, by which we
3 mean no comparable cell or tissue is available and the
4 recipient is likely to suffer serious morbidity without the
5 product.

6 [Slide]

7 This could only occur though provided that the
8 product was labeled biohazard and the physician was notified
9 of the screening and testing results, authorized the use,
10 explained the risk to the recipient or authorized
11 representative, and agreed to obtain consent.

12 [Slide]

13 We are in the process of developing a draft
14 guidance on donor suitability. This draft guidance will be
15 made available for comment. It may contain specific
16 information to assist in complying with the donor
17 suitability rule. It may contain specific questions to ask
18 regarding risk factors for and clinical evidence of TSE,
19 both classic CJD and, depending upon how the committee
20 advises us, vCJD.

21 [Slide]

22 I am skipping the next four slides in your handout
23 to save time and now I will read the charge to the
24 committee. FDA asks the committee to evaluate the risk of
25 transmission of vCJD through the transplantation,

1 implantation, infusion or transfer of human cells, tissues
2 and cellular and tissue-based products, and compare this
3 risk to that of the transfusion of blood and blood products
4 for which precautionary measures have already been adopted.

5 Based upon this evaluation and considering the
6 potential effect on supply, the committee is asked to
7 recommend whether FDA should defer donors of these cells and
8 tissues who have possibly been exposed to the BSE agent
9 through residence in or travel to BSE countries.

10 In addition, the committee is asked to consider
11 how information about residence or travel history can best
12 be obtained. This is particularly relevant to the situation
13 in which corneas are procured under legislative consent.
14 Again, this term relates to state laws that allow the
15 medical examiner or coroner to procure corneal tissue in the
16 absence of the consent of the donor's next of kin and,
17 hence, in the absence of a donor medical history interview
18 with the next of kin.

19 [Slide]

20 Now I will read the questions. The first question,
21 compared to the risk of transmission of vCJD by blood
22 transfusion, is there a significant risk of transmission of
23 vCJD from human cells, tissues and cellular and tissue-based
24 products that are transplanted, implanted, infused or
25 transferred? What are the relative risks for different cells

1 and tissues?

2 [Slide]

3 Just to remind you again of the diverse group of
4 cells and tissues that we are talking about, there would be
5 musculoskeletal tissues, bone, cartilage, ligament, tendon,
6 fascia, ocular tissues, cornea, sclera and skin, cellular
7 products such as chondrocytes, hematopoietic stem cells,
8 pancreatic islet cells, to name a few, reproductive cells
9 and tissues, semen, oocytes, embryos, dura mater, heart
10 valves, corneal lenticulas, some combination products like
11 skin plus a synthetic matrix. Just to remind you again that
12 FDA does not regulate vascularized organs or hematopoietic
13 stem cells from bone marrow if they are minimally
14 manipulated and a different federal agency regulates those.
15 So those are not on the table today. Thank you.

16 [Applause]

17 DR. FREAS: Thank you, Dr. Solomon. Dr. Gibbs is
18 scheduled for the next presentation and Dr. Asher will be
19 giving it. Thank you.

20 **Tissue Distribution of Infectivity in Human TSEs**

21 DR. ASHER: I am sorry that Dr. Clarence J. Gibbs,
22 Jr. wasn't able to be here today to present the results of
23 studies on the distribution of infectivity in humans with
24 spongiform encephalopathies, work that he began with Carlton
25 Guideshek in 1963 and which continued for more than 30

1 years. Joe is resting at home now. He is feeling better
2 after a couple of weeks in the hospital, but he is simply
3 not well enough to prepare or deliver a talk. Fortunately,
4 Mr chairman had written a careful summary of the work, with
5 several co-authors including me, in 1994 and Paul kindly
6 provided an update of what few results have accumulated
7 since then. The slides, the conclusions and all of the
8 mistakes are mine.

9 [Slide]

10 Three hundred cases of transmissible spongiform
11 encephalopathies, studied from 1963 to the present,
12 including 282 cases of various types of Creutzfeldt-Jakob
13 disease and its Gerstmann-Strussler syndrome variant, not,
14 of course, vCJD and 18 cases accrual.

15 [Slide]

16 The suspensions of tissue were prepared,
17 inoculated intracerebrally, sometimes by other routes, into
18 a variety of primates, in early years chimpanzees, later
19 mainly squirrel monkeys and some other New World monkeys,
20 the animals were observed for long periods of time,
21 sometimes for many years.

22 [Slide]

23 I won't review the criteria for positive and
24 negative animals. Essentially, a positive animal was one
25 that had histopathological or later Western Blot evidence of

1 pongiform encephalopathy.

2 [Slide]

3 Four neural tissues -- three tissues, one fluid,
4 contained detectable infectivity; 90 percent of all brains
5 tested; 80 percent of eyes, which Nick Hogan will comment on
6 later in the afternoon; 4/6 spinal cords and 3/26 spinal
7 fluids.

8 [Slide]

9 Infectivity was also detected in 5 non-neural
10 tissues, 50 percent of lungs and smaller percentages of
11 lymph node, kidney, liver and spleen.

12 [Slide]

13 The infected human tissue -- the human brains
14 usually contained at least 10,000 primate intracerebral
15 lethal doses per gram of tissue. Pooled data suggested about
16 $10^{4.8}$, that is about 62,000, 63,000 monkey lethal doses per
17 gram of human brain tissue. Infected primates contained a
18 little bit more, somewhere between 10^5 and 10^7 lethal doses
19 per gram. A limited number of other human tissues were
20 evaluated and they contained much smaller amounts of
21 infectivity. All the numbers tested were very small, usually
22 less than 1000 lethal doses per gram.

23 [Slide]

24 Other tissues from human TSEs did not transmit
25 disease to primates, and those included 12 specimens of

1 blood of various kinds and 3 specimens of bone marrow and
2 the other tissues listed here.

3 [Slide]

4 Aside from CSF, no human fluid secretion,
5 excretion transmitted disease to primates. As you see, the
6 numbers are also very small.

7 [Slide]

8 There are obvious limitations of negative
9 transmission attempts of this sort. Except for brain, only
10 small sample sizes were studied; small numbers of specimens;
11 and small volumes of tissues and fluid. There is evidence
12 for a species barrier that would reduce the sensitivity of
13 infectivity assays. That is, even in monkeys we can't be
14 confident that a lethal dose for a human being would be
15 detected in a monkey because limits of detection in primates
16 for human infectivity, of course, are unknown. There may be
17 variation in the distribution of infectivity of humans with
18 TSEs during clinical illness and, of course, nothing at all
19 is known about infectivity of TSEs during the asymptomatic
20 incubation period. People during the incubation period are
21 simply not identifiable or accessible for study.

22 We encourage additional studies of the
23 distribution of infectivity in human TSEs, which should now
24 be possible using transgenic rodents susceptible to the
25 human-human agents and I think a comparison of the

1 sensitivity of those rodents to squirrel monkeys would be
2 useful to bridge the results to those of this series of
3 studies.

4 [Slide]

5 so, in summary, infectivity using primate assay
6 infectivity was consistently detected, that is, at least 50
7 percent of attempts in brain, eye, spinal cord and the lung
8 E persons with TSEs. Infectivity was detected less often,
9 greater than 10 percent but less than 50 percent of attempts
10 positive in cerebrospinal fluid, lymph node, kidney, liver
11 and spleen. Infectivity was not detected in a variety of
12 other tissues, fluid secretions and excretions of persons
13 dying with TSEs, but the numbers of samples tested were very
14 small.

15 [Slide]

16 It remains possible that infectivity might be
17 present inconsistently or in small amounts in those negative
18 tissues, fluids, secretions or excretions of persons with
19 TSEs or incubating TSEs, however, no evidence that I am
20 aware of, anecdotal or epidemiological, suggests actual
21 transmission from person to person by ordinary contact with
22 those materials. Thank you.

23 [Applause]

24 DR. BROWN: Thank you, Dave. I would point out
25 that among the tissues that Dave was talking about that were

1 not demonstrated, as he said, is blood.

2 The next presentation will be by Sue Priola, the
3 istribution of infectivity now in animal TSEs.

4 **Tissue Distribution of Infectivity in Animal TSEs**

5 DR., PRIOLA: As Dr. Brown said, I will be talking
6 bout tissue distribution of TSE infectivity in animal
7 iseases, and what I am going to be talking about is
8 rimarily the work of Rick Race and Bill Hadlow. It is a
9 eries of very extensive studies they did at the Rocky
10 ountain laboratories in the '70's and '80's. Rick was
11 riginally supposed to present this talk; I am just
12 ubsituting for him today. This is really entirely his
13 ork.

14 [Slide]

15 Just to review, we all know what the known major
16 CSE diseases in animals are, scrapie in sheep and goats; of
17 course, BSE; chronic wasting disease and transmissible mink
18 encephalopathy in captive mink. What I am going to focus on
19 today really for most of the entire talk is scrapie, natural
20 scrapie in sheep and goats, where the infectivity is found
21 and what that tells us about the pathogenesis of the
22 disease, how it is maintained and passed between animals.

23 I will touch extremely briefly on BSE because I
24 think everybody here is really familiar with that data, and
25 I won't talk at all about chronic wasting disease. That is

1 oing to be discussed tomorrow, even though Rick is doing
2 ome work on that.

3 [Slide]

4 So, just to remind everybody that the most
5 ensitive way to assay tissue distribution of TSE
6 nfectivity is, of course, the infectivity bioassay. Of the
7 bioassays, the most sensitive way to do it is in the natural
8 host, and this is because if you transfer infected sheep
9 tissue into a non-infected sheep and get infectivity you
10 ave no species barrier and you have to deal with that
11 roblem. The problem with this, of course, is that titration
12 and even just looking for infectivity without quantitation
13 is extremely expensive in the natural host because of the
14 number of animals and expense involved in terms of
15 facilities.

16 So, most people choose to go the mouse assay, and
17 the caveat with this is I think we are all aware that, first
18 of all, you need to know that you have a good, susceptible
19 mouse strain and there are susceptible mouse strains
20 available, of course, and it is less sensitive by about
21 three logs than the natural host assay. The big advantage is
22 that you can actually get quantitative data and use that, as
23 you have seen, to sort of make estimates as to how much
24 infectivity is present in which particular tissues.

25 One caveat that I want to bring up with any study

1 using infectivity bioassays is analyzing naturally infected
2 animals versus experimentally infected. The results can be
3 variable in terms of distribution of infectivity and level
4 of infectivity, and this is likely due to either route of
5 inoculation, the particular dose of agent and even the
6 strain of agent. So, where possible, you want to stick to
7 the natural situation, animals naturally infected in the
8 environment.

9 Of course, the second way to look at tissue
10 distribution of TSE infectivity is detection of abnormal
11 prion protein which always correlates with infectivity. If
12 you have that there, you have infectivity. It is not
13 terribly quantitative no matter, I don't think, what
14 technique use -- immunohistochemistry, Western Blot; ELISA
15 is more quantitative but you really can't relate it to how
16 much infectivity is there, and that is the problem.
17 Sensitivity, of course, is also an issue. It is far less
18 sensitive even than the mouse bioassay.

19 [Slide]

20 So, what Rick and Bill did for several years at
21 Rocky Mountain labs was to take advantage of a naturally
22 infected flock of sheep, down in Mission, Texas, that was
23 composed of animals brought in from scrapie-infected flocks
24 around the country. So, there was a very high incidence of
25 scrapie in this flock of sheep. They looked at animals from

1 birth all the way -- you know, so, 60 months down the line
2 both preclinically and clinically for scrapie infectivity in
3 over 30 tissues. They did this by end-point titration in the
4 mouse bioassay.

5 So, when they looked at animals less than 10
6 months old they never found, by mouse bioassays, infectivity
7 in any tissue tested, lymphoreticular system, central
8 nervous system -- none. So, for naturally infected animals
9 below 10 months there is no infectivity detectable.

10 When they looked in animals from 10 months of age
11 up to 25 months of age, you can see that you start to see
12 low to moderate levels of infectivity in several different
13 lymph nodes, muscle, spleen, the ileum and the proximal
14 colon -- so parts of the intestine, and these are all
15 tissues that are either part of the lymphoreticular system
16 or are very rich in lymphatic tissue. You can detect
17 infectivity in scrapie-infected preclinical Suffolk sheep in
18 these tissues. It is not consistent. Not every animal has
19 infectivity present in every tissue but it is very clear
20 that that is the earliest point where you can detect it.

21 You don't see anything in the CNS in this study
22 until you get up to 25 months, and there they found one
23 animal who still was preclinical but now had infectivity in
24 the CNS.

25 [Slide]

1 So, when they looked at clinical animals, it is
2 the same batch of tissues but two things have changed. You
3 see a lot more infectivity and a wider distribution, and
4 this probably represents replication and spread of the
5 infectious agent so that now from animals aged 34 months to
6 7 months that are now clinically ill naturally with
7 scrapie, you see high levels of infectivity throughout the
8 lymphoreticular system -- tonsil, spleen, ilium, colon. Now
9 you can pick up even infectivity in the nasal mucosa and the
10 adrenal gland.

11 I just want to mention that if you look at one of
12 the lymph nodes that is most commonly possibly by the
13 bioassay it is the mesenteric lymph node.

14 [Slide]

15 If you look for the abnormal prion protein -- and,
16 think this is 8 animals total, you can detect abnormal
17 prion protein in 6/8 at various levels but not in another 2
18 that tested positive. So, this is what I mean about
19 variability and sensitivity of an assay like a Western Blot
20 versus a bioassay.

21 [Slide]

22 So, when you look at neuronal tissues of these
23 infected animals, basically what Bill and Rick found is that
24 in the CNS the infectivity is quite widespread throughout
25 various portions of the brain, even in the pituitary gland

1 at low levels. It is in the spinal cord, sciatic nerve and
2 the cerebral spinal fluid at very low levels.

3 [Slide]

4 This slide is just to show you that the regions of
5 the brain in these animals that have the highest level of
6 infectivity in the natural situation is around the brain
7 stem.

8 [Slide]

9 So, what this tells you about natural sheep TSE
10 infection is that because they first picked up infectivity
11 in tissues such as the retropharyngeal lymph nodes and
12 portions of the gut, transmission is probably by oral or
13 contact transmission. I will show you that it is likely that
14 the placenta is a very likely tissue through which this
15 could happen. It occurs soon after birth. Following that
16 early exposure you get first replication of the agent in the
17 lymphoreticular system, then in the CNS and then, of course,
18 it eventually replicates in high enough levels to kill the
19 animal. Infectivity is detectable preclinically only in the
20 lymphoreticular system in general, and the titer over time
21 increases and the distribution becomes broader.

22 Now, one of the things that is a concern in
23 situations like this is maintenance of the infectivity
24 within an infected flock and how that occurs. There were
2 studies done by Ian Patterson, 30, 40 years ago, that

1 suggested fetal membrane tissues and placental tissues of
2 naturally infected sheep could, in fact; transmit -- or,
3 infected sheep could, in fact, transmit infectivity.

4 [Slide]

5 Rick revisited this question in the last few
6 years, and what he found -- all you have to look at on this
7 slide is the black bars -- if he took placental tissue from
8 10 scrapie-positive pregnant ewes and tested it for
9 infectivity by mouse bioassay, 8 of those 10 were positive,
10 of them rather low but 6 of them actually quite high.

11 [Slide]

12 When he looked for abnormal prion protein, he
13 found a perfect match. He gets 8 of 10 positive with, again,
14 varying levels of the prion protein but it is all there in
15 the placenta, suggesting that in the natural situation one
16 way in which these infections can be maintained is through
17 oral or contact transmission with placental tissues that
18 have been voided by the ewe.

19 [Slide]

20 So, in summary, the distribution of infectivity in
21 Suffolk sheep naturally infected with scrapie is restricted
22 to the lymphoreticular system but it can be all over the
23 place -- nasal mucosa, parts of the intestine, the placenta
24 and the CNS. The negative tissues, all other tissues they
25 tested, including blood, salivary gland, heart, lung,

1 kidney, skeletal muscle were negative.

2 In conjunction with this study, the herd down in
3 Mission, Texas also contained goats, and it has long been
4 known that transfer of infection of scrapie from sheep to
5 goats can occur in flocks and goats are very susceptible to
6 scrapie.

7 [Slide]

8 So, they also took a look at clinically ill goats
9 from the same flock and found almost an identical
10 distribution of infectivity in both the non-neural tissues,
11 so again, all the lymph nodes were positive -- these were
12 just 3 goats from 38 to 49 months of age. Again, the
13 proximal colon, the ilium is positive, the adrenal gland and
14 the nasal mucosa.

15 [Slide]

16 If you look at the neural tissue, it is again the
17 same as in the sheep. You see rather low levels in the
18 spinal cord but relatively high levels, quite high levels in
19 some parts of the CNS.

20 Now, one point I want to bring out is the
21 difference between bioassays in the natural animal versus
22 bioassays using a mouse, as I mentioned earlier. Again, Ian
23 Patterson did a study where he experimentally infected goats
24 intracerebrally and then did a bioassay back into goats. So,
25 what he did was take tissues from those infected animals,

1 injected goats IC and looked for infectivity.

2 [Slide]

3 He found basically what Rick and Bill had found,
4 with a couple of exceptions. He found that salivary gland
5 and in one instance skeletal muscle was positive for
6 infectivity in tissue from goats which had been
7 experimentally infected with goat scrapie. So, this
8 difference where he picks up infectivity in salivary gland
9 and muscle could be due to the goat bioassay. So, going from
10 goats back into goats, or could be a difference between
11 experimental infections.

12 When Rick and Bill had done experimental
13 infections of goats, they also picked up the salivary gland
14 and that was by a mouse bioassay but not muscle. So, there
15 are these differences that you have to keep in mind when you
16 assay these tissues, and the system you are using to assay
17 them.

18 [Slide]

19 So the summary for goats is about the **same** as for
20 sheep, except that in experimental goat infections you can
21 pick up some infectivity in the liver, muscle and salivary
22 gland. You can also get it in placenta so the transmission
23 may be similar to what it is in sheep. Again, blood was
24 always negative, serum, bone marrow, milk -- all of these
25 tissues were always negative. So, that is the same as in

1 heep.

2 When you compare this to BSE -- now, there has
3 nly been, of course, the most thorough study that has been
4 one, and I think it is almost concluded, which is the study
5 y Dr. Gerald Wells, in England.

6 [Slide]

7 This is the one I think we are all pretty much
8 amiliar with, where he took cattle orally infected with BSE
9 nd assays by mouse bioassay for the presence of
10 nfectivity, starting at 2 months post-challenge up to 40
11 lays post-challenge. What he finds in this experimental
12 nodel using the mouse bioassay, as you have heard, is that
13 here is infectivity preclinically in the distal ilium first
14 and it is at very low levels. When he passes this into mice
15 nly a few of the mice get sick. So, probably there are low
16 levels. The same is true for the dorsal route ganglia. Of
17 course, later in disease all of these tissues come up
18 positive. There was the one instance where he had one sample
19 come up positive from the bone marrow clinically, and there
20 is some question as to whether, as always in TSE diseases,
21 when you see just one example of something if it is real or
22 contamination.

23 [Slide]

24 So, in summary, overall conclusions from natural
25 TSE infections in ruminants -- the earliest detectable

1 infectivity is always in lymphoreticular organs or other
2 rgans rich in lymph tissues. Obviously, as I think we all
3 now, scrapie in sheep and goats differs from BSE in cattle
4 both in terms of distribution of infectivity -- it is much
5 broader in sheep and goats, and in terms of horizontal
6 transmission. So, while there is evidence for horizontal
7 transmission of scrapie in sheep, there is really no
8 convincing evidence, at least that I am aware of yet, of
9 horizontal transmission of BSE in cattle. So, sheep scrapie
10 is really not a valid model for BSE pathogenesis.

11 The implications of the negative tissues, as Dr.
12 Asher just said for the human point of view, is that you can
13 never really say that they are truly negative or just that
14 infectivity is so low that it is below the level of
15 sensitivity of the bioassay you are using. But, in any case,
16 Low titers would always make it difficult to transmit
17 efficiently, particularly across any sort of species
18 barrier. I will stop there.

19 [Applause]

20 DR. BROWN: Thank you, Sue. Our next presentation
21 focuses down on cornea, and will be presented by Dr. Nick
22 Hogan, University of Texas Southwestern Medical Center, who
23 spent some years at the NIH working, actually, on
24 infectivity with respect to the eye in an experimental
25 model, and he will probably tell us a bit about that. Nick?

1 **CJD Transmission by Corneal Transplantation**

2 DR. HOGAN: I would like to thank the committee
3 for inviting me here. What I would like to do today is tell
4 you a little bit about the natural history of corneal
5 transplantation in humans, and also discuss some of the
6 issues regarding the biology of these agents in the animals.

7 [Slide]

8 Why should we be concerned? Well, the literature
9 has three cases that are present that we need to deal with.
10 Here has been one definite case that was reported in 1974
11 in the United States; one probable case, reported in 1997,
12 from Germany; and one possible case from Japan, in 1994.

13 [Slide]

14 In addition, there are now three patients that are
15 at risk because of an accident in the United Kingdom. There
16 was a donor that came down with sporadic CJD, and before her
17 diagnosis could be established both of her corneas and part
18 of her sclera were transplanted into three other
19 individuals. I will go into that in some detail in a moment.

20 [Slide]

21 I am going to talk about the details of these
22 cases because it is very pertinent to what we are discussing
23 today about the risk of corneal transplantation. In the
24 United States case, in 1974, the recipient of the corneal
25 transplant was a 55-year old white female with Fuch's

1 dystrophy, a problem with the cornea, who 18 months after
2 transplant came down with progressive myoclonus, lethargy
3 and ataxia. She died 26 months after the transplant and the
4 autopsy showed spongiform neuropathology and, in fact, her
5 brain was transmitted to chimpanzees.

6 [Slide]

7 The donor for that case was a 55-year old male who
8 had died after a two-month history of ataxia, myoclonus, and
9 progressive dementia and his neuropathology, which was
10 performed some weeks after the corneas had been
11 transplanted, showed typical spongiform neuropathology.

12 Now, because of the coincidence in time, that is,
13 approximately 18 months between the time of the
14 transplantation and the time that the recipient came down
15 with disease, it was presumed that this was a direct
16 transmission human to human. That is given the rarity of the
17 disease and the time of incubation, which is approximately
18 that in chimpanzees after intracranial inoculations. There
19 has been no absolute proof that this was a human to human
20 transmission, however, it is reasonable to assume that it
21 was. That is why it is being called definite.

22 [Slide]

23 In case 2, the case from Germany, the recipient
24 was a 45-year old white female with keratoconus who had a
25 penetrating keratoplasty or corneal transplantation twice,

1 once in 1965 and once in 1982. She died after an 8-month
2 history of ataxia, myoclonus and progressive dementia with
3 flexion rigidity.

4 [Slide]

5 There was extensive study on her pre-death. She
6 had no prion mutations noted. She did have homozygosity at
7 codon 129 met/met. She had slowing of her EEG with biphasic
8 discharges, and her CSF showed neuron specific enolase in a
9 very high amount. Because of this, she was felt to be
10 clinically CJD. However, the family did not consent to an
11 autopsy so there is no pathologic proof.

12 [Slide]

13 The donor for this person was a 63-year old white
14 female who died after a three-month history of
15 incoordination, myoclonus, memory loss, and the neuropath
16 report had shown spongiform change in these areas of the
17 brain. The original slides were not available. Let me remind
18 you that this is 30 years after the transplantation that
19 this patient came down with the disease. The original slides
20 were not available for review. All that was available was
21 the report.

22 [Slide]

23 The donor in 1982 for that patient -- there are no
24 records.

25 [Slide]

1 Case 3 is the case from Japan, and this was a 63-
2 ear old Japanese female who died 3 years after the onset of
3 dysarthric, dysmetria, dysdiadochokinesia, myoclonus,
4 aranoid hallucinations, and her autopsy showed typical CJD
5 n the brain. She had had a corneal transplant 15 months
6 arlier.

7 [Slide]

8 In this report there was absolutely no information
9 iven about the corneal donor. We have no idea whether this
10 erson had signs, symptoms or pathology consistent with
11 reutzfeldt. So, it is listed in the literature as a
12 ossible case but I think there is a lot to be asked about
13 his question. The only thing we know is that she had **CJD**
14 nd she had a corneal transplant. There are other such cases
15 hat are certainly around, and Dr. Gambetti knows of one
16 hat we are currently investigating in Ohio. The question is
17 hether or not this is real.

18 [Slide]

19 What about the at-risk cases that are currently in
20 he United Kingdom? The donor for these cases was a 53-year
21 old Scottish female who died of lung cancer in February of
22 '97. Now, in the weeks prior to her death her family
23 described her as falling over, staggering gait, acting like
24 a senile old lady, and it was presumed that this was CNS
25 metastasis of her lung disease. At autopsy, her death was

1 because of her lung disease and her brain was taken.
2 However , there was no review of that immediately. The brain
3 was kept for the visiting neuropathologist, who came
4 infrequently to this hospital, to look at when he got there.

5 [Slide]

6 In the meantime, both of her corneas and part of
7 her sclera were transplanted. One, in March of 1997, to a
8 9-year old man. In the same month, a cornea to an 85-year
9 old woman and in the next month, in April of '97, sclera was
10 transplanted to a 34-year old man.

11 [Slide]

12 It wasn't until November of '97 that the
13 neuropathology confirmed Creutzfeldt-Jakob, and this was not
14 a new variant; this was sporadic Creutzfeldt-Jakob disease.
15 The recipients were notified of the risk that they had in
16 December of '97, and in January of '98 two of those three
17 patients elected to have the tissues in question removed.
18 The third did not. There are no clinical signs, however, in
19 any of these recipients to date, and I have information from
20 Bob Will as of about a month ago.

21 [S l i d e]

22 In summary, the literature shows one definite case
23 of transplantation, the U.S. case, the only case in the
24 United States; one probable transmission in Germany; and one
25 possible, I would say questionable case in Japan. But there

1 are 45,000 corneal transplantations done in this country
2 every year. So, in the last 20 years over a million. Why, in
3 the United States, have there not been more cases given even
4 the rare incidence of Creutzfeldt-Jakob disease
5 sporadically? I think there are biological factors operating
6 as well as epidemiological factors, and I am going to go
7 into those briefly.

8 [Slide]

9 Well, first of all, where, in the eye, do these
10 prions reside? In 1986, while I was in Stan Prusiner's lab
11 we did some work on titering these agents in different
12 portions of the eye, and it is clear that the brain harbors
13 the highest amount of infectivity and it goes down from
14 there to cornea.

15 [Slide]

16 Graphically, and with the numbers shown here in
17 terms of titers in 50 log units, brain has about roughly 9
18 log units of infectivity. It goes down from there to cornea
19 at the lowest at roughly about 5. These two bars indicate 7
20 weeks in which these hamsters with scrapie were preclinical.
21 They did not have disease yet clinically, and then after
22 they developed the symptomatic disease.

23 So, the amount of agent in the cornea is roughly
24 an order of magnitude lower than it is in the brain, 10^5
25 versus 10^9 .

1 [Slide]

2 The only other study that I am aware of that has
3 looked at this regional characterization was done by Marsh
4 and Hanson, way back in '74, where corneal epithelium was
5 scraped off and looked at for transmissibility and, again,
6 they support my data, that is, there are about 5 log units
7 as opposed to brain. The data that Dr. Asher talked about
8 with Creutzfeldt-Jakob disease transmission in primates is
9 the only study that has been looked at with Creutzfeldt-
10 Jakob disease in ocular tissues, but I have to stress that
11 those were whole eyes. It included retina and portions of
12 optic nerve so it was not just cornea.

13 [Slide]

14 What about the experimental cases? This has been
15 attempted to be replicated experimentally. The most positive
16 case was done by Manuelidis in 1977 where CJD-infected
17 cornea was minced up and then placed in the anterior chamber
18 of guinea pigs. Four of those six animals developed what was
19 called clinical disease. Two of six were asymptomatic up to
20 600 days. The animals would be expected to come down with
21 disease at about 277 days. All six, however, had spongiform
22 encephalopathy according to their data.

23 [Slide]

24 In contradistinction, Teishi tried this in CJD-
25 infected mouse where he emulsified mouse infected CJD

1 cornea, injected it in the brains of six mice. All mice
2 were clinically free of disease way out in the incubation
3 period, way beyond the time they should have come down, 2.8
4 years later, and only one of those mice had spongiform
5 neuropathology.

6 [Slide]

7 Herzberg, in 1979 has tried the only real
8 transplantation experiment where he transplanted CJD-
9 infected corneas from Capuchin monkeys into two recipients,
10 and all of these animals remained free of disease up to 55
11 months later, again, way out beyond where you would expect
12 disease to come down. The grafts were clear and they looked
13 very good, and there was no spongiform neuropathology in
14 either of these clinically free animals.

15 [Slide]

16 I think there are other factors at work as well,
17 and genetic sequestration may be playing a part in this as
18 well in terms of transmissibility in the cornea. Brown, in
19 1994, looked at 56 cases of iatrogenic CJD, 92 percent of
20 which had allelic homozygosity at codon 129, out of only
21 about 50 percent in the normal population.

22 [Slide]

23 So the question becomes does the homozygosity at
24 codon 129 accelerate pathogenesis in iatrogenic disease, and
25 does the heterozygosity have any role to play in eventual

1 clinical disease after transplantation?

2 [Slide]

3 Then there is a series of cases that have never
4 been transmissible, human CJD cases which have never been
5 able to be transmitted. Traub, in 1977, found about 14
6 percent of cases that he could not transmit at all. With
7 Brown, in his examination in 1994, it was about 9 percent.

8 Now, as you heard this morning, Dr. Brown refers
9 to this not as that you can't transmit CJD; it is just that
10 it was a failure of transplantation. That is, if you had
11 been able to go out long enough perhaps these animals would
12 have come down with disease from these patients. So, there
13 is a question here.

14 [Slide]

15 Interestingly, these non-transmissible cases tend
16 to be younger, that is, 53 years of age. They had a longer
17 duration of illness, out to 28 months. But the reasons for
18 this, as I mentioned, are a little unclear.

19 [Slide]

20 I think by far the one reason that there are not
21 more cases of Creutzfeldt-Jakob after corneal
22 transplantation is because of the institution of screening
23 methods that were instituted in the early '80's. I am not
24 going to go through these because Dr. Glasser is going to
25 talk about this extensively and in the interest of time I

1 will skip over this slide and the next. But, the donors are
2 specifically asked questions about Creutzfeldt-Jakob disease
3 and death due to unknown neurologic disease.

4 [Slide]

5 I might stress that the cases in Britain at the
6 time that this happened, in 1997, there really was no
7 uniform eye banking system in place. Since that time there
8 has been a lot more organization. Again, this is the same
9 donor questionnaire.

10 [Slide]

11 So, to date, all we have is one definite CJD
12 transmission in the last 25 years, and this case occurred
13 before the institution of donor screening questions that are
14 currently in place. Thus, I think the risk under the current
15 regulations, not talking about what has been proposed here
16 but the current regulations -- the risk of transmission of
17 Creutzfeldt-Jakob disease by transplantation is extremely
18 small.

19 [Slide]

20 Dr. Kennedy is going to discuss this in somewhat
21 more detail in the next talk. Thus, I think the risk of
22 transmission of CJD by corneal transplant is extremely small
23 because there are low titers of agent in the cornea, and
24 experimental transmission studies support that. There is the
25 apparent genetic transmission restriction requiring -- maybe

1 not but at least suggesting homozygosity at codon 129 as
2 being important. There is a low numerical risk of
3 transmission in that the incubation period may be extremely
4 long, and again, there is low risk of transmission because
5 of reasons of donor screening that is already in place. As I
6 said, Dr. Kennedy will discuss that. Thank you very much.

7 [Applause]

8 DR. BROWN: Thank you, Nick. The companion
9 presentation, if I may say so, will be presented by Dr.
10 Kennedy. The title is CJD risk among corneal donors.
11 Probably what we really mean is CJD risk among corneal
12 recipients. Is that so?

13 DR. KENNEDY: That is so.

14 **CJD Risk Among Cornea Donors**

15 DR. KENNEDY: Thank you, Paul, and I would like to
16 thank the committee for inviting me to make this
17 presentation.

18 [Slide]

19 About one year ago the Eye Bank Association of
20 America contacted me and asked if I would assist in drawing
21 together a committee to review these issues concerning
22 Creutzfeldt-Jakob disease as it relates to cornea
23 transplantation. I am, however, not a member of the Eye Bank
24 Association of America and I am not representing them here
25 today. Our committee was independent.

1 The members of our committee included two
2 neurologists, Dr. Brown and Dr. Johnson, **as** well as members
3 with expertise in cornea transplantation, eye banking and
4 epidemiology.

5 [Slide]

6 Our objectives were to review the reported
7 information on the occurrence and transmissibility of
8 Creutzfeldt-Jakob disease; quantify the risk of CJD among
9 cornea donors; and then, from there evaluate the possible
10 screening strategies to determine whether there would be
11 reasonable ways of reducing the potential risk.

12 [Slide]

13 We used four sources of information to base our
14 calculations or as the basis for them. First were the death
15 rates of Creutzfeldt-Jakob disease in the United States as
16 reported by Holeman and others from the Centers for Disease
17 Control. The pool of death rates from all causes represents
18 potential donors. U.S. population instruments, and then data
19 on the numbers of cornea donors and the age distribution of
20 cornea donors was given to us by the Eye Bank Association of
21 America.

22 [Slide]

23 There are three sources of risk of Creutzfeldt-
24 Jakob disease among donors that we dealt with, and this is
25 how we divided up our calculations. First, there is the risk

1 from preclinical or asymptomatic Creutzfeldt-Jakob disease.
2 That is, during that period where the disease is incubating
3 but symptoms have not yet occurred. The second period is
4 from the beginning of symptoms up to the point of diagnosis.
5 So, during that time, a relatively short period where the
6 symptoms are manifest but they either aren't prominent
7 enough yet or the physicians have not yet established a
8 diagnosis. Then, finally the category where the diagnosis of
9 Creutzfeldt-Jakob disease has been established, and also
10 included in this category would be those persons who died of
11 Creutzfeldt-Jakob disease but who never had the diagnosis
12 established.

13 [Slide]

14 I will spend a little bit of time on this slide
15 because I think it is important that you understand what
16 assumptions went into our calculations because the
17 calculations are certainly only as good as our assumptions
18 were.

19 To begin with the category of diagnosed cases,
20 those patients included in this were those patients who died
21 of Creutzfeldt-Jakob disease without having a diagnosis. The
22 committee discussed this and the estimates were that 99
23 percent of such cases would be eliminated by current
24 screening criteria, as outlined by Dr. Hogan in the previous
25 talk.

1 Now, if you divide that into two groups, the group
2 of potential donors who have had the diagnosis of CJD
3 established, we are not aware that there has ever been a
4 case where someone who had the diagnosis established before
5 death ended up having a cornea taken and being transplanted.
6 So, we think that risk is very low. The category of persons
7 who have died of Creutzfeldt-Jakob disease but have never
8 had a diagnosis established, that is a little more slippery
9 as to how many such patients might actually exist. But the
10 committee's feeling was that given the current screening
11 criteria and given the high level of detection of patients
12 who have the diagnosis, only about one percent of such
13 subjects per year would get through the current screening
14 process and end up in the pool of potential donors.

15 Now, for the second category of risk that we dealt
16 with, that is, those persons who were symptomatic with
17 Creutzfeldt-Jakob disease but the diagnosis has not yet been
18 established, we made the assumption that none of those
19 potential donors would currently be eliminated. So we are
20 kind of loading the question in favor of doing screening by
21 that assumption. For this calculation we assumed that the
22 duration of the symptomatic period, that is, from the time
23 when symptoms first develop to when the diagnosis is
24 established, would average six months.

25 In the third category of risk, the group with

1 preclinical or asymptomatic disease that would still be
2 incubating but symptoms would not yet have developed, we
3 made the assumption that the incubation period would be ten
4 years. So, those are the assumptions on which our
5 calculations that I will show you are based.

6 [Slide]

7 From those assumptions and the data sources that I
8 mentioned earlier, we calculated the numbers of donors that
9 we might expect to have Creutzfeldt-Jakob disease in the
10 annual pool of about 45,000 cornea donors, and it works out
11 to about 1.3 cases among this annual total of about 45,000.
12 Given that we assumed an incubation period of 10 years, most
13 of the risk according to this calculation is in this
14 category of preclinical disease.

15 [Slide]

16 From that, several sort of screening
17 considerations should be discussed. First, screening for
18 symptoms of Creutzfeldt-Jakob disease is not going to detect
19 or eliminate any of the potential donors who might be in
20 this preclinical category because, by definition, those
21 subjects do not have any symptoms to detect by screening.
22 That was approximately 90 percent of the total risk.

23 These two categories were lumped together, those
24 who had symptoms without a diagnosis, those with diagnosed
25 Creutzfeldt-Jakob disease and potential donors who had died

1 of Creutzfeldt-Jakob disease without having the diagnosis.
2 There would be a total of about one such case -- adding all
3 of these together -- about one such case every eight years,
4 or about one case per 368,000 donors.

5 Another factor that **came** through in the
6 calculations is that the risk of Creutzfeldt-Jakob disease
7 among donors is much, much less among younger donors. In
8 fact, it is about 40 times lower among donors less than 40
9 years old than among older donors. That is an important
10 consideration with screening.

11 [Slide]

12 The importance of it comes through in the question
13 that was posed to the committee about legislative consent
14 donors. It works out that the legislative consent donors are
15 much younger. The age distribution is much younger than it
16 is for other donors, and for that reason the total risk of
17 Creutzfeldt-Jakob disease among legislative consent donors
18 is approximately 40 percent less than just the preclinical
19 or asymptomatic risk alone among all other donors.

20 So, it is a huge safety factor, the age
21 distribution, and the importance of this is that estimates
22 have been made that if it is necessary to go to family
23 members to do a donor medical history interview, that
24 because of the difficulty of locating the family members and
25 conducting the interviews in the short time that is

1 available after the sudden, usually traumatic deaths that
2 take up this legislative consent category, it is estimated
3 that the range of up to 90 percent of these donors may be
4 eliminated for that reason because of not being able to
5 conduct the interview, not because of the risk. So, this
6 could potentially lead to a paradoxical result. That is, we
7 strive to make the donor pool more safe so we are going to
8 ask more questions, but the fact of asking the questions
9 causes the group that is perhaps the safest group, because
10 of the young age distribution, to be eliminated, thereby
11 making the overall donor pool at somewhat greater risk.

12 [Slide]

13 Other calculations that we did were to take that
14 one case of symptomatic or diagnosed Creutzfeldt-Jakob
15 disease that might occur in the donor pool once every eight
16 years or so, or once out of every 368,000 donors, and if we
17 did ask questions about symptoms and we screened on that,
18 how many otherwise suitable donors would we lose for each
19 one of those with CJD eliminated from the donor pool? That
20 depends on the specificity of screening. It also depends on
21 the sensitivity. And, for this analysis we made the generous
22 assumption that all of those symptomatic patients would be
23 identified by the screening. So, again, it loaded it in
24 favor of screening. You can see that the number of otherwise
25 suitable donors excluded would range in the thousands even

1 if only the highest risk age group were screened in that
2 manner.

3 [Slide]

4 Finally, I wanted to just show a cornea that is
5 scarred so you would have an idea of how the donor corneas
6 are used. This scarring limits vision of this patient.

7 [Slide]

8 This is the same patient after having a cornea
9 transplant, and you can see how the clear window has been
10 restored in order to bring this patient's vision back. There
11 are two points that I want to make with this. One is that
12 the worldwide demand for corneas far exceeds the supply and
13 will continue to for the foreseeable future. So, anything
14 that is done to needlessly limit supply will have an impact
15 on the number of people who can have their vision restored
16 through cornea transplantation. Even in the U.S., as Dr.
17 Hogan mentioned, there is concern about the donor supply,
18 and the National Eye Institute has funded a study actually
19 to try and increase the number of older donors, but which
20 actually goes right into the highest risk age group for
21 Creutzfeldt-Jakob disease.

22 [Slide]

23 In conclusion, currently the risk of CJD
24 transmission following cornea transplantation is remarkably
25 low. As Dr. Hogan mentioned, in the United States there has

1 been one case reported in the past 26 years and from 1974
2 there have been more than 600,000 cornea transplants in this
3 country. The estimated risk of Creutzfeldt-Jakob disease is
4 lower, approximately 40 percent lower among legislative
5 consent donors and it is simply a function of the younger
6 age of those donors.

7 Finally, the screening for symptoms of .
8 Creutzfeldt-Jakob disease will likely not be an effective
9 practice because of the relatively large number of cornea
10 donors that would likely be lost from this process and
11 because the demand for donors currently exceeds the supply.
12 Thank you.

13 [Applause]

14 DR. BROWN: Thank you very much, Dr. Kennedy.
15 There will be two very brief comments about Dr. Kennedy's
16 presentation by Dr. Taffs first, and Dr. Belay second. Dr.
17 Taffs?

18 **Comments**

19 DR. TAFFS: Good afternoon. Thank you very much
20 for the opportunity to comment on the preceding risk
21 assessment.

22 [Slide]

23 In seeking advice from scientific committees on
24 matters of public health, regulatory control authorities
25 often consider results of risk estimates.

1 [Slide]

2 Recently a report was published on harmonization
3 of risk assessments for the scientific committees of the
4 European Commission Health and Consumer Protection
5 Directorate-General. The report outlined the essential
6 elements of quantitative risk assessment, and indicated that
7 variability and uncertainty in the risk model should be
8 described in order to provide useful information for further
9 decision-making.

10 Risk assessors should investigate the scientific
11 basis for the estimation and explicitly state the
12 assumptions made in modeling risk to avoid any false sense
13 of precision. The risk assessment should be fully
14 documented, indicating all the assumptions and constraints
15 to ensure that the process is transparent. The report should
16 be publicly available to give stakeholders and opportunity
17 to comment and to subject the report to peer review.
18 Sensitivity analysis should be included to evaluate the
19 effect of changes in the model and the result of the risk
20 estimation.

21 [Slide]

22 The objectives of sensitivity analysis are to
23 identify the elements of the risk model that have the
24 greatest impact on the magnitude of risk, and determine the
25 extent to which assumptions, variability and uncertainty in

1 the model can affect the results of the risk assessment.

2 [Slide]

3 The sources of statistical information used in
4 this analysis are shown here. Published information on age-
5 specific incidence of CJD, mortality, population, and cornea
6 donation in the U.S. were used to evaluate the sensitivity
7 of the risk model of CJD infection in the cornea donor pool.

8 [Slide]

9 The calculations of age-specific rates of CJD
10 infection within the donor pool were performed to examine
11 the impact of the sources of uncertainty in the risk model.
12 The effect of differences in assumed rates of CJD incidence,
13 diagnosis, and asymptomatic cases were evaluated. The
14 ability of additional screening criteria to detect
15 symptomatic CJD was assumed to be 100 percent and was held
16 constant throughout the analysis.

17 It should be kept in mind that the results of this
18 analysis are intended to explore the sensitivity of the risk
19 model and not to determine a best estimate of actual CJD
20 risk in the donor pool.

21 [Slide]

22 Parameters that were varied in the sensitivity
23 analysis included the percent specificity of additional
24 donor screening, the rate of cases that for any reason are
25 not excluded by current screening, symptomatic cases that

1 are not yet diagnosed, asymptomatic cases of CJD and CJD
2 prevalence in the U.S.

3 [Slide]

4 The model was used to calculate the time in years
5 until additional screening would detect one true case of CJD
6 in the donor pool, the number of donors incorrectly
7 excluded, the number of donors and CJD-infected donors in
8 the donor pool over the same time interval, and the
9 percentage of infected donors that would be detected.

10 [Slide]

11 The effect of varying the assumed percentage of
12 missed symptomatic cases of CJD is shown in this slide. This
13 refers to CJD cases that for any reason should be but are
14 not excluded by current screening criteria. On average, a
15 six-month incubation period of symptomatic CJD prior to
16 diagnosis and a ten-year asymptomatic incubation period was
17 assumed, similar to Dr. Kennedy's model. Later tables in the
18 analysis use a similar format so I will explain this table
19 in a little detail.

20 Specificity indicates the percent specificity of
21 additional donor screening, and is shown in the first
22 column. The numbers of erroneously excluded donors that
23 would result are shown in the following columns. The four
24 rows beneath the table show, first, the time interval in
25 years until the detection of an additional case of CJD

1 within the donor pool. 'Please note that the calculated 8.3
2 years is very similar to the result that we saw in the
3 previous presentation. Next, the number of corneal donors
4 and CJD-infected donors in the pool during the same time
5 interval. Finally, the percentage of infected donors
6 hypothetically detected by the additional screening.

7 In the table, as the percent specificity'of the
8 screening increases, there is a decrease in the number of
9 donors erroneously excluded. As the assumed percentage of
10 cases missed by current screening increases, there is a
11 decrease in the time until additional screening detects a
12 true case of CJD in the donor pool. Although the number of
13 donors erroneously excluded at a given specificity decreases
14 across the table, the percentage relative to the total
15 number of donors in the donor pool during that same time
16 interval remains the same. What changes is the percentage of
17 CIJD-infected donors **that are detected by additional**
18 **screening**, increasing from 0.8 percent to 4.8 percent across
19 the range of missed cases indicated at the top of the table.

20 This approach is useful to contrast the results of
21 risk assessments under different sets of assumptions. At 80
22 percent specificity and 1 percent missed cases the
23 proportion of the donor pool erroneously excluded is 20
24 percent, while the proportion of CJD-infected donors
25 detected by additional screening is less than 2 percent.

1 In contrast, at 95 percent specificity and 20
2 percent missed cases the proportion of the donor pool
3 erroneously excluded is 5 percent, while the proportion of
4 CJD-infected donors detected by the additional screening
5 approaches 5 percent.

6 [Slide]

7 The effect of varying the assumed incubation
8 period is shown in this slide.

9 [Slide]

10 The assumed symptomatic period is varied in this
11 slide.

12 [Slide]

13 And, the assumed prevalence of CJD in the U.S.
14 population was varied in this slide, and the details of the
15 information are available for the committee's consideration
16 but in the interest of time I would like to go on to the
17 next slide.

18 [Slide]

19 This sensitivity analysis indicates that the
20 estimates of the number of cornea donors with CJD and the
21 number of donors that may be erroneously excluded by
22 additional screening can vary substantially depending on
23 identified model assumptions. Uncertainty in the assumed
24 number of cases missed by current screening, and the
25 specificity of any additional screening could have a

1 substantial impact on the result and application of the risk
2 assessment.

3 I conclude this commentary by saying that these
4 elements of the model merit some further attention in
5 considering corneal CJD risk estimates, and I thank you for
6 your attention.

7 [Applause]

8 DR. BROWN: Thank you very much. Dr. Belay?

9 DR. BELAY: These are not my comments. Dr.
10 Schonberger was asked to review and comment on Dr. Kennedy's
11 analysis. After he prepared his comments, at the last minute
12 he was unable to attend because of an illness. He was
13 hospitalized. So, he gave me his comments and I have to
14 admit that I didn't get a chance to review the analysis. I
15 did not have a copy of the report. So, these are purely Dr.
16 Schonberger's comments.

17 The results of Dr. Robert Kennedy's analysis
18 should be interpreted with the understanding that they are
19 very much dependent upon underlying assumptions that are not
20 based on solid evidence and, thus, they may or may not be
21 valid.

22 I would like to underscore three such important
23 assumptions. First, the underlying assumption in the
24 analysis about when human corneas become infectious.
25 Although this is unknown, the analysis assumed that corneas

1 are infectious during the preclinical stage of CJD and that
2 the number potential infectious preclinical CJD cornea
3 donors in the United States is appropriately estimated by
4 assuming a ten-year period of infectivity for the corneas
5 before onset of the donor's disease.

6 However, it is reasonably possible that corneas do
7 not become infectious until after the onset of CJD; or
8 perhaps only for a relatively short period before that time.
9 If, in fact, corneas do not become infectious until the
10 onset of CJD, this situation would mean that 100 percent of
11 whatever small risk of CJD transmission by corneas exists
12 might potentially be preventable through screening
13 procedures. The analysis in press, however, indicated that
14 only 9 percent of the risk of CJD transmission by corneas
15 would be potentially preventable by screening procedures.
16 This latter, largely assumption based conclusion about the
17 small proportion of risk preventable through screening could
18 negatively influence people's perception about the
19 importance and usefulness of screening.

20 A second important assumption that influences the
21 quantification of the-risk of CJD among cornea donors, and
22 potentially our understanding of the utility of screening
23 them for signs and symptoms of CJD relates to the likely
24 number of persons with CJD without ever having been
25 diagnosed correctly and, therefore, who are not excluded by

1 current screening criteria. No one knows the actual number
2 of these misdiagnosed CJD cases. The assumption in the
3 analysis in press, however, is that this number would be no
4 greater than 1 percent of the total number of reported
5 cases, or 2.6 cases per year nationally. The actual number
6 of misdiagnosed cases that could be missed by current
7 screening could be on the order of magnitude greater than
8 that used in the analysis.

9 Complicating estimates of this number are both the
10 likelihood that misdiagnosis of CJD are much higher than 1
11 percent, but also the probability that current screening
12 procedures by many tissue banks are more comprehensive and
13 tighter than is implied in the analysis. Some tissue banks
14 currently screen not only for diagnosed CJD cases but for
15 cases diagnosed with other neurologic indices including, for
16 example, unexplained neurologic disease or progressive
17 encephalopathy -- illnesses that, if excluded, would
18 potentially also exclude some of the misdiagnosed CJD cases.

19 As I mentioned to Dr. Kennedy a couple of weeks
20 ago, it could be useful to recalculate the risk of CJD among
21 cornea donors assuming a 10 percent, rather than 1 percent,
22 relevant rate of misdiagnosed cases of CJD. This changed
23 assumption for the analysis would also affect the predictive
24 ratios for incorrectly excluded donors for various
25 additional screening methods. Clearly, the higher the

1 assumed number of misdiagnosed CJD cases that could
2 potentially be excluded by screening methods, the more
3 important such screening becomes.

4 The third important assumption relates to the
5 interpretation of the results of the analysis. The existence
6 of only one reported CJD transmission by cornea to date in
7 the United States was assumed to reflect less the problem of
8 under-identification and under-reporting of such
9 transmissions and more on biologic or other factors that
10 prevent their occurrence.

11 Although this assumption may be valid, the
12 following observations suggest caution about discounting or
13 underestimating the possibility of the under-reporting of
14 corneal graft transmission of CJD in this country. Between
15 1975 and 1999, given the hundreds of thousands of U.S.
16 recipients of cornea grafts, one could reasonably expect
17 that half a dozen or more would have developed sporadic CJD
18 by chance alone. During this 25-year period, however, none
19 of these coincidentally associated U.S. cases in corneal
20 graft recipients were reported in the literature. Given that
21 there exists no diagnostic test to distinguish between
22 causal or coincidental occurrences of CJD in corneal
23 transplant recipients, the absence of reported coincidental
24 associations between 1975 and 1999 suggest caution in
25 interpreting a similar absence of reported causally

1 associated cases during this same period.

2 I would like to acknowledge the overall high
3 quality of the analysis conducted by Dr. Kennedy and
4 colleagues, and the importance of their having very
5 carefully identified and evaluated key factors influencing
6 the impact of increased screening on donor supply and the
7 risk of corneal transmissions of CJD.

8 Their analyses alert us to the important potential
9 for unintended consequences to the safety and supply of
10 corneas should additional screening procedures be
11 implemented. Even though new screening procedures that happen
12 to disproportionately reduce the number of younger donors of
13 cornea transplants, for example, could lead to the
14 unintended consequence of reducing prion disease safety of
15 corneas because of the much lower frequency in this country
16 of CJD infectivity in young persons. Thank you for your
17 attention.

18 [Applause]

19 DR. NELSON: I have one question. Maybe you can
20 answer for Larry, I don't know. But there are 45,000 cornea
21 recipients per year and you are talking about no diagnosed
22 cases in about a 20-year period. With a rate of 1 per
23 million you would expect only one, isn't that correct? So
24 the fact that one might have been missed -- I mean, I am not
25 sure how many we would have expected, or did I miss

1 something?

2 DR. BELAY: I think he was talking about the
3 entire 16-year period.

4 DR. NELSON: Sixteen times 45 is roughly a
5 million, and if it is one per million per year, would you
6 expect only one case?

7 DR. BELAY: No, one per million per year would
8 translate into about one per 10,000 for a lifetime.

9 DR. NELSON: So there are multiple years.

10 DR. BELAY: That is correct.

11 DR. NELSON: The years are additive.

12 DR. BELAY: One per million would be just for one
13 year. For the 26-year period --

14 DR. NELSON: Right.

15 DR. PRUSINER: As another quick point, it is one
16 in 10,000 people who die who have CJD. It is one per million
17 of the whole life population.

18 DR. BELAY: That is correct.

19 DR. BROWN: One other point, I personally don't
20 think either corneal transplants or neurosurgery, which is
21 another surprising absentee from cases of iatrogenic CJD,
22 are due to non-recognition or under-reporting. And, I can
23 tell you that the European CJD surveillance system which has
24 identified some thousand-odd cases in CJD now in the past
25 two years with extensive histories of medical and surgical

1 procedures, they haven't come up with a case of corneal
2 transmission or neurosurgical transplantation either. In
3 other words, over several years, in an area of the world
4 where this kind of thing is being covered like a blanket,
5 they still don't get any cases due to corneal transplants or
6 neurosurgery. So, I don't buy into the notion that these
7 figures are due to under-recognition. I think the other two
8 points may be valid but not that one. Laura?

9 DR. MANUELIDIS: There are a couple of things you
10 should know. First of all, just to clarify an issue, Nick
11 refers to CJD as Tateishi and what we use in one sentence.
12 En fact, what we use, we use sporadic CJD which is very
13 different than what he is referring to in Tateishi's lab and
14 strains can be quite different in what they do. In fact,
15 actually what we use can prevent Tateishi strain from
16 replicating in the brain.

17 Second of all, many people who get corneal
18 transplants get them late and the dose is extremely low. I
19 know from having done those experiments that we used the
20 trochar and we put in little pieces and there **was** no other
21 route in. And, the optic nerve and other kind of studies
22 have been positive. There is no reason to think the cornea
23 doesn't have some infectivity. The lack of risk, I think,
24 comes from the fact that there are relatively few people who
25 get sporadic CJD so, therefore, that is one of the things.

1 The second fact is that people may die of other causes and
2 never be diagnosed with CJD, or never develop symptomatic
3 CJD who are much older in the population -- not that the
4 cornea itself doesn't have some inherent infectivity, at
5 least in sporadic CJD.

6 DR. BROWN: We will go on to the next
7 presentation.

8 DR. BELAY: May I comment, Dr. Brown, about under-
9 reporting?

10 DR. BROWN: Yes.

11 DR. BELAY: If you look at the incidence of CJD --
12 I am talking about sporadic CJD, for example, in the United
13 Kingdom it has been increasing through the 1980's and also
14 1990's. They also recognize that this increasing incidence
15 is primarily attributed to detection of more cases of CJD as
16 the years went by. So, not only is there the possibility
17 that corneas might be missed, in fact, there is a good
18 possibility that even sporadic CJD patients may have been
19 missed, especially in the 1980's.

20 DR. BROWN: Yes, but we are talking about the
21 1990's, and 1980's is before the period that I am referring
22 to. That is, I am referring to the last decade when active
23 surveillance of CJD was occurring not only in the U.K. but
24 all over Europe. Sure, before active surveillance you could
25 miss cases but that doesn't explain what has happened in the

1 Last decade.

2 The next presentation will be from Dr. David
3 Glasser, who is going to talk about the legislative consent;
4 safety and supply of corneal transplants. Dr. Glasser?

5 **Legislative Consent: Safety and Supply**
6 **of Corneal Transplants**

7 DR. GLASSER: Thank you. I would like to thank he
a committee for the opportunity to address them.

9 [Slide]

10 I would like to first begin by discussing the
11 EBAA's medical standards.

12 [Slide]

13 The medical standards are developed by the EBAA
14 medical advisory board, or MAB. This has consisted of
15 experienced corneal surgeons, eye bankers and academicians.
16 The medical standards are reviewed and accepted by the
17 American Academy of Ophthalmology on a semi-annual basis,
18 and they represent the standards which all accredited eye
19 banks must adhere to. The standards are scientifically based
20 and their goals are to ensure the safety of eye bank
21 personnel and the safety and efficacy of eye tissue for
22 human transplantation.

23 [Slide]

24 The medical standards require donor screening to
25 construct an adequate donor profile. This donor profile then

1 is used to determine the suitability of tissue for human
2 transplantation. All donors must be screened, including
3 tissue obtained via legislative consent.

4 [Slide]

5 Donor screening must include identification of the
6 donor, serologic testing, physical assessment of the donor,
7 tissue evaluation, donor history evaluation and medical
a director oversight. I think we are spending most of our time
9 today talking about donor history evaluation.

10 [Slide]

11 All available records must be reviewed by
12 qualified personnel, to include information from at least
13 one of the following, according to the EBAA standards:
14 Pathologist's or medical examiner's physical assessment or
15 death report; medical examiner's investigative report;
16 medical record or hospital chart; treating physician
17 interview or family interview. Of course, according to 21
18 CFR 1270, all cases need a donor medical history interview
19 with the exception of those obtained via legislative
20 consent.

21 [S l i d e]

22 In the EBAA's medical standards there are specific
23 and somewhat less specific exclusions aimed at reducing the
24 risk of TSE -- Creutzfeldt-Jakob disease, family history of
25 blood relative with CJD, recipients of human-derived

1 pituitary human growth hormone, and recipients of non-
2 synthetic dura mater grafts are the most specific
3 exclusionary criteria. Less specific criteria include donors
4 who have a diagnosis of progressive encephalopathy; active
5 viral encephalitis or encephalitis of unknown origin.

6 [Slide]

7 Death of unknown cause; neurologic disease of
8 nestablished diagnosis and non-prion diseases, PML, SSP,
9 eyes syndrome and rabies.

10 [Slide]

11 Well, how effective is this screening program? In
12 he U.S.A. the one case that we have heard about that was
13 reported in 1975 was the first case of presumed or probably
14 transmission from a donor to a recipient of CJD. That case
15 led to the establishment of the screening criteria which I
16 have just described. Since that time over 600,000 corneal
17 transplants have been performed in the United States with no
18 additional reported cases. I would comment that this number
19 is closer probably to 600,000 than to one million because we
20 haven't been transplanting 45,000 corneas a year for the
21 last 25 years. That time has increased gradually over the
22 years.

23 In addition, there have been two international
24 reports, which you have heard about already, one in Germany
25 and one in Japan, of presumed transmission, and the one

1 donor in the U.K. who died with neurologic symptoms
2 initially attributed to metastatic brain disease who was
3 later discovered to have CJD. The three recipients who
4 received ocular tissues from this donor remain disease-free
5 now at more like four years after the transplant.

6 [Slide]

7 This is the issue that has us all wondering, can
8 TSE screening be improved? What about brain biopsy? Well, if
9 brain biopsy were required, time limitations for the use of
10 the corneal tissue would probably eliminate most or all of
11 the viable corneal tissue.

12 What about donor history screening for specific
13 symptoms? This has been raised in the literature by Dr.
14 Hogan who you have heard from, and has been discussed by the
15 medical advisory board, which charged Dr. Kennedy and his
16 group with addressing it. Obviously, screening for specific
17 symptoms cannot detect asymptomatic cases and, as we have
18 heard, even with a very conservative estimate of 100 percent
19 sensitivity and 90 percent specificity and assuming a 1
20 percent non-diagnosis rate, over 36,000 donors would be
21 incorrectly excluded for each donor correctly excluded. That
22 1 percent number was arrived at, obviously without any
23 specific knowledge but our best estimate from the
24 neurologists on the panel. Even if that were 5 times higher,
25 we would still have over 10,000 donors excluded for every

1 correctly excluded donor.

2 Finally, what about requiring a donor medical
3 history in legislative consent cases? The EBAA also asked
4 Kennedy's group to address this issue.

5 [Slide]

6 According to their report, which has been accepted
7 by the MAB, as you have heard Dr. Kennedy say, tissue
8 procured via legislative consent comes from a younger donor
9 population. These younger donors are less likely to harbor
10 TSE, and the risk of preclinical, symptomatic and diagnosed
11 CJD combined among donors obtained via legislative consent
12 is still 40 percent less than the risk of preclinical
13 disease alone among all other donors.

14 [Slide]

15 The advisory board felt, based on this report,
16 that there is currently no scientific basis for concluding
17 that a donor medical history interview would reduce the risk
18 of TSE in donors whose ocular tissues are procured via
19 legislative consent.

20 [Slide]

21 But what would happen to the supply of corneal
22 tissue if a donor medical history were required in all
23 legislative consent cases in order to try to determine if a
24 donor had spent a significant amount of time in the U.K. or
25 other areas where BSE was prevalent? According to the banks

sgg

1 that use this tissue, they estimate that their availability
2 of legislative consent tissue would be reduced by about 90
3 percent if a donor medical history interview was required,
4 and I think you heard Dr. Kennedy describe the reason why --
5 the time required to obtain that information versus the time
6 that the tissue remains viable.

7 But how big a problem is this? Only about 5-10
8 percent of donors of transplantable corneas are obtained
9 through legislative consent in the U.S. So, this amounts to
10 probably 2,000 or 3,000 transplanted corneas per year. That
11 is a relatively small number but there are major local
12 variations in the percent of transplantable corneas obtained
13 via legislative consent.

14 [Slide]

15 In Puerto Rico, Boston, Miami and San Antonio the
16 majority of transplantable corneas are obtained via
17 legislative consent. In Houston and Baltimore it is about
18 half. In Seabrook, Maryland the number is much smaller but
19 it is enough to make the difference between scheduled
20 surgery and having waiting lists. These are fairly soft
21 number estimates from the banks that use this tissue.

22 [Slide]

23 So, requiring a medical history interview for
24 tissue obtained via legislative consent would create local
25 shortages of corneal tissue in several major metropolitan

1 areas. Local shortages are not easily remedied by
2 importation of tissue from other U.S. banks or by
3 substituting tissue that is currently exported. Tissue that
4 is currently exported is often very difficult to **place** in
5 the U.S., often because of time limitations or **age**
6 considerations of the donor and this is something that is
7 going to be addressed via further education and
8 investigation regarding the viability of these corneas. EBAA
9 members also do not import foreign tissue.

10 [Slide]

11 So, the medical advisory board's conclusions were
12 that requiring a donor medical history interview in
13 legislative consent cases would eliminate most donors
14 obtained via that route; create local shortages of corneal
15 tissue; eliminate scheduled surgery in other areas; increase
16 the number of patients waiting for corneas; and possibly
17 increase the risk of TSE due to an increase in the overall
18 age of the donor pool, which might counterbalance and even
19 outweigh the potential decrease in risk one would have by
20 screening for travel to areas where BSE is prevalent.

21 [Slide]

22 In addition, screening donors for specific
23 symptoms would markedly reduce the corneal supply without
24 increasing the safety of the donor pool. Requiring a donor
25 brain biopsy would eliminate most or all corneas suitable

1 for transplantation. Finally, if there really is a need to
2 improve TSE screening, what we really need are some new
3 tests. Thank you.

4 [Applause]

5 DR. BROWN: Thank you, Dr. Glasser. I think we
6 will push along and, with that in mind, either one of the
7 other two presentations of the afternoon are invited to
8 present, either Dr. Confer or Dr. Dubord. Excuse me, there
9 is a question.

10 DR. DESLYS: Just a small comment on the previous
11 presentation. All this description was done because there
12 was no test available to confirm the possibility or not of
13 Creutzfeldt-Jakob disease. That was true when you were doing
14 classical immunohistochemistry. Now with the tests which are
15 used in Europe for BSE, you need not to block
16 slaughterhouses to give the results during the night. So, if
17 you want, you can use exactly the same method and you will
18 have no more problem.

19 DR. BROWN: Okay, that is a point that can be
20 discussed at some length. Now we can go on. Dr. Confer?

21 **The Risk of nvCJD in Recipients of Hematopoietic Stem Cell**
22 **Transplants and the Impact of Deferring Donors from the U.K.**

23 DR. CONFER: Thank you very much. I am pleased to
24 be able to address the committee on a different subject than
25 what we have been talking about. I am going to talk about

1 the risk of new vCJD in recipients of hematopoietic cell
2 transplants and the impact of deferring donors from the
3 United Kingdom. I am the chief medical office of the
4 National Marrow Donor Program. We are a non-profit company
5 in Minneapolis, Minnesota.

6 [Slide]

7 As has already been indicated, there are really
8 three useful sources of hematopoietic cells for transplant.
9 The first of these is bone marrow, and it is the oldest
10 source that has been used for many, many years. It is
11 collected from the pelvis of the donor, usually under
12 general anesthesia. A newer source are peripheral blood stem
13 cells. They go by several other names, frequently
14 abbreviated PBSC. Really what these represent is bone marrow
15 that has been mobilized from the bone space into the blood
16 stream where it can be collected by apheresis. This
17 mobilization can be done by administering hematopoietic
18 growth factors to the donor over a series of a few days. The
19 newest stem cell source is umbilical cord blood, umbilical
20 and placental cord blood which is drained from the placenta
21 after the baby is delivered and the cord is clamped. There
22 is typically anywhere from a cup to half a cup of cord blood
23 remaining in the placenta and the umbilical cord.

24 As Dr. Solomon indicated, peripheral blood stem
25 cells and umbilical cord are under the purview of the FDA.

1 Bone marrow is under the purview of the Health Resources and
2 Services Administration. But, practically speaking, any
3 standards that we set for donors of peripheral blood stem
4 cells will also apply to donors of bone marrow because these
5 are basically the same people who are donating bone marrow
6 in one setting or potentially donating peripheral blood stem
7 cells in another. So, it is not ethically practical to have
8 different standards for the same type of donor, depending on
9 what type of product they are donating.

10 I don't know a lot about the risk of transmitting
11 new vCJD with any of these stem cell sources. However, if
12 new vCJD can be transmitted with lymphocytes, I do know that
13 all of these have large numbers of lymphocytes in them. When
14 you do the transplants, the administered total cell doses
15 are between 10^8 and 10^{10} cells into the recipient, and it is
16 the lowest with umbilical cord blood; it is the highest with
17 peripheral blood stem cells. It is also true that the
18 peripheral blood stem cells have the highest content of
19 lymphocytes. The majority of these cells in the peripheral
20 blood stem cell setting are, in fact, mature lymphocytes.

21 [Slide]

22 One of the critical things about hematopoietic
23 cell transplantation is that HLA matching is required for
24 all hematopoietic cell transplants, and this is totally
25 different than blood matching. The HLA genes are clustered

1 on the short arm of chromosome number 6. There is a group
2 called the class I genes that are single gene products and
3 these consist of HLA-A and HLA-B, and the gene in between
4 those two is called HLA-C. Then there is HLA-A class II
5 which are multi-gene products, consisting of HLA-DR, DQ and
6 DP. Each of these genes is highly polymorphic, meaning that
7 there are many known alleles at each of the different gene
8 sites, both within the class II region and the class I
9 region. In fact, by simple mathematics, if you calculate the
10 total number of potential HLA types, it exceeds the world
11 population.

12 What happens, however, is that these genes are in
13 linkage disequilibrium, probably due to long history of
14 evolution and infectious challenges, so that some HLA types
15 are very common and others are very rare. When we are doing
16 a hematopoietic cell transplant we look primarily at HLA-A,
17 HLA-B and HLA-DRB1, one of these gene products in the DR
18 region. So, there are really six genes that we are looking
19 at because you get one of these chromosomes from the mother
20 and one from the father.

21 Within a family the chance that two siblings will
22 match, will have the same HLA type is 25 percent because
23 this comes as a haplotype in the newborn. So, it is 25
24 percent and, therefore, given the size of U.S. families, if
25 one child is sick the chance that that child will have a

1 matching is sibling is somewhere around 25-30 percent. So,
2 25-30 percent of people have a matched sibling donor. This
3 means that for the other 70-75 percent of people their only
4 option really is to look for an unrelated donor who, by
5 virtue of chance, is an HLA match.

6 [Slide]

7 As it turns out, there are a large number of
8 people, now more than seven million people worldwide, who
9 have volunteered and registered as bone marrow and stem cell
10 donors. These are distributed among about 48 different
11 registries around the world. It is important to note that
12 among these seven million, only a little over half are
13 actually completely HLA-A, B and DR typed. The rest have
14 only been typed for HLA-A and B, and that is largely for
15 historical and cost reasons. So, as a practical matter, only
16 about half of these people are really readily available to
17 serve as bone marrow or stem cell donors.

18 The newer stem cell product, the cord blood, is
19 present in much smaller numbers. There are about 55,000 cord
20 blood units. These are distributed to around 21 cord blood
21 banks around the world. In the cord blood setting, virtually
22 all the units are HLA-A, B and DR typed, and so readily
23 available for transplant.

24 [Slide]

25 The National Marrow Donor Program operates the

1 world's largest registry of unrelated stem cell donors. We
2 started in 1987. This slide shows the growth of our registry
3 since 1987 through September of 2000 from 8000 donors to now
4 more than 4 million total registered donors. It is also
5 important to point out here that our file is only about 57
6 percent A, B or DR typed, and that is indicated by this
7 green line. So, they amount to about 2.4 million donors who
8 are fully typed. The remaining donors are typed, again, only
9 for HLA-A and B.

10 These donors, the fully typed donors, as it turns
11 out, provide more than 95 percent of the stem cells
12 transplanted through our program. The other thing I would
13 point out about our program is that one of the reasons it
14 has grown to the largest in the world, so much so, is
15 because of long-standing federal support. Currently, that
16 support comes from the Health Resources and Services
17 Administration and also from the Office of Naval Research.

18 The final thing on this slide shows the growth of
19 our cord blood registry, which is modest by comparison, with
20 about 8000 cord blood units listed in 7 different banks that
21 are members of our network.

22 [Slide]

23 This shows what impact HLA has because even with
24 the 2.4 million fully typed donors, this slide shows the
25 likelihood of finding matching donors for 56,600 patients

1 who have searched our registry in the past. What we did, we
2 took all these previous searches and we reran them last
3 summer, in July of 2000, against the current registry,
4 applying our current matching criteria. So, we wanted to see
5 how efficient our registry had become. So, I have grouped
6 the searches according to the number of HLA-A, B and DR
7 matches.

8 And, what you can see is that, indeed, with a big
9 registry there are more than 50 percent of these searches,
10 more than probably about 30,000, that, indeed, identified 6
11 or more A, B, DR matches. So these are good search results.
12 Some of these search results, in fact, will identify
13 hundreds and hundreds of potential matched donors for
14 recipients with very common HLA types. However, even with
15 2.4 million donors, 17 percent of the searches have no
16 matches on them. An additional 10 percent of the searches
17 have only one match. So, when you add these two together
18 over a quarter of these 56,000 searches either had no donors
19 in the file or only a single donor in the file. It is this
20 finding that causes the transplant programs to look outside
21 the United States and look at the other registries,
22 particularly registries in Europe.

23 [Slide]

24 Even when there are multiple donors available,
25 transplant centers also consider additional factors in

1 selecting donors. So, there are other things that, if you
2 have the luxury, you would look at as a transplant
3 physician. These include donor age. Our data show that
4 younger donors produce better outcomes in recipients than
5 older donors. The reasons for that are complex. Donor size,
6 where if you have a large recipient you don't want to pick a
7 very small donor to try to provide stem cells for that
8 recipient. Donor sex, there is a feeling that female donors
9 -- bone marrow and peripheral blood stem cells are more
10 likely to cause complications in recipients than male
11 donors, and there are data to support that in terms of the
12 frequency of graft versus host disease.

13 People are increasingly looking at donor race or
14 ethnicity in order to try to make sure, if there are minor
15 antigens that are of importance that may be ethnically
16 clustered, that you are matching on those. In addition,
17 people will look at the donor cytomegalovirus serology
18 because if the recipient is cytomegalovirus negative you
19 would like to have a donor who also has never been exposed
20 to this, and there are other factors that people also look
21 at.

22 [Slide]

23 This now shows over three years, 1988, 1989 and
24 the year 2000, the number of hematopoietic stem cells that
25 we received, that the National Bone Marrow Donor Program

1 received from unrelated donors in Europe. So these were
2 coming from Europe into the United States for U.S. patients.
3 What this slide shows is the United Kingdom, France, Germany
4 and then all the other European nations clustered together.

5 You can see that we have been receiving about 35
6 marrows and stem cells -- the vast majority of these are
7 marrows -- from the United Kingdom each year in this three-
8 year period. France is much smaller, 10 to 7 bone marrows
9 and stem cells in each of the years. The country that
10 provides the most hematopoietic stem cells to the U.S. is
11 Germany, and you can see that we are obtaining anywhere from
12 95 up to almost 130 stem cell products from Germany in each
13 of these three years. Then, the other European nations
14 provide a lot of stem cells that come into the United
15 States, aggregated together.

16 [Slide]

17 As it turns out, you might say, well, why do these
18 vary so much? These numbers really are very close to the
19 size of the registries. So, this is the size of the
20 unrelated donor registries in the United Kingdom where they
21 have 400,000 total donors registered. France has a much
22 smaller registry, with a little under 100,000 total donors.
23 Germany has very large registries, comprising more than 1.4
24 million unrelated donors. Then, the other European
25 registries provide these other donors.

1 I think this illustrates the effect of HLA also
2 because it says that the transplant centers go to where they
3 can find the donor and the size of the registry is a major
4 indicator of where they are going to be able to find missing
5 HLA types.

6 [Slide]

7 This slide just takes us back to that previous
8 slide where we are looking at these numbers that I have gone
9 over.

10 [Slide]

11 So, this leads us into this slide which how
12 expresses all of those 'as a percentage of all the
13 transplants done by our program in 1998, in 1999 and in
14 2000. What you can see is that these imported cell stem
15 products comprised 16 percent of the transplants from '98'
16 15 percent of the transplants from '99; 11 percent of the
17 transplants from the year 2000; anywhere from about 170 to
18 230 transplants in those years. I actually have no
19 explanation for why the numbers dropped off in the year
20 2000. I can virtually assure you it is not because of
21 concern about new variant Creutzfeldt-Jakob disease, but I
22 don't have a good explanation for why the dependency on
23 foreign grafts seemed to drop in the year 2000. It may go up
24 in 2001.

25 [Slide]

1 What would be the impact of deferral upon U.S.
2 patients based on the data I have shown you? I think that
3 deferral of European hematopoietic stem cell donors would
4 likely prevent some patients from proceeding to transplant.
5 Those patients who have only one or two donors might lose
6 their donor if, in fact, that donor were deferred because of
7 vCJD risk. Other patients who are currently being
8 transplanted might still proceed to transplant, but they
9 might proceed to transplant with second choice donors, that
10 is, donors who were a size mismatch; donors who were older
11 and maybe less desirable. So that might increase the risk of
12 the transplant.

13 But overall the numbers of patients affected
14 clearly depends on the extent of the deferral. If the
15 deferral is restricted to the U.K. we are talking about 35-
16 40 patients per year. If the deferral is extended throughout
17 all of Europe, then you are talking about several hundred
18 patients a year who could be affected.

19 [Slide]

20 What we believe and what we are currently doing is
21 trying to weigh risk versus benefit. We asked all donors
22 about six months cumulative residence in the United Kingdom.
23 We asked donors whether they had received insulin that may
24 have been prepared from bovine sources in the United
25 Kingdom. If they answer yes to that, then we consider those

1 donors to be unsuitable. The process we follow is almost
2 identical to that that was outlined in the FDA determining
3 donor suitability document that you heard about earlier.
4 That process indicates that unsuitable donors may still be
5 used if, one, there is an urgent medical need and there
6 almost always is in the case of these marrow and stem cell
7 transplants; two, a biohazard label is affixed; and, three,
8 there is documentation that the transplant physician was
9 notified of the abnormality; the physician agreed to accept
10 the product in spite of the abnormality; the physician has
11 also agreed to counsel the patient or the patient's
12 representative about the abnormality and the potential
13 impact on the outcome of the transplant; and then, finally,
14 the physician has agreed to obtain the consent of the
15 patient or the patient's representative.

16 [Slide]

17 This is the final slide, In summary, it is
18 important to note that these hematopoietic stem cell donors,
19 unlike many other tissue donors and recipients, are matched
20 with the recipients primarily by virtue of HLA type. Many
21 potential recipients have few donors from which to choose.
22 Those were the data I showed you. Elimination of some or all
23 European donors from consideration would have a negative
24 impact on U.S. patients, and we believe and suggest that the
25 patient and the physician -- the patient who is going to

1 receive the transplant and the physician who is going to
2 are for that patient may be best positioned to balance the
3 risk of this stem cell product versus its potential benefit.
4 Thank you very much.

5 [Applause]

6 DR. BROWN: It is interesting that even at the
7 level of stem cells females cause more complications than
8 males.

9 [Laughter]

10 That is just a wake up call. I will tell you what
11 we are going to do. For those of you who have hung around
12 for a vote, we are not going to vote today. In view of the
13 hour, and it is already 5:15, what I plan to do is to power
14 right on through the two final scheduled presentations and
15 any public statements that wish to be made, and then we are
16 going to close up the tent. Tomorrow morning we will begin
17 with discussion and votes. I think tomorrow will be a
18 substantially less overcharged day than today, and I see
19 absolutely no point in requiring the committee to try and
20 discuss in a lively, intelligent and alert way what I think
21 is a very important issue for the FDA. So, with that in
22 mind, we call now on Dr. Dubord who is going to tell us a
23 little bit about tissue and organ standards process in
24 Canada.

25 **Tissue and Organ Standards Process in Canada**

1 DR. DUBORD: Greetings and salutations. What I
2 would like us to do now -- we have been discussing a lot
3 about BSE and general TSEs and vCJD, and what I would like
4 to do is step back a little bit and share some ideas with
5 you about the whole area of organ and tissue transplant
6 regulation, and then I will bring us back to how this
7 particular program, in fact, dealt with vCJD.

8 I recognize too that there are some differences in
9 how the regulation is instituted here, in America, versus in
10 Canada. In Canada all organ and tissue transplantation comes
11 under Health Canada, under one agency, versus here, in
12 America I understand that tissues and blood are going to be
13 managed under the FDA and organs are managed by the HRSA and
14 the DHHS.

15 Let's go through this program, what we have in
16 Canada. Recognizing too that there is a vast variation in
17 safety practices that have existed in Canada and, to some
18 extent, here in American in regards to organ and tissue
19 transplantation; recognizing that there is no agreement at
20 this present time, let's say, across North American in
21 different centers and what they do with organ
22 transplantation. One community might be doing something
23 because it works for us and another community may be doing
24 something totally different because it works for them. Some
25 donors are being excluded because of some preconception of

1 the medical director and in another community they are being
2 accepted. So, there is no agreed upon standard, and the
3 program I am going to describe to you, as far as I am aware,
4 is unique in the world.

5 [Slide]

6 Basically, we work through the different type of
7 risk management strategies that we can look at. First of
8 all, there is the free market that you are all very familiar
9 with. Then you get on to education and information programs,
10 and the higher up on the track you are, the more passive you
11 are, the more laissez-faire you are. But when you start
12 getting down further at the bottom end of the regulation,
13 this is where our responsibility to the public is paramount,
14 where in fact we have to guarantee that the product that we
15 supply to our patients is as safe as possible.

16 [Slide]

17 With the standards-based approach which we have
18 adopted, and many of you are familiar with this is that
19 standards is a published document, and you had a brief
20 review of one of the EBAA just earlier, which contains the
21 requirements and procedures for a specific activity or
22 product and this has to be reviewed on a regular basis. What
23 we perceive is that a standard will have the force of law if
24 incorporated in regulation. So, what the regulator does is,
25 in fact, give the standard the force of law, stating you

1 much comply with the standard.

2 More than one third of Canadian standards are
3 referenced in legislation. This happens with all sorts of
4 other standards, for example, electricity, virtually
5 anything we build our homes with, and a lot of things in
6 health care.

7 [Slide]

a The other issue about the standard-based approach
9 is that it need not be written in regulatory language and,
10 frankly, in most cases it is fairly easy to understand. It
11 is very good at addressing emerging technologies and it is
12 very quick to update these documents because you have
13 panels, very similar to what you see here, made up of
14 healthcare professionals, experts, calling in experts to
15 discuss these issues and try and make the best decision
16 depending on the current state of understand at that point.

17 We work primarily on the consensus principle. In
18 the whole five years of the development of this program not
19 once have we had a vote. It is by consensus. This also
20 improves the prospects of compliance across the board and we
21 get a buy-in, and the regulator sits at the table with us
22 when we are making these decisions. It can be applied in
23 multiple risk management systems, which I am not going to
24 get into a discussion of but it is very critical.

25 What else do medical standards do? Well, basically

1 they facilitate uniform evaluation, standardized data
2 collection and quality assurance, outcome analysis and
3 accountability. This outcome analysis is critical, and I
4 think that some transplant organizations, organ and tissues,
5 nationally are much, much better at this data collection
6 than others. For some it is compulsive. They have it
7 virtually on every recipient. In other organizations it is
8 very haphazard, where there is virtually no documentation.
9 So, we are trying to, in fact, raise the level, so to speak,
10 so we know what is happening to our patients when, in fact,
11 they get a transplant.

12 Another thing that is very important with the
13 medical standards issue is the whole issue of accreditation,
14 which can be very comprehensive in how it is applied and the
15 overall idea is to increase the quality of tissue and organs
16 that are supplied to a recipient. It can be very much an
17 educational process for those individuals participating in
18 it, for example, any eye banking or organ transplant
19 organization, versus inspection which is necessary in some
20 situations, which is mainly looking in most cases at safety
21 and good manufacturing practices. What we have done, working
22 together with the regulator, is the regulator maintains
23 control of this thing and regulators are very concerned
24 about losing control. They have to have control and that is
25 important. So, it enables the regulator to better utilize

1 the resources.

2 [Slide]

3 What is the Canadian general standard? Well, the
4 Canadian general standard applies to everything that is
5 transplanted except for blood. It makes it clear and simple.
6 We have all organs, tissues, stem 'cells, reproductive cells,
7 ocular tissues and xenotransplantation under one document.
8 You will say, well, how have we done this? Well, basically
9 the general standard covers all those issues that are common
10 to every form of organ and tissue transplantation. It covers
11 the donor qualifications. It covers what has to be recorded.
12 It records the histories, physicals, most of which would be
13 accepted as regular donor screening. It also has outcomes
14 that have to be measured in each group. Adverse reactions
15 are defined for each group and must be reported. It also
16 means that there is a single authority that looks at this
17 and, in fact, documents it.

18 [Slide]

19 Of all the slides I am going to show you this is
20 the most important one of all, the Canadian general
21 standard. You have this general standard that has the rules
22 that apply to all. Then, under that we have what we call the
23 subsets. There is the solid organs, tissues, stem cells,
24 reproductive tissues, ocular tissues and then
25 xenotransplantation. In this general standard here, this

1 grouping is made up of regulators, experts. We have public
2 advocates that are members of the committee who, in fact,
3 are recipients of transplantation who participate
4 introduction he decision-making processes here.

5 The critical thing here to make all these groups
6 work together is that each and every one of these groups has
7 an equal seat at the table. Because I am a heart transplant
8 surgeon doesn't mean I am any better than the guy who does
9 skin. No single transplant is more important than any other
10 transplant. They are our equal at the table, and the person
11 who needs that transplant, the patient, that transplant that
12 they need is the most important one. Because of having them
13 all have an equal seat at the table and no one is more
14 important, it makes for a much easier decision-making
15 process and it has made this process work.

16 [Slide]

17 It was first formulated back in about 1995 at a
18 national conference, consensus conference, and in 1996 an
19 expert working group was formulated. This consisted of
20 specialists in each area that are recognized by national
21 organizations; healthcare professionals involved
22 introduction he area of organ and tissue transplantation;
23 public advocates; regulators and we have an ethicist that
24 sits on our board. It also leads to a balanced communication
25 between all the groups, and we also are all indemnified. We

1 currently are covered by a one billion dollar
2 indemnification, which in Canada are significant dollars.
3 Down here I am not sure that counts for very much. It also
4 encourages very active compliance and it is very balanced in
5 the way it communicates.

6 [Slide]

7 The future directions that we are going with this
8 plan is that the standards now are at the Canadian Standard
9 Association. Why did we do this? Well, we had a format that
10 we kind of followed, a basic skeleton. But now we have given
11 it to an organization and all their job, like an executive
12 secretary, is to, in fact, write the standards. So, they are
13 in a common language across the board and you can cross
14 reference. For example, if stem cells become aware of an
15 issue you can immediately refer all the way across the board
16 to any other subset standard and the general standard to see
17 where it is going to impact. It can work both ways, up and
18 down.

19 So, it makes reviewing and updating the standard
20 subsets and the Canadian general standard comprehensive and
21 very, very quick to respond to any perceived needs. We are
22 also having further consultation with the provinces and the
23 stakeholders because in health care in Canada the provinces
24 have to have a buy-in. In fact, surprisingly, we have
25 unanimous buy-in by the provinces with this program. We also

1 are going through another public consultation process with
2 healthcare professionals, i.e., all the programs are going
3 to have another look at the Canadian general standard plus
4 all the subsets that they want to look at and have the
5 freedom to comment on. They will be put on the web
6 introduction the next few months and, frankly, anyone here
7 is going to be welcome to comment on these to see if we can
8 make them better than what they are.

9 We are going to have an adverse reporting system
10 and eventually a national adverse reporting system for all
11 organs and tissues, and we are going to be trying to get a
12 much more comprehensive transplant data collection. So we
13 can pick up, for example, those cases of CJD. Also, it will
14 make regulation writing easier but we are not sure exactly
15 how that is going to work right now. I am not a regulation
16 expert.

17 [Slide]

18 So, how did this apply? How did we do with vCJD as
19 we were presented with this case in the fall of 1998? Well,
20 basically the Canadian blood system had said we are going to
21 defer all donors who spent more than six months in the U.K.,
22 and we were asked to address this issue and how it would
23 apply to all organ and tissue transplantation.

24 First of all, we reviewed all the data and the
25 rationale that CBS had used in making their decision. We

1 also gathered what was considered the best science at that
2 time, and we formulated an expert working group. The Bureau
3 of Biologics played a role. External experts, public
4 advocates were there; subset experts, all were present;
5 prion experts and the FDA had a corresponding member on the
6 committee. We discussed the science. We discussed the risk
7 factors, the public aspects of both safety, perception and
8 confidence in the system and using the precautionary
9 principle, i.e. that the CBS had used in deferral and the
10 fact that CBS could, in fact, augment their supply by
11 approaching more donors, the reality was that we couldn't do
12 that in the transplant arena.

13 The reality too was that CJD had been transmitted
14 -- not variant by CJD had been transmitted both in dura and
15 corneal transplantation, but our primary concern was vCJD.
16 So, there was a potential risk of transmission and we had
17 restricted access to our donor pool. The recipients of all
18 transplantation have a real immediate need for that in a
19 vast majority of cases, and the other thing that I have
20 already mentioned is that we couldn't augment our donor pool
21 as could the blood system.

22 [Slide]

23 So, what did we do? The conclusion was that there
24 was a risk. There was no question about that, but the risk
25 was low. And, again we made a choice using the precautionary

1 principle but at the same time we decided that no deferral
2 was necessary for organ or tissue transplantation donation.
3 We then went on to stress and expand that and how important
4 the medical-social interview was in this area, not only in
5 the area of CJD and prions but looking for other infectious
6 disease in an area where people travel as much -- for
7 example, malaria, hepatitis and that sort of thing;

8 We formulated another subcommittee that formulated
9 questions looking at CJD and vCJD specifically. We have a
10 questionnaire now that is going to be uniform across the
11 nation -- a medical social-interview for everything, not
12 just for CJD. The subsets all have to comply with the basic
13 one, but if they want to make it a little tighter and ask a
14 few more questions, they are allowed to do that. Currently
15 we are dealing with the issue of Alzheimer's which we are
16 also deferring and CJD.

17 The upcoming issue that we are trying to deal with
18 is record storage because, as we know, CJD has an incubation
19 period of up to decades and currently we are only required
20 to store records, in some areas, for seven to ten years and
21 we are probably going to have to expand that to probably 25,
22 30 or more years and decide what has to be stored.

23 Those are the sorts of issues we are dealing with
24 today. So, that is basically what we are dealing with in the
25 Canadian model and the regulation and how we use it in

1 reviewing and looking at vCJD. Thank you.

2 [Applause]

3 DR. BROWN: Thank you very much, Dr. Dubord. Will
4 you and others who have made presentations today be present
5 tomorrow, Dr. Dubord?

6 DR. DUBORD: Yes, I will.

7 DR. BROWN: And other people who have presented
8 today will be here tomorrow because I am sure the committee
9 will want to refer to you at certain times tomorrow in their
10 discussion. The final scheduled presentation today is being
11 given by Robert Rigney and it is about questionnaire rates
12 of donor deferral.

13 **Donor History Questionnaire/Rates of Donor Deferral**

14 DR. RIGNEY: Good evening. My name is Bob Rigney.
15 I am the last minute fill-in for Dr. Kasprisin who,
16 unfortunately, couldn't be with us today. I am the chief
17 executive officer of the American Association of Tissue
18 Banks. For those of you who are not familiar with AATB, we
19 are a non-profit scientific organization, here in the
20 Washington area. Our mission is to provided quality and
21 safety in transplantation and provide tissue in quantities
22 sufficient to meet national needs. We were founded in the
23 mid-1970's. We published our first set of standards for
24 tissue banks in 1984, and we just released our ninth edition
25 of those standards last week. My purpose here today is to

1 review for you the AATB standards for donor screening and
2 our history questionnaire.

3 [Slide]

4 In making the donor suitability determination,
5 AATB standards require that cells or tissues shall not be
6 released for transplant without final review of donor
7 suitability by the tissue bank medical director. The donor
8 history shall include, but is not limited to the following:
9 The acceptability of the consent; the medical/sexual/social
10 history questionnaire; the physical assessment; results of
11 Laboratory testing, serologies and cultures; pertinent
12 information from the medical records including pathology and
13 Laboratory reports; autopsy reports, if any; and other
14 information including any information required by federal,
15 state or local laws.

16 [Slide]

17 With specific reference to disease screening, our
18 standards require that the medical director or licensed
19 physician designee shall not release allogeneic cells and/or
20 tissue for transplantation from donors who exhibit any of
21 the following findings, specifically risk factors for viral
22 or prion-associated disease transmission as specified in
23 Appendix II of our standards. That appendix lists the
24 criteria preventing viral or prion-associated disease
25 transmission through transplantation of human tissue.