

1 children and their older siblings, and I believe the
2 earliest reports from those studies will be reported
3 at ICAAC this year, if not at SPR. I don't remember.

4 I think you're familiar with a very
5 extensive set of studies done not in this country, but
6 Israel by Ron Dagan, and without going into those, I
7 think what's striking in the Dagan studies, which were
8 in a day care center setting, is that the nine-valent
9 vaccine reduced carriage by 40 to 50 percent in the
10 recipients of vaccine versus control, reduced
11 antibiotic resistant strain carriage significantly,
12 and it also reduced carriage by vaccine types and by
13 antibody resistant types, in the siblings at home of
14 the children who were immunized as compared to the day
15 care attendees who got the control vaccine.

16 So there are clearly effects on carriage
17 and carriage of antibiotic strains at least in that
18 very controlled setting in Israel.

19 ACTING CHAIRMAN DAUM: And taking people
20 out of order who can speak to this very issue, Dr.
21 Kim.

22 DR. KIM: I think there was a somewhat
23 interesting article published in Nature recently
24 implying that in their case they were talking about
25 vancomycin, but antibody resistant Strep. pneumoniae

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 had greater capability of transformation than with
2 acquiring other antibody resistance, as well as
3 transmission with potentially other capsular genes.

4 So I think this issue about antibody
5 resistance would be potentially important not only
6 before the licensure, but also post marketing
7 surveillance.

8 ACTING CHAIRMAN DAUM: Thank you, Dr. Kim.

9 As a clarification, those isolates weren't
10 resistant to vancomycin. They were tolerant. That is
11 to say they were not killed, but they were not
12 resistant.

13 Dr. Katz, is your question about this
14 issue? Would you go ahead, please.

15 DR. KATZ: It wasn't a question. It was
16 just a comment in that I think what we're hearing is
17 the problem of the heterogeneity of different
18 populations. What I didn't hear mentioned, I believe
19 were Dr. Keith Klugman's studies from South Africa,
20 which were in some ways quite different from those in
21 Israel, and I think it just highlights the idea that
22 you can't generalize from one population to another as
23 to what the effects of vaccine are going to be
24 depending on what the ambient organisms are and what
25 is the situation of the population whom you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 studying.

2 So that I think if you're going to study
3 what happens in the United States, it may be different
4 from Montana to Massachusetts, but at least it makes
5 it implicit, I think that any recommendations you're
6 going to make for this country are going to depend on
7 what the data are for the United States and not a
8 study done elsewhere.

9 ACTING CHAIRMAN DAUM: Well, let me throw
10 out an idea that I think I'm hearing weave through
11 people's comments and see if people like it or don't,
12 but I'm getting a sense that you just sort of can't go
13 off and study pneumococcal disease anyplace you like
14 and believe that we can take the messages home, if you
15 will, and bring them back to the U.S.

16 And so if an alternate site is
17 contemplated for study, we've got to know something
18 about the baseline there. What's the epidemiology?
19 What's the carriage? What's the responses to vaccine?
20 How does it compare with what we have in this country?

21 And then one can undertake study of
22 choice, and then we can interpret the data based on
23 those baselines.

24 I mean, I think that's what people are
25 saying in about ten different ways. Does anybody want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to comment on that or does anyone agree with it or
2 disagree with it?

3 Dr. Broome.

4 DR. BROOME: I mean, I'd never deny that
5 there's a lot of variability and idiosyncracies, but
6 I also think for the serotypes producing invasive
7 disease, there's actually been remarkable consistency
8 over time and geography. There's exceptions, but you
9 know, big picture, I don't want us to be so nihilist
10 that we ignore what I think could be valuable data on
11 IPD protection in other countries.

12 I do think the carriage area is enormously
13 complex, and I look forward to further understanding.

14 ACTING CHAIRMAN DAUM: Yeah, I accept that
15 as a -- that's a clarification, I think, in one area
16 where that might not be quite so true, but anybody
17 else want to comment on this? Dr. Griffin, Dr.
18 Butler?

19 DR. GRIFFIN: Well, yeah, he's going to be
20 able to comment more knowledgeably. I was just going
21 to ask if the same thing was true about otitis media
22 as it is for invasive disease when it comes to the
23 serotypes most likely to be causing disease. Is that
24 also -- we don't have much of a database.

25 DR. BUTLER: I don't know. My comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was, you know, I think the distinction between
2 carriage and invasive disease is very important, and
3 to sneak ahead and look at some of the other questions
4 coming up, we need to keep in mind that same
5 difference probably applies to otitis media versus
6 invasive disease.

7 And if any two of these three are similar,
8 it's probably carriage and otitis media.

9 DR. BROOME: But you have to be real
10 careful about how certain you are of the causative
11 isolate, and you know, otitis has its own set of
12 complexity.

13 ACTING CHAIRMAN DAUM: Well, to come back
14 to you, do you agree with the summary statement that
15 I made, with the exception of invasive disease? I
16 mean, I think we've got to try and struggle with this
17 because it's going to come up over and over again.

18 Does a country that is going to have a
19 study undertaken and it needs some definition of what
20 goes on there before the study is undertaken.

21 Anyone want to comment on that? Dr.
22 Decker?

23 DR. DECKER: You know, in evaluating our
24 alternative measures of implied efficacy, other than
25 doing an actual efficacy trial, the considerations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that have been given are being given to antibody
2 levels or let me phrase more generally.

3 Immune response, however we decide that
4 ought to be measured, or various thought relevant or
5 clearly relevant clinical outcomes, like occurrence of
6 otitis, carriage with pneumococci and so on, and those
7 clinical outcomes are attractive to use either as
8 primary or secondary endpoints because they're
9 relevant clinical outcomes.

10 But I think there's a lot of danger in
11 them also, and they're at least as dangerous as any
12 serologic criteria. For example, as we've just heard,
13 the characteristics of colonization and the impact of
14 the vaccine on colonization differ markedly from
15 population to population in such a way that you can't
16 presume -- I mean, the same vaccine basically is shown
17 to be highly effective in preventing colonization in
18 one population and marginally effective in another.
19 So a candidate vaccine might look highly effective or
20 marginally effective when, in fact, it's no different
21 at all from Prevnar based on which population you did
22 this in if colonization were an endpoint. So that's
23 a dangerous endpoint.

24 If otitis is the endpoint, I've got a
25 number of concerns. One is that, again, the

1 mechanisms involved in otitis are not the mechanisms
2 involved in -- the same as in invasive disease.

3 The FDA raised the question or whether or
4 how the committee would respond to a vaccine that was
5 brought in simply for licensure on the indication of
6 prevention of otitis, and that's a very interesting
7 question, but let's set that aside and suppose the
8 question is: how do we respond when otitis prevention
9 data are being used to support a claim of efficacy
10 against invasive disease?

11 Well, I've got a number of concerns with
12 that because I suspect, for example, that prevention -
13 - this is based on largely extrapolation from Hib, but
14 I suspect the prevention of otitis may be more
15 dependent on GMT, whereas prevention of bacterial
16 disease or bacteremic disease depends upon a minimum
17 protective level; that there may be different
18 mechanisms.

19 And I suspect it's possible that a vaccine
20 that's effective against otitis might be more or less
21 effective than Prevnar against invasive disease.

22 So extrapolating from otitis to invasive
23 disease may be at least as shaky as extrapolating from
24 any serologic criteria. I mention that just to give
25 caution because I think there's a natural tendency to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 favor the clinical criteria, and they may not, indeed,
2 be any more reliable.

3 ACTING CHAIRMAN DAUM: Okay. We have Dr.
4 Goldberg, Dr. Insel, and Dr. Giebink lined up for
5 comment, and we're starting to get near a time when we
6 will start focusing on these questions.

7 DR. GOLDBERG: I want to bring up
8 something I think we should talk about, and I don't
9 know if it's reasonable in this arena, but in many
10 instances when you have an endpoint that's very rare,
11 but very serious, you have other endpoints, clinical
12 endpoints, that also can be assessed in the same
13 population.

14 And it seems to me that one possible
15 approach to this is to do in quotes clinical efficacy
16 trials using a combined endpoint, which is really the
17 occurrence of a series of events that could be
18 prevented by this vaccine, such as the invasive
19 disease such as otitis media.

20 And you can prioritize them in order of
21 severity so that you would have the worst first, if
22 you will, I mean, the details to be thought about, but
23 something starting to think along those lines though
24 opens up an arena where you would be doing a clinical
25 efficacy trial on a population, on a sample size that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was considerably smaller than the one that you'd need
2 for the invasive studies, quite larger than the ones
3 that have been proposed for the immunogenicity
4 studies, and begin to give you enough data that would
5 accumulate on safety and ways of assessing the
6 immunogenicity in relation to these various endpoints.

7 And I think I'd like some discussion of
8 that. If it's off the wall, I accept that, but I know
9 that in other arenas it is not.

10 ACTING CHAIRMAN DAUM: Anybody care to
11 respond to Dr. Goldberg's comment?

12 (No response.)

13 ACTING CHAIRMAN DAUM: Got no takers right
14 now.

15 Dr. Insel.

16 DR. INSEL: With respect to question one
17 and two, one theme that I've heard this morning was
18 the importance of measuring functional antibody, and
19 yet I'm troubled by the utility of the current
20 opsonophagocytic assays and whether or not they're
21 going to prove useful in this regard.

22 There's an article this month in the
23 Journal of Clinical and Diagnostic Laboratory
24 Immunology by Helena Kayhty where she and colleagues
25 have compared four different opsonophagocytic assays

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that have been developed worldwide, and one theme that
2 comes through in the article is the lack of
3 sensitivity of those assays, especially as one gets
4 into the concentrations of less than one microgram per
5 mL.

6 And yet when it comes to ELISA assays, I'm
7 hearing that we're willing to use ELISA values of,
8 let's just say for the sake of argument here, say,
9 less than 0.5 micrograms per mL, and I'm wondering if
10 somebody from this community can just at least begin
11 to address how are we going to use opsonophagocytic
12 assays as a functional assay if we don't have the
13 requisite sensitivity today or even in the short term.
14 I'm not sure where the field is going, if that could
15 be addressed.

16 ACTING CHAIRMAN DAUM: I think we should
17 ask Dr. Frasch to respond to that first, and then we
18 can have other responses, if you would.

19 DR. FRASCH: Well, I think one response to
20 that is just for the sake of argument, 0.5 microgram
21 per mL, somewhere in the vicinity of 90 percent of
22 recipients have greater than that following post dose
23 three. So what has been done in the past is take
24 those recipients who have made antibodies in excess of
25 one microgram and then find out if those particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals' antibodies are functional.

2 So that's the approach that's been
3 followed, and I'm not sure that you can say that if
4 you have .2 microgram of antibody that antibody is
5 going to be of lower quality than an individual who
6 makes two micrograms.

7 DR. INSEL: Again, I'm not sure what the
8 basis of that statement is either. I mean, I'm
9 concerned that, you know, we start talking about
10 levels of .2 as being the criteria based on ELISA, and
11 now you say, well, secondly we have to measure
12 functional antibodies, and yet it's only a subset of
13 that group in which we can measure functional
14 antibodies, and presumably those individuals who are
15 making higher antibody titers may be making antibodies
16 with higher affinity and may have more functional
17 activity.

18 And so I'm not sure one can extrapolate
19 just from the select group of individuals who make one
20 to ten micrograms per mL as far as what's going on
21 with the whole group, and I think that's going to have
22 to be addressed if this is going to be used as a
23 criteria.

24 ACTING CHAIRMAN DAUM: Dr. Giebink had his
25 hand up, and then Dr. Kohl. We're going to stay on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this issue for a bit and try to explore it.

2 DR. GIEBINK: No, I just wanted to weigh
3 in on this issue myself.

4 ACTING CHAIRMAN DAUM: You're next to
5 speak anyway.

6 DR. GIEBINK: My understanding from the
7 report out of the workshop was not that avidity assays
8 or opsonophagocytic assays would be used in the same
9 quantitative way that ELISA results are used, but
10 rather that avidity assays and opsonophagocytic
11 results would be used to characterize the response
12 that a vaccine elicited in an early phase experience
13 with that vaccine, and that if it had the same
14 characteristics as the Plevnar response, you'd move
15 along, but you'd do so with ELISA.

16 And I guess I just want clarification,
17 Carl, if that is the gist of what the workshop
18 discussion was.

19 DR. FRASCH: Yes, yes, because what you're
20 really trying to show is is the vaccine capable of
21 inducing functional antibodies, and to do that you
22 don't have to look at antibodies in every single
23 individual that were immunized because what we're
24 concerned about is does the chemistries, the chemical
25 modifications, required to make the polysaccharide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 able to chemically link to the protein, do these
2 chemical modifications have an effect on the ability
3 of the resulting conjugate to induce functional
4 antibodies?

5 So that's partly where we're coming from
6 from the standpoint of looking for the ability of the
7 vaccine to induce functional antibodies.

8 ACTING CHAIRMAN DAUM: Dr. Falk, then Dr.
9 Kohl.

10 DR. FALK: I just wanted to speak directly
11 to Dr. Giebink's question.

12 I think you encapsulated the sense of the
13 workshop very well in that the end result would be an
14 evaluation by ELISA for the pivotal study, but that
15 during the course of the product development and
16 clinical evaluation, there would be an evaluation of
17 the ability of the ELISA to correlate with
18 opsonophagocytic and avidity endpoints as well, but
19 not necessarily -- the workshop did not get into in my
20 mind the specifics about how that was to really
21 happen, just that it could be done in a subset during
22 the pivotal study or prior to the pivotal study.

23 ACTING CHAIRMAN DAUM: Okay. More about
24 this -- sorry, Claire. What did you want to say?

25 DR. BROOME: I just wanted to comment on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this point.

2 ACTING CHAIRMAN DAUM: Would you, please?

3 DR. BROOME: I mean, it seems to me
4 there's actually two ways in which the functional
5 assay could be helpful. One is what I think Carl is
6 referring to, which is the generic question: does a
7 serotype for which we don't have efficacy data elicit
8 functional antibody, you know, at all, in which case
9 the higher titers presumably are relevant?

10 But I think the other issue that Dick and
11 I was sort of interested in was could the functional
12 assays help up with this issue of what is a meaningful
13 threshold value, in which case you really need to
14 focus on the ELISA values that are in the lower range.

15 And I'd just still be very curious as to
16 whether there is, you know, any progress in both
17 reliability and sensitivity of assays in that range or
18 whether it's an impossibility. I just don't know
19 enough about the mechanics of the assays.

20 ACTING CHAIRMAN DAUM: Yeah, I think this
21 is an important issue to ask people here to speak to
22 if they have knowledge about it because we're groping
23 with this functional assay business, and it really
24 looks like the higher titer sera are the easier ones
25 to measure and that we've seen the most data about,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but they may also be the sera with the most functional
2 antibodies.

3 So what do we know about low ELISA titer
4 sera and function? Dr. Kim, what do you know?

5 DR. KIM: I guess I also want to, you
6 know, raise one more issue related to that. Again, I
7 want to raise this issue to Carl. He's, you know,
8 performing a functional assay, such as
9 opsonophagocytic assays. I know there are probably
10 ten, 20 different ways you can set up the
11 opsonophagocytic assays so that, you know, the
12 question is, again, going back to some of the issues
13 that Dick Insel raised about sensitivity: are you
14 able to sort of set up the assay in a way that you
15 will be able to measure functional activity of those
16 sera regardless of concentration of antibody measured
17 by binding assays to elicit functional activity?

18 DR. FRASCH: I would like to preface that
19 in that the in vitro opsonic assay in itself is very
20 different than in vivo. So it's a very artificial
21 set-up right away.

22 Now you're asking us to tweak the
23 artificial assay such that it becomes sensitive enough
24 to now measure antibodies at our proposed threshold
25 value.

1 I'm not sure we're going to gain anything
2 by making it maybe more artificial.

3 ACTING CHAIRMAN DAUM: Before I call on
4 anybody else, is there anyone here who has information
5 about this issue that's been nagging us, or is this
6 the state of the art right now?

7 State of the art, Dr. Giebink nodding his
8 head as an expert pneumococcal guy.

9 (Laughter.)

10 ACTING CHAIRMAN DAUM: All right. Dr.
11 Kohl first, then Dr. Decker. We have some uncertainty
12 identified.

13 DR. KOHL: I'm still on this same
14 question, and I'm coming from it sitting on this side
15 of the table as a beleaguered hireling of the FDA, and
16 I'm looking down the road having a company come to us.
17 We're basically talking about one, and I think we've
18 accepted the first part of that one that we're
19 probably going to accept noninferiority immune
20 response, and we're talking about the second thing
21 now, which is --

22 ACTING CHAIRMAN DAUM: I would ask you not
23 to assume that.

24 DR. KOHL: Okay.

25 ACTING CHAIRMAN DAUM: For your comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KOHL: Well, that's where I am.

2 ACTING CHAIRMAN DAUM: I don't think I've
3 heard a clear consensus on that at all.

4 (Laughter.)

5 DR. KOHL: Well, as I'm sitting here, I'm
6 thinking about a company that comes to us and says,
7 "Well, here is our cutoff level, and we've made all of
8 the whatever we decide, the hoop that you have to jump
9 through for that, and now here's our opsonophagocytic
10 level."

11 And what do we on this side of the table
12 need to see? Do we need to see that 80 percent of the
13 high titer serum achieved a certain level of the OPK?
14 I'm trying to figure out how that's going to help us,
15 and I'm hearing the very vague comments about, well,
16 it will be used in early studies to show that the
17 antigen is capable of eliciting an opsonophagocytic
18 response.

19 I don't know what that means. Eliciting
20 it in 100 percent of people or eliciting it in high
21 titer people or eliciting it in two month old
22 children?

23 And we're being led to think that the
24 opsonophagocytic assay is somehow close to the human
25 situation because it seems to be correlated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 animal models, but what about with polys -- I presume
2 we're talking about polymorphonuclear leukocytes as
3 the prime actor here -- what about polymorphonuclear
4 leukocytes in a six week old or in a three month old
5 where the action is, where those pneumococci are?

6 So it's very complicated, as Dr. Insel
7 was, I think, implying, and I think as Dr. Decker also
8 said.

9 ACTING CHAIRMAN DAUM: Thank you, Steve.

10 Dr. Decker. Dr. Hall next.

11 DR. DECKER: There's current discussion.

12 I think it may be best addressed by coming back to
13 what Dr. Goldberg said because I think, again, on a
14 practical level that's likely to be the way we end up
15 heading.

16 If, and I agree with Steve on this
17 notwithstanding my deep respect for the Chair, I think
18 we're probably headed towards taking a -- eventually
19 identifying some immunologic pathway to licensure. If
20 we do that, we're going to want assurance that that in
21 vitro measure has in vivo meaning, and that assurance
22 may come at least in part through identifying some
23 specific other immunologic test, such as
24 opsonophagocytic antibodies, or it may come from some
25 of the clinical endpoints that I cautioned against

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 using as determinative earlier, but which I think
2 clearly we might want to use as supportive.

3 And that brings us directly to what Dr.
4 Goldberg was saying. For example, I can contemplate
5 a checklist where, yes, we've achieved antibodies
6 measured by ELISA in the total immunized population,
7 study population, that meet these criteria with
8 comparison of Prevnar for the strains contained in
9 Prevnar.

10 And in addition, we've shown that in an
11 appropriately selected subset in whom it can be done,
12 we've demonstrated activity of these antibodies, and
13 in addition, we've shown an impact on some clinical
14 endpoint which is reasonably comparable to what you
15 achieve in that endpoint with Prevnar, and therefore,
16 we have taken one from column A, one from column B,
17 and one from column C. Let's ship our order. It's
18 ready to go.

19 ACTING CHAIRMAN DAUM: Okay. I think we
20 may as well swing into question one, but let's hear
21 from Drs. Diaz and Goldberg, and, Dr. Hall, you had
22 your hand up first. I lost.

23 Let's go with Dr. Hall, DR. Diaz, Dr.
24 Goldberg, and then we're going to go right into
25 question one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HALL: Still on the same question
2 obviously, coming back to what Dr. Broome said
3 earlier, which I think is really important, is how
4 much variability between the functional assays and
5 ELISA exists in terms of serotype, and I'm wondering
6 if anybody has more data or if Scott perhaps has it at
7 least in the animal model.

8 And secondly, since we appear to know that
9 the pre-titer does affect the ELISA titer, is that
10 also going to affect the functional assay?

11 ACTING CHAIRMAN DAUM: Anyone want to
12 address that question? I'm sure I'm missing some
13 information here.

14 DR. GIEBINK: Yeah, I got put on the spot
15 here.

16 ACTING CHAIRMAN DAUM: All right. Who put
17 you?

18 DR. GIEBINK: I think I need to say on the
19 table for all to know that the correlation between
20 opsonophagocytic titers and ELISA titers is in some
21 cases good and in many cases not so good.

22 DR. HALL: By serotype.

23 DR. GIEBINK: By serotype, and in no case
24 is it great. So I'm not going to put numbers, Rs on
25 those, but really there's quite a scatter. So I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a lot of concern about using opsonophagocytic titer as
2 a surrogate for protection because I feel better about
3 ELISA titers, IgG titers and their relationship to
4 protection, but neither is perfect.

5 ACTING CHAIRMAN DAUM: So are you saying
6 that the focus, and hearing the footprints of issue
7 one coming, the focus ought to be on measuring ELISA
8 because like it or not, that's the best we've got, and
9 then some kind of functional assay, I presume we would
10 want to work in there, to make sure that what we're
11 measuring by ELISA works?

12 DR. GIEBINK: Yes. That's what I -- I
13 think that's what the workshop concluded, and I would
14 agree.

15 ACTING CHAIRMAN DAUM: But then we're
16 getting squishy as to what that something should be
17 and how it should be done is what I'm hearing.

18 DR. GIEBINK: Okay.

19 ACTING CHAIRMAN DAUM: Right?

20 DR. GIEBINK: Yes. That's true. I think
21 we may have to be comfortable with the squishiness for
22 right now.

23 ACTING CHAIRMAN DAUM: Dr. Frasch, on this
24 issue?

25 DR. FRASCH: Yes, but I don't think -- if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you read question one, I don't think it infers like
2 you're saying, one assay, one measure, one immune
3 parameter.

4 ACTING CHAIRMAN DAUM: Well, there's a
5 little parentheses at the end, but it certainly asks
6 about it. You're the interpreter of the questions.
7 I mean, is that not what you're asking?

8 PARTICIPANT: No, not really.

9 DR. GRUBER: I was just accused of having
10 written this question, and you have no idea through
11 how many revisions we went to arrive at this, but let
12 me comment.

13 I think what is meant here really is what
14 immunological parameter. I think we're thinking of
15 perhaps being able to define today a primary parameter
16 and then leave space for some secondary parameters
17 that could be perhaps translated in secondary
18 endpoints or something like that.

19 ACTING CHAIRMAN DAUM: It sounds like
20 that's what you're going to get.

21 Dr. Diaz, please.

22 DR. DIAZ: I'm going to hold my comment or
23 it will come up later.

24 ACTING CHAIRMAN DAUM: All right. Dr.
25 Goldberg.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: Yeah, I just wanted to
2 clarify something in what I said because, Dr. Decker,
3 I mean, I think I agree with you, but to a point. I
4 think that this, quote, clinical trial would also have
5 the immunogenicity assays done. You would use those
6 to bridge the secondary immunogenicity trials, but
7 that would be the link.

8 And you would also be in that trial --
9 hopefully it would be sized so that you could at least
10 for some of the endpoints or the combination of
11 endpoints develop the relationships between the titers
12 and the clinical endpoint.

13 So I wouldn't call the clinical, this
14 thing, purely supportive. I would say that this would
15 be in a sense -- it would have to be an agreement
16 because it wouldn't be your standard clinical trial
17 with all of the criteria tied up nicely, but in a
18 sense, I would view that as, you know, one of two
19 parts, and it would be a package of the immunogenicity
20 trial with this that would determine your efficacy.

21 ACTING CHAIRMAN DAUM: Dr. Faggett,
22 please.

23 DR. FAGGETT: Dr. Goldberg, just for
24 clarification, so in effect you're saying the clinical
25 trials would be available to validate pretty much some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the other --

2 DR. GOLDBERG: But it would be a
3 modification of the kind of efficacy trial that we
4 talked about.

5 DR. FAGGETT: Yeah, I thought that's what
6 you said, and my concern was that we're moving towards
7 eliminating the availability of clinical trials, and
8 to me I think that would be a mistake.

9 ACTING CHAIRMAN DAUM: I think we're
10 trying to decide what their components should be.

11 Dr. Kohl and then Dr. Giebink.

12 DR. KOHL: I'm wondering as we move
13 approaching question one if we can mandate a large
14 post licensure trial, a bridge of immunology to
15 licensure, and then a large post licensure trial, in
16 particular focusing on rare adverse events and also
17 breakthrough cases of pneumococcal disease, in
18 particular, invasive pneumococcal disease, which may
19 then give us a handle on serotype breakthroughs in
20 particular, which will be unusual, but may be very
21 telling.

22 ACTING CHAIRMAN DAUM: We are, of course,
23 an advisory committee that mandates nothing, but we
24 can certainly make the suggestion, and I know our
25 colleagues are listening carefully to what we say.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Giebink.

2 DR. GIEBINK: Just a comment on the
3 efficacy trial that Dr. Faggett mentioned. If I were
4 acting from an ethical basis on the conduct of a
5 clinical trial, because it's an equivalence or this
6 scenario, I guess, called the noninferiority, but some
7 of us think of equivalence trials; if this were an
8 equivalence trial, I would require serologic evidence
9 of equivalence before conducting the clinical trials.

10 So that, in fact, the first threshold in
11 my mind is the serologic equivalence.

12 ACTING CHAIRMAN DAUM: Well, Scott, let me
13 reframe your comment and make sure we're on the same
14 page.

15 If we look at this item, this item
16 basically asks about that, whether a noninferiority
17 immune response, immune response, comparing a new
18 vaccine with Prevnar are sufficient. So it really
19 deals with what you're saying, doesn't it?

20 DR. GIEBINK: No. It's the issue of going
21 on to clinical efficacy.

22 ACTING CHAIRMAN DAUM: To clinical, not
23 this?

24 DR. GIEBINK: I was addressing this issue
25 here.

1 ACTING CHAIRMAN DAUM: Okay. Dr. McInnes
2 and then Dr. Griffin.

3 DR. MCINNES: The concern with that
4 approach is if you took the experience we had with
5 hemophilus and you applied that to Hib OMP, you would
6 have failed on a noninferiority basis --

7 DR. GIEBINK: But had equivalence, yeah.

8 DR. MCINNES: -- but your efficacy data
9 was spectacular. So you have a vaccine that works or
10 has an immune response that is not in the traditional
11 one you're comparing to, and you potentially kill a
12 very important vaccine approach.

13 So I think the issue comes that if you
14 have clear noninferiority on either of the serotypes,
15 that's a win-win-win all around. The question comes
16 if you don't have clear noninferiority in all of the
17 serotypes, how much window do you give around that,
18 and perhaps that's the first test, is the
19 noninferiority, and if you don't pass by whatever the
20 passing grade is, these other alternative approaches
21 have to be open to look at, and the onus is then on to
22 demonstrate efficacy or use some other supporting data
23 to make the case for what might be taken into
24 consideration for licensure.

25 ACTING CHAIRMAN DAUM: I think that's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wonderful clarification for us. The language does use
2 the word sufficient, and I take it from your comment,
3 Pam, that you would say that it would be sufficient if
4 it were noninferior.

5 DR. McINNES: Yes.

6 ACTING CHAIRMAN DAUM: And the corollary,
7 of course, is that that would not close the door on
8 further considerations. I think that's what I'm
9 hearing.

10 Dr. Griffin, was it you that was next?

11 DR. GRIFFIN: Were you going to comment on
12 that?

13 DR. DECKER: Well, very briefly. I agree
14 entirely with Pam, and that's consistent with what I
15 said originally. I think what we will need to end up
16 with is multiple pathways to licensure.

17 For example, if we endorse a serologic
18 pathway, there is always the efficacy trial pathway.
19 We're not closing that door.

20 ACTING CHAIRMAN DAUM: So let's go to
21 question one. Let's go to the big board.

22 Do you want to make a comment first? The
23 last comment.

24 DR. GRIFFIN: Okay. The last comment.
25 Because the only thing I wanted to say was solidify

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the fact that if we go to an ELISA type of threshold,
2 which I agree is much easier to quantitate, et cetera,
3 as the serologic criteria that we're using, that I
4 would agree with the comments that were made before.
5 I guess I just wanted to reinforce it, that at some
6 point prior to using the ELISA, you show that this
7 particular kind of conjugate for each of these
8 polysaccharides does induce functional antibody. I
9 mean, this opsonophagocytic, you know, test sounds
10 like a reasonable one, although not perfect, but that
11 you would have to establish that, but you weren't only
12 inducing ELISA reactive antibody.

13 ACTING CHAIRMAN DAUM: Okay. I'd like to
14 start focusing specifically on this question now, and
15 it has two distinct parts to it.

16 The first part is whether
17 noninferiority -- comparing a new vaccine with
18 Plevnar. So I'm going to presume -- FDA people,
19 correct me -- that there couldn't be new serotypes in
20 that vaccine for this question because then they
21 couldn't be compared, and so if noninferiority, is it
22 sufficient? Would it have to be done -- when you
23 comment, would you please comment would it have to be
24 done in the United States or could it be done,
25 inferiority done, in South America, in Asia, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Western Europe? Noninferiority done where?

2 And also when each person comments, we'll
3 need to say something about what do you mean by
4 noninferiority. First, what assay, primarily; second,
5 what assay secondarily; and then, thirdly, what if not
6 every serotype meets the bar?

7 I'm going to throw that in as an issue
8 that I think would be worth commenting on as we go
9 around.

10 Dr. Insel, I think we'll start with you if
11 you wouldn't mind, and then we'll go up the table here
12 and swing around.

13 DR. INSEL: And if I heard you, I think it
14 would be sufficient if it was conducted in a
15 comparable population, a U.S. population.

16 I think as far as immunological
17 parameters, I view the ELISA is probably going to be
18 your primary criteria, but I am concerned that we are
19 going to set the threshold so low that we have to have
20 some kind of functional assay, I believe, to go along
21 with this, and I'm concerned that the functional
22 assays as they exist do not have the requisite
23 sensitivity and show serotype differences.

24 I am troubled with the 19F story because
25 it's unclear to me. If I understand it correctly, 19F

1 does make pretty good antibody response both based on
2 geometric mean concentration or titer, as well as a
3 threshold type level, and yet on the invasive side
4 there was, at least, one failure there which is
5 obviously probably not meaningful, but on the otitis
6 side of things, it is somewhat worrisome, and it makes
7 me want to think that we do need to have some kind of
8 functional equivalent if we are going to set this low
9 threshold.

10 ACTING CHAIRMAN DAUM: Thank you very
11 much.

12 Dr. Wharton.

13 DR. WHARTON: I would concur with Dr.
14 Insel's comments, though I also want to echo a point
15 that I think you just made about that I'm not sure
16 that I would conclude just because noninferiority
17 criteria were not met that the vaccines were not
18 equivalent.

19 Perhaps we'll get into that later, but I'm
20 very concerned with a vaccine where we have a fair
21 degree of uncertainty about threshold amounts. there
22 are assay related issues. There are multiple assays
23 being done, that when you include that very large
24 number of analyses and comparisons, that the failure
25 to meet noninferiority criteria for a couple of them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would eliminate a candidate vaccine, I think, could be
2 a very unsettling discussion to be having in this room
3 in a couple of years.

4 So I think that is an area that serves
5 some additional exploration.

6 ACTING CHAIRMAN DAUM: Thank you very
7 much.

8 So I take it both you and Dr. Insel
9 believe that it would be sufficient.

10 DR. BROOME: I also think that it would be
11 sufficient, but I think there's a number of
12 additional points I'd like to make.

13 I mean, one is I think we do have to
14 specify the precision of the assay at these low
15 levels, assuming that the threshold is going to be
16 under one, and so I want to know what the precision of
17 the assay is under one, and I do think ELISA is very
18 attractive for potential precision and ease of use for
19 large numbers of samples, but if it's not measuring
20 the right parameter, that really isn't that great.

21 I think on the whole it clearly does
22 correlate, but I think when you're dealing with so
23 many different serotypes and you have some evidence
24 of, you know, if you take the otitis data different
25 protection with different serotypes without that much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference in ELISA, it makes me want to know a little
2 more about something that would measure protection.

3 It also suggests to me that rather than
4 sort of carving the narrowest threshold, we ought to
5 have a sort of margin of error built in. You might
6 determine that partly based on the precision of the
7 assay. You also might just put in a margin of error.

8 I think that's also something you could --
9 which is sort of implied in this idea that rather than
10 try to calibrate a threshold for each serotype, you
11 pick, you know, a threshold that meets the highest
12 serotype, which, you know, I think is what has been
13 done by some folks, and it doesn't worry me that much
14 to take that kind of an approach and use essentially
15 that number for all serotypes, understanding that's
16 making some assumptions.

17 The one thing I'm not comfortable with are
18 these measures which combine the results across
19 multiple serotypes. I think I've seen we've tried to
20 do that over the years, and I really think that is a
21 counterproductive endeavor which tends to sort of mask
22 true serotype specific variability.

23 So those are just some thoughts, and you
24 know, the issue, the one you tacked on of do we need
25 to have noninferiority for all seven serotypes, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, I think that's a tough one. I'd prefer to have
2 that. There's clearly some serotypes which are more
3 prominent as causes of disease that would be
4 priorities, but you know, I'd like to see if we
5 couldn't do it for all seven.

6 ACTING CHAIRMAN DAUM: Dr. Butler.

7 Thank you. The first three speakers are
8 just incredibly helpful, I think. So thank you. And
9 let's see what else we can get from our group.

10 DR. BUTLER: Great. You've set me up,
11 Bob. Thank you.

12 (Laughter.)

13 ACTING CHAIRMAN DAUM: Yeah, I'm sorry.
14 If I don't say it for the fourth, they just didn't cut
15 it.

16 DR. BUTLER: I think that the
17 noninferiority of immune response trial is a
18 reasonable approach for inferring efficacy against
19 invasive disease, and I think I would also go as far
20 as to say it's sufficient.

21 ACTING CHAIRMAN DAUM: Jay, can you speak
22 right into the mic so we can all hear?

23 DR. BUTLER: That's somewhat considering
24 also what the alternatives are and what are really
25 logistically feasible to do, and I would qualify that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by making it clear that I'm talking about a head-to-
2 head comparison between the vaccine under evaluation
3 with at this point in time Prevnar.

4 The struggle that I think we're all having
5 is what is the definition of noninferiority. Some of
6 the definitions that have been tossed around included
7 some triple negatives. I find I'm having to pull out
8 a piece of paper to keep track of just what it is
9 we're implying.

10 But the question of what to do when
11 there's, say, a single serotype that falls short, I
12 think, is important. An example might be serotype 4.
13 At least in the trial in Northern California that was
14 a very unusual serotype, and it's not one of the
15 leading serotypes in that age group in the U.S.

16 Does a vaccine then not go to licensure
17 because of that?

18 The other issue is how to evaluate to
19 immune response, and I think the attractiveness of the
20 ELISA is standardization, but I think functional
21 assays, such as the OBK and perhaps also avidity
22 assays can provide very important complementary data,
23 and I bring that up because that may be complementary
24 data that would be useful in terms of sorting out what
25 to do with the individual serotype or small number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serotypes that fall short by ELISA.

2 I cannot even begin to imagine how to
3 state that quantitatively, but just as a general
4 concept, I think that complementary data may help sort
5 out those questions, and I think that's going to
6 really happen with expanded valency vaccines and the
7 fact that we're dealing with seven individual immune
8 responses.

9 There's going to be differences, if
10 nothing else, due to chance.

11 ACTING CHAIRMAN DAUM: Thank you.

12 That was four very helpful --

13 (Laughter.)

14 ACTING CHAIRMAN DAUM: I can't keep doing
15 it though.

16 Dr. Hall.

17 DR. HALL: Why not?

18 I can just say that I agree with
19 everything that's been said in general, but I guess
20 what I'd like to bring up again is, first of all, what
21 of course is going to be sufficient is a question yet,
22 but if you have populations that are comparable, which
23 I think is the basis that everybody has said to
24 utilize this, that means to me stepping back a minute
25 and saying what are the criteria to determine that

1 these populations are comparable, particularly if
2 we're doing it in another country, and I don't think
3 that we've really addressed that issue.

4 Is it the distribution of serotypes? Is
5 it their immunogenicity on a given serotype?

6 I mean, there are so many different
7 aspects so that I think those would have to be set up
8 first, and then I would think that obviously the
9 immunologic parameter or the major assay would be
10 potentially ELISA, and that as Jay brings out, that
11 there will be some that are going to fall short.

12 So how are you going to judge those? And
13 in those instances, maybe it does require a combined
14 or weighted assays of all the assays, and that again
15 then brings up the conundrum of trying to decide how
16 do you weight this.

17 But I think all of those things need to be
18 at least set up to some degree as to what our criteria
19 are.

20 ACTING CHAIRMAN DAUM: Thank you, Dr.
21 Hall.

22 You're next.

23 DR. EMERSON: I'm in the position of being
24 allowed to both introduce the probably greatest
25 heterogeneity of opinion and perhaps the heterogeneity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of quality of opinion.

2 I guess the main thing I have to address
3 is the question of time. I mean, I think clearly
4 eventually you have to go to the immunologic response,
5 and my major question is: are we there yet?

6 And I guess I don't think we are. I
7 haven't heard any evidence. You know, I guess I've
8 heard it go both ways as to whether this should be
9 necessary or sufficient. The idea of saying if you
10 don't have the immunologic response, should we drop it
11 like a live grenade or should we then go on to
12 efficacy treatments? And quite differing opinions
13 there.

14 And I guess I also think there are some
15 numbers that I look at in these preliminary things
16 that don't look that unattainable. Thirty-eight
17 thousand people were used in the Kaiser study.
18 There's quite a number of these sample size formulas
19 that come up in the 38,000 range or better,
20 particularly as you start considering that the otitis
21 media endpoint can contribute such information.

22 And so I would be looking more at what I
23 think you put as, you know, the one from column A and
24 column B and column C approach, as the idea of saying
25 we'd like some immunologic response, and that in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combination with some more protective endpoints on the
2 secondary ideas of otitis media would be preferable to
3 at this stage going with purely an immunologic
4 response to declare noninferiority.

5 ACTING CHAIRMAN DAUM: You know, I thank
6 you for your comments. You've touched on many issues
7 with them.

8 But to come back to this very item, do you
9 think that noninferiority is sufficient for inferring
10 efficacy?

11 DR. EMERSON: No, no.

12 ACTING CHAIRMAN DAUM: Good. Thank you.

13 Dr. McInnes, please.

14 DR. McINNES: I think we should remember
15 the spectacular efficacy of Prevnar, and we should
16 remember, I think, the considerable body of data that
17 supports that antibody is protective, and I think I
18 have no problem in supporting the use of
19 noninferiority immunogenicity studies, but the bar is
20 set. It's out there. It's a licensed product, and
21 that's the guy against whom you have to get measured.

22 And so if noninferiority can be
23 demonstrated by immune response, and I think there's
24 a lot of work being done on ELISA and there has been
25 a lot of work done on the functional assay. It's not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 everybody's favorite functional assay, and I think
2 there's room for a lot more work in this area, but I
3 think pragmatically the ELISA is working for us, and
4 I think we should continue to try to refine the
5 opsonophagal (phonetic) functional assay and the
6 correlation between these two, but I am confident that
7 these are meaningful at this point, and I have no
8 problem supporting this approach for noninferiority of
9 all the serotypes.

10 ACTING CHAIRMAN DAUM: Dr. Decker, you may
11 choose to believe you've spoken to this already.

12 DR. DECKER: You know me better than that.

13 ACTING CHAIRMAN DAUM: I was actually
14 going to say that, and I said, "Bob, catty. Just
15 don't do it."

16 (Laughter.)

17 DR. DECKER: My answer to question one is
18 yes. More specifically though, only if the question
19 is broad enough to say that not an inferiority
20 demonstration requires at least a little bit more than
21 demonstrating the statistical noninferiority of the
22 ELISAs because of the concern. Although we've got
23 substantial evidence, as Pamela said, that antibody is
24 the key thing, still we want to know the antibody that
25 is being generated is functional.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Once we know that it's functional, then I
2 think we can assume that the demonstration of
3 numerical noninferiority is adequate.

4 I disagree a little bit with Dr. Emerson.
5 I didn't mean to imply earlier that a candidate
6 vaccine would need to demonstrate noninferiority with
7 respect to a clinically relevant outcome; rather, that
8 demonstration of performance against a clinically
9 relevant outcome was one way of demonstrating that
10 your antibody was function.

11 So because I don't believe that the sample
12 sizes that would be necessary to demonstrate
13 noninferiority of the clinical performance against any
14 of these clinically relevant outcomes are readily
15 obtained, and given how good our data are in support
16 of the idea that antibody is the driving factor here
17 in protection, I don't think it's reasonable to set
18 that standard.

19 I think there are a couple of other
20 questions that you raised that can be addressed. Can
21 the study be done anywhere? Yes, but I think the
22 manufacturers should proceed with great caution if
23 they go outside of the United States because they're
24 going to have to figure out how they're going to
25 satisfy the committee that their non-U.S. data are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 bridgeable to the U.S., and that's a very difficult
2 question, one that can be avoided by not going outside
3 the U.S.

4 So I don't think that's a bar we set, but
5 I think everybody had better recognize that they put
6 a big hurdle in front of themselves if they go that
7 pathway.

8 We also need to define noninferiority very
9 clearly. I think one of the things that's an
10 essential outcome of today's meeting is that the
11 companies are given a road map to licensure. Whether
12 this comes from the FDA in six months or it comes
13 straight out of this meeting, but somehow because
14 these issues are so thorny, it is incumbent upon us
15 and our FDA colleagues to insure that the companies
16 don't spend three or four years in a developmental
17 process that then is met here by rejection because we
18 didn't really mean .18. We meant .30, or we didn't
19 really mean you had to show this functional or you had
20 to show that functional.

21 It is incumbent in this complex area to
22 offer a clear road map.

23 And finally, I agree with what Steve said.
24 We've got one other safeguard here, and that's post
25 marketing surveillance for breakthrough cases. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA always has the option, and this committee can
2 always recommend that that be done, and the less
3 sanguine we are about the strength of evidence of
4 efficacy for a particular candidate vaccine, the more
5 we may be likely to ask that surveillance of
6 breakthrough cases be done to identify serotype
7 specific failures. So that's an option we retain.

8 ACTING CHAIRMAN DAUM: I think caution, of
9 course, is that this committee is advisory, and so I
10 would think a company would be remiss to infer a road
11 map from this discussion without input from colleagues
12 at the agency.

13 Dr. Giebink, please.

14 DR. GIEBINK: I do believe that a
15 noninferiority immune response trial is sufficient for
16 inferring efficacy, but I have lots of caveats, and I
17 must admit at this end of the table, it's hard to come
18 up with many new caveats.

19 (Laughter.)

20 ACTING CHAIRMAN DAUM: You don't have to.

21 PARTICIPANT: But, Scott, I can help.
22 Wait. I can help you.

23 ACTING CHAIRMAN DAUM: You don't have to.

24 DR. GIEBINK: But I want to emphasize a
25 couple. I want to emphasize a couple.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As Pamela said, the bar has been set.
2 Clearly the bar has been set, and in that respect, I
3 believe that given all of the discussion and variance
4 in ELISA assays that exist and the discussion that
5 we've had, that we need to validate against the Wyeth
6 assay. That's the assay that was used that produced
7 the antibody results that led to licensure of Prevnar,
8 and I think we need to -- that another product would
9 need to bridge to that assay or at least those
10 results.

11 The demographic issues of the population
12 chosen for another vaccine immunogenicity trial is
13 crucial, whether it's inside the U.S. or outside the
14 U.S. The difference in demography outside is obvious.

15 Inside there are big differences, too, and
16 that needs to be recognized, and the only other thing
17 I haven't heard mentioned so far is that we have some
18 populations in this country at exceedingly high risk
19 of invasive pneumococcal disease and high mortality,
20 and we should not lose sight of the fact that studies
21 need to be done early on in these high risk sickle
22 cell disease populations, transplant populations, et
23 cetera, as early Phase 4 studies.

24 And I think just passing that along to the
25 FDA is admonishment that those are important studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACTING CHAIRMAN DAUM: Thank you, Scott.

2 I would, as we continue to go around the
3 table, remind my colleagues that we don't have to just
4 say something. Having the force of agreement with
5 what's been said previously counts for a lot. We'll
6 put that right in the win column.

7 Dr. Kohl.

8 DR. KOHL: Yes. For noninferiority being
9 sufficient, again, I agree with and hope the FDA can
10 stick to this high bar, high bar being everything that
11 has been said, including meeting noninferiority for
12 all seven serotypes in Prevnar, including using an
13 assay that they judge is reliable, including setting
14 a level of antibody that is a fairly high level, and
15 I can't do that at this moment, but we've heard lots
16 of different levels batted around. I'm going for
17 higher, and I think that bar should be set higher.

18 I also think that we've really made things
19 a lot easier for our pharmaceutical friends across the
20 table in terms of if this holds, not mandating very
21 large efficacy trials, and I think that then hopefully
22 the FDA feels comfortable in really setting out some
23 very, very structured requirements for post licensure
24 study, which unfortunately we've tried to do with
25 other vaccines, and at times haven't succeeded, and

1 that's come back to bite us.

2 I'm thinking of the Lyme vaccine, and at
3 other times has succeeded very well. Rotavirus really
4 has been a very good thing that's happened.

5 And lastly, to echo what we've said
6 yesterday and what I know that Dr. Faggett and I feel
7 strongly about is looking at diverse populations
8 within this country, which are very high risk but
9 haven't been emphasized. A black ghetto population is
10 a very high risk population for invasive pneumococcal
11 disease and they should be specifically included in
12 this licensure requirement.

13 ACTING CHAIRMAN DAUM: Thank you, Steve.
14 That's very helpful.

15 Dr. Kim, please.

16 DR. KIM: I want to support that
17 noninferiority based on immune responses is sufficient
18 for inferring efficacy against invasive disease.
19 Again, I think an important, at least, issue to me is
20 that, again, the assay for -- and then for this, I
21 guess we talked about many different assays based on
22 the other issues involved with the functional assays.
23 I believe the binding assays, such as ELISA, would be
24 preferred.

25 However, I think it is important that when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we looked at the data from various individuals or
2 manufacturers about ELISA titers, then we really need
3 to know that those assays are, indeed, comparable and
4 reproducible and have been consistent with a -- if
5 there's a guideline, they're consistent with the
6 guidelines coming from the FDA.

7 And then regarding whether immune
8 responses need to occur comparable to Prevnar, I also
9 agree that the immune responses have to be at least
10 equivalent to Prevnar for all seven serotypes that are
11 contained in the vaccines because that already has
12 been shown to be efficacious and that that is a
13 licensed product.

14 And, again, I think it's also -- I'd like
15 to see some functional activities that, you know,
16 comparing or at least supporting the data coming from
17 the binding assays. Again, I know the issues have not
18 been settled. I'd like to see some more discussion
19 going on on these, you know, assays, such as
20 opsonophagocytic assays. I'd like to see some sort of
21 agreement among interested parties about the assays so
22 that certainly that would be meaningful and also it
23 would be reproducible so that we'll be able to, you
24 know, as a committee member, we'll be able to
25 understand what those numbers mean.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DAUM: Thank you, Dr. Kim.
2 Dr. Faggett.

3 DR. FAGGETT: Yes. I'll start off with a
4 caveat. As a condition, we know that laboratory data
5 in only adjunctive to one's clinical impression, but
6 I think I've gained a much better appreciation of some
7 of the available tools today. So I'm very comfortable
8 at this point to agree that noninferiority immune
9 response trials are sufficient, again, with adequate
10 bridging studies, including U.S. population, and that
11 way I think we can infer efficacy of the product.

12 I think that the ELISA and other tests to
13 be determined pretty much on a vaccine-by-vaccine,
14 case-by-case basis would be the way to go, with ELISA
15 being the most appropriate to start with.

16 So those would be my comments.

17 ACTING CHAIRMAN DAUM: Thank you very
18 much.

19 Dr. Griffin.

20 DR. GRIFFIN: Yes on the first part, and
21 on the second part I think I've already made it clear
22 that the ELISA I would want to be bolstered with a
23 functional assay to show that those antibodies do have
24 functional capacity.

25 ACTING CHAIRMAN DAUM: Dr. Diaz, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DIAZ: I would agree that
2 noninferiority would be sufficient. I think my
3 colleagues have already addressed the areas that I'd
4 like to emphasize, which obviously being a comparable
5 population.

6 I likewise believe that there must be some
7 functionality testing done, especially since we'll be
8 comparing products that are conjugated to different
9 proteins.

10 I feel that the bar has been set high, as
11 was already noted, and we have a vaccine that's
12 licensed that's extremely effective, and the bar ought
13 to be high because this is a disease that has an
14 unacceptable morbidity and mortality associated with
15 it in young children.

16 So with that in mind, in answer to the
17 question of what would we do if one of the components
18 did not reach noninferiority, I would agree that they
19 all should, notwithstanding that the door would not be
20 shut, as was pointed out prior in terms of doing
21 efficacy studies down the line, but that in terms of
22 looking for noninferiority, that all seven should
23 reach that criteria.

24 ACTING CHAIRMAN DAUM: Thank you.

25 Dr. Katz.

1 DR. KATZ: I'll not try to measure up to
2 Michael Decker, but I'll make a speech, too.

3 (Laughter.)

4 DR. KATZ: First of all, I don't like the
5 term "noninferiority." I'd rather say "equivalence."
6 It seem to me noninferiority is negative and
7 pejorative almost. I would vote yes for equivalence.

8 But I'd like to take one second or two
9 just to comment on a meeting that we attended several
10 weeks ago at CDC, where we learned that there's a
11 shortage of tetanus-diphtheria vaccine. One company
12 is dropping out of DTAP. We had a delay in the
13 availability of influenza virus vaccine this year.
14 Cholera and typhoid may no longer be available, at
15 least certain products.

16 I see a great fragility in the vaccine
17 system which concerns me greatly, and I think we
18 should be doing everything possible within scientific
19 relevance to encourage the development and the
20 availability of these vaccines.

21 Another feature of these vaccines that
22 excites me is that they'll be beneficial to the
23 developing world and not just the United States.

24 We lost Rotavirus vaccine where we have
25 that same excitement that we had something that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 be helpful to children throughout the world. So that
2 I think we should do everything possible with
3 appropriate scientific caution to encourage this.

4 So that I would vote a strong affirmative
5 yes, and on the second part, the same caveat that
6 Diane expressed, that the immunologic parameters by
7 ELISA be confirmed as having functional capability
8 also.

9 ACTING CHAIRMAN DAUM: Not least.

10 DR. GOLDBERG: I think that noninferiority
11 trials are necessary. I don't think in and of
12 themselves they are sufficient. I do believe that
13 there are some ways to get to do some efficacy trials
14 here.

15 I've already discussed that. If we were
16 to go with the, quote, noninferiority trial, I think
17 it would be incumbent that every component be sort of
18 identical, and by that what I mean is that I think
19 ten percent is too big a window, which would then
20 increase the size of your trials, give you more safety
21 data, and make these immunologic trials considerably
22 larger in size and at least begin to get at some of
23 the safety issues and begin to give you a little bit
24 more of a feeling that the vaccine might be in the
25 large safe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Now, I really believe that some legitimate
2 attempts should be -- careful attempts should be made
3 to develop the efficacy trials in some newer
4 paradigms, and they won't be precise efficacy trials
5 in head-to-head comparisons of the kind that were done
6 originally, but with broader endpoints, recognizing
7 that what you're looking at is for a clinical
8 impression of the vaccine in a comparative way, and I
9 do believe that should be possible.

10 And you will at the same time be
11 accumulating pre-marketing safety data.

12 DR. GOLDBERG: Thank you, Dr. Goldberg.

13 I am last, and probably also least, but a
14 couple of comments before we finish this discussion.
15 My basic view is that the answer to the question from
16 my point of view is yes, that I would accept that, and
17 I do share the comments that were made that it has to
18 be a head-to-head comparison. I'd be upset if anyone
19 tried to do this with historical information, and that
20 the population has to be relevant one to the United
21 States if that's where it's going to be licensed, and
22 ideally should incorporate many of the groups that we
23 have that re ethnically divers, although I note that
24 the trial that established this efficacy was largely
25 done in a middle class, HMO type population in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Northern California. So we don't have that
2 information about this vaccine, although the Navajo
3 trial has helped insure that bridge very nicely.

4 I think the most important thing that I
5 would like to add is that we not be rigid in how we
6 set up parameters here, and that's a hard thing to
7 come to grips with because the companies want
8 guidance. The FDA wants our guidance, but I don't
9 think it's time for rigidity. I don't think we have
10 all the information we need to offer rigid guidance.

11 For example, some of my colleagues have
12 said that all seven serotypes need to be there, and we
13 need to be noninferior, but yet, as Dr. Siber pointed
14 out earlier, three of the serotypes, in fact, don't
15 have clinical efficacy and didn't have in the trial.
16 So what do we do with those?

17 I would like to see a trial set up with a
18 noninferiority -- forgive me -- kind of design, but
19 I'd like to use the committee's expertise and the fact
20 that we've all been to school and have higher
21 education and all of the groups in this room that want
22 better health care for kids, and interpret them with
23 some common sense.

24 So that if, for example, there was one
25 serotype that didn't measure up and it wasn't a major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cause of disease and it wasn't one of the ones we've
2 had antibiotic resistance trouble with, we might not
3 be too upset with that.

4 On the other hand, if we had a big failure
5 of one that was a major cause of disease or major
6 antibiotic resistance problem, we might take a
7 different view of that.

8 And so that's a brave and uncertain new
9 kind of world, but I think it's sort of where the
10 state of the art is right now, and I'm not sure
11 devising a weighting system -- I can see the
12 discussion two years from now, that we do a weighting
13 system where this type counts for more because it
14 causes more disease, and then it misses by .1 points
15 in our weighting system, and we're going to throw it
16 out then.

17 I think that's too rigid for the state of
18 the art of the knowledge.

19 In terms of assays, we're in some trouble
20 here because we don't have the correlates we want.
21 The trial was such a fantastic success that we didn't
22 get the correlates we wanted because they weren't
23 failure patients to really get that data from.

24 I'd like to see the otitis media data, but
25 I'm not sure how relevant it's going to be to invasive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disease, and I think we're going to have to sit down
2 and interpret and see what we think of those.

3 ELISA sounds like the closest thing we
4 have to a working assay. I think we've got to put
5 some weight on it even though it's got lots of
6 problems that we've heard over and over again. I'd
7 like to think that we could develop some kind of
8 functional assay to go with ELISA numbers. I'm
9 convinced after listening to this discussion that we
10 don't know how to do it.

11 I think probably the best bet is some kind
12 of opsonophagocytic assay, but I'm concerned about
13 some of the things that have been raised with low
14 titer serum. I think we need better assays and better
15 methods for doing this, and I turn to NIH colleagues
16 to keep supporting work and to how to do this better
17 because we're nowhere near.

18 Avidity is an idea whose time has sort of
19 come, and it's a very exciting concept, and I'm
20 hearing lots of interesting things about it, but I
21 don't know how to use it clinically yet, and I'm not
22 sure that I want to put my weight on that.

23 I want to echo a comment that Dr. Broome
24 made, I think, when we went around, and that is that
25 we don't know enough about these different serotypes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to do any kind of pooling yet, and I would be really
2 upset if we didn't continue to consider these seven
3 different problems.

4 And it may be that after we gain some
5 experience that we'll find that they're remarkably
6 similar and that pooling is just the right thing to
7 do, and it may be that when we finally understand why
8 19F is the funny serotype that it is, we'll realize
9 that pooling wasn't the right thing to do.

10 I don't think it's time to do the pooling
11 now.

12 Lastly, I would like to say that whatever
13 vaccines are put into play in this regard, there's
14 some important issues here that have got to be
15 addressed with post marketing surveillance and
16 studies, and several people have called for them. I
17 don't have any things to add to what's been said,
18 except the possibility of antigenic shift, which I
19 think is a concern that hasn't been completely
20 addressed yet, and we need to know whether it's going
21 to occur or it's not.

22 And I think the committee did a wonderful
23 job addressing this question, and I would propose that
24 we reward them with a short break, 15 minutes in
25 duration, and reassemble at 3:15 to go right into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question tow.

2 (Whereupon, the foregoing matter went off
3 the record at 2:59 p.m. and went back on
4 the record at 3:16 p.m.)

5 ACTING CHAIRMAN DAUM: There are a number
6 of committee members with obligations late this
7 afternoon, and which is unfortunate for us because we
8 need to keep as much of a quorum as we can to finish
9 discussing these issues, but I would like to also be
10 a realist and try and move things along a little bit
11 more quickly so we can get as many people's opinions
12 on as many of these issues as we can.

13 So we're going to go right on to the next
14 issue, and I hope it's up there. It is. Thank you.

15 Please discuss the criteria that should be
16 considered to evaluate serotypes not contained in
17 Prevnar.

18 And is Dr. Broome here? She expressed
19 some interest in starting this conversation, but if
20 not, we'll start with Dr. Kohl.

21 DR. KOHL: Well, since the other one was
22 so easy with some data, this is a piece of cake with
23 no data.

24 (Laughter.)

25 DR. KOHL: I'd like to say that we would

1 need clinical efficacy trials to have licensure of
2 these serotypes, and I believe that's unrealistic
3 because we're getting into the rare, rare serotypes
4 now, and you'd have to have a gigantic study, I guess,
5 in this country, and that's not possible.

6 And then if you went to another country
7 where maybe these serotypes are more common, you've
8 got all the problems of doing a study in another
9 country.

10 So I'm going to have to fall back and say
11 I probably would be satisfied with some immunological
12 correlates, and then I'm lost because I have zero data
13 on which to say what correlates, and I haven't seen
14 anything that's come forth to suggest what they should
15 be.

16 So can we pull an ELISA value out of a hat
17 or do exactly what Claire said not to do, which would
18 be to lump all of those other ELISA data values and
19 say, yes, let's use point-something-something?

20 I'm a little bit lost here.

21 ACTING CHAIRMAN DAUM: Okay. There's a
22 little logic missing there, Steve. Someone as we go
23 around the table is going to have to fill in a little
24 better as to what correlate we use if we go the route
25 of non-efficacy trials, but let's see what going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 around brings.

2 Dr. Kim.

3 DR. KIM: Well, I guess in contrast to
4 what Steve said, I think it will be extremely
5 difficult if these serotypes are contained in the new
6 vaccines simply to expand the spectrum of serotypes
7 for asking any clean-cut or efficacy data.

8 Therefore, I think my thinking at this
9 time would be some sort of immunologic data can be
10 substituted to indicate that the serotypes may provide
11 functionally active antibodies which can be translated
12 into possibly clean-cut efficacy.

13 I think for that, I think it is important
14 to perhaps in this question we can include assays on
15 a sort of equal basis. In previous discussions,
16 questions we talked about ELISA for the, you know,
17 many reasons, for the simplistic reproducibility and
18 so on, but here we may not be able to do that because
19 there's no data to indicate that.

20 So we may have to include binding as with
21 functional data to indicate that perhaps both in vitro
22 and in vivo -- in vivo means animal model -- to
23 indicate that antibodies produced by these serotypes
24 are at least equivalent to serotypes that are
25 contained in the existing vaccines for magnitude of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 responses, as well as functionality of those
2 antibodies.

3 ACTING CHAIRMAN DAUM: And the bottom line
4 is?

5 DR. KIM: The bottom line is it would be
6 immunologic criteria can be used to assess the sera.

7 ACTING CHAIRMAN DAUM: Okay. Dr. Griffin,
8 please.

9 DR. GRIFFIN: Well, I'm not going to be
10 any more definitive, but I guess what I'm struggling
11 with is the practicality versus what you'd really like
12 to have and also what that means downstream if you go
13 from 11 to 15, you know, subsequently and that sort of
14 thing.

15 And I guess it's really not possible. Any
16 kind of a trial that would get clinical efficacy would
17 be comparing Prevnar to, say, an 11-valent vaccine.
18 So you'd have four serotypes that weren't there. So
19 you'd have that way a placebo controlled trial in a
20 way looking at those.

21 But those would be so infrequent that you
22 really would not be able to power the study probably
23 to be able to see the clinical efficacy there,
24 certainly for invasive disease. Whether you could for
25 otitis or not, someone else would have to tell me.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in the U.S. that would be the only kind
2 of study, it seems like, that you could talk about.

3 Outside the U.S., whether it's still
4 feasible to do placebo controlled trials, perhaps not
5 just because of Helsinki conventions, even though
6 standard of care in other places may not be using
7 Prevnar in the same way that we are. They would still
8 be fairly large trials.

9 So I think we're probably stuck with the
10 immunologic assays. I would definitely say you'd need
11 function as well as ELISA activity, and I guess I
12 would just like to see built into any of these studies
13 some attempt to get clinical data.

14 ACTING CHAIRMAN DAUM: And what
15 immunologic criteria, Dr. Griffin?

16 DR. GRIFFIN: Well, we've only heard about
17 two assays.

18 ACTING CHAIRMAN DAUM: Right, but we've
19 heard about many different estimates of --

20 DR. GRIFFIN: So I would want both of
21 them.

22 What?

23 ACTING CHAIRMAN DAUM: We've heard many
24 different estimates of protection. I mean, how would
25 you select one serotype? Supposing you added a type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 99 and 100 to the vaccine. What immunologic parameter
2 would we use to assess whether they are efficacious?

3 DR. GRIFFIN: You have no immunological
4 parameter other than comparing them to what you know
5 about the other serotypes unless you set up an
6 efficacy study.

7 ACTING CHAIRMAN DAUM: Okay. Dr. Diaz.

8 DR. DIAZ: I think you'd have to go with
9 immunologic criteria also, and I agree I would want to
10 see some data on functionality and whether immunologic
11 memory ought to be part of that package deal is
12 debatable, and certainly some level of antibody,
13 although I don't know what that level is currently.

14 I would feel more comfortable with some
15 clinical data behind it, and yet that would take a
16 huge number unless perhaps there is some population
17 somewhere that that particular serotype was more
18 prevalent in and that data could be accrued.

19 But that perhaps not occurring, I think
20 we'd be left with immunologic.

21 ACTING CHAIRMAN DAUM: Dr. Katz.

22 DR. KATZ: I'm a little concerned about
23 what things I heard in the closed session versus
24 what's been discussed here. So I'll have to be
25 circumspect in my response except to say I would say,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes, the immunologic criteria would be satisfactory,
2 given some of the numbers we've heard.

3 However, and I don't know how feasible
4 this is, one of my other jobs is co-chairing the
5 India-U.S. Vaccine Action Program. There are 23
6 million children a year born in India, and if it were
7 feasible from the pharmaceutical firms' perspective to
8 set up a study, that's a population with more than
9 enough children and with the serotypes that are being
10 added to the vaccine apparently among those
11 responsible for disease.

12 I wonder if a study couldn't be done
13 through a program such as the so-called VAP, Indian-
14 U.S. Vaccine Action Program, where either with Prevnar
15 as the alternative or with a vaccine, one of the
16 meningococcal vaccines or Hepatitis B or Hepatitis A
17 or any of the other vaccines that would prevent
18 diseases that are common among those children as the
19 alternate.

20 ACTING CHAIRMAN DAUM: You're arguing for
21 an efficacy trial in a developing country or in a non-
22 U.S.

23 DR. KATZ: I'm not arguing for it. I'm
24 suggesting it and sort of looking at our
25 pharmaceutical colleagues to wonder if that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something they would consider.

2 ACTING CHAIRMAN DAUM: Thank you very
3 much.

4 Dr. Goldberg.

5 DR. GOLDBERG: I thought we should have an
6 efficacy trial before, and this certainly says to me
7 that we need an efficacy trial.

8 ACTING CHAIRMAN DAUM: For the novel
9 serotypes?

10 DR. GOLDBERG: That's right, which if you
11 did a trial compared to Prevnar, that means these 11
12 valent vaccines would be randomized again. Patients
13 would receive the 11-valent vaccine versus the
14 Prevnar.

15 ACTING CHAIRMAN DAUM: Okay. Thank you
16 very much.

17 Dr. Insel.

18 DR. INSEL: I would go with an
19 immunogenicity trial. I think we know the basis of
20 immunity here, and it's antibody. I think we can
21 learn; we have learned from the Prevnar.

22 Having said that, then one is forced to
23 say, well, what are those criteria that one's going to
24 use. I think as far as we go back to the ELISA assay,
25 we're going to have to, I believe, set a threshold a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 little bit differently than what we've set for the
2 vaccine serotypes for which we have efficacy data.

3 We'd want to set that threshold, I think,
4 higher than what we've done just so we don't err.

5 I would also ask for functional assays,
6 and I'd ask for proof that we have primed for
7 responses to a polysaccharide vaccine for these
8 serotypes that are not contained in the Prevnar.

9 DR. WHARTON: Given that the excellent
10 clinical trial that was done pre-ELISA for Prevnar, in
11 fact, did not establish efficacy for all of the
12 serotypes contained in that vaccine, I would not
13 impose that standard on an increased valency vaccine
14 demonstrating efficacy for all of the serotypes in an
15 effectiveness trial.

16 I'm comfortable going with an
17 immunogenicity study using a preestablished threshold.
18 I agree with Dr. Insel's comments that that threshold
19 needs to be established conservatively.

20 I'm still very interested in the
21 presentation which I didn't hear at the pneumococcal
22 workshop last month about the BPIG data, and I really
23 wonder what's in there that might have some lessons
24 for us about thresholds for other serotypes of
25 pneumococcal disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I also think the issue of priming is
2 important, and I think that's an immunological
3 criteria that could be readily established in a trial.

4 ACTING CHAIRMAN DAUM: You should know
5 that I looked for you to start off this conversation.

6 DR. BROOME: I think immunogenicity is the
7 right criteria. I would vote for a margin of error
8 threshold, functional activity and priming.

9 I would like to make one comment on
10 efficacy studies. I really think the kind of sample
11 size required to do efficacy is extremely large, and
12 you know, I think to advocate an efficacy study should
13 be based on some sort of consideration of what's
14 really involved with that.

15 I do think when we looked at question one
16 we sort of didn't get back to the point of if
17 nonequivalence is not shown. I mean, I guess we'll
18 pick that up in question four, but I do think when we
19 say nonequivalence is fine, I would assume folks are
20 also going to recognize that just in case they don't
21 meet nonequivalence, it might be a good idea to have
22 the efficacy trial going.

23 ACTING CHAIRMAN DAUM: I think I heard
24 that in a number of comments people made about
25 question one, but thank you for emphasizing it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BROOME: But I'm assuming that would
2 not be in the U.S.

3 ACTING CHAIRMAN DAUM: Correct. Certainly
4 not for a placebo controlled.

5 Dr. Butler.

6 DR. BUTLER: I'm struggling with this idea
7 of another efficacy trial. I'm not sure if you meant
8 in the U.S. or not, but -- okay, good. Because if
9 we're talking about specifically for the additional
10 serotypes, the power calculation just becomes
11 ridiculous.

12 I think the goal with the additional
13 serotypes, the ones that are achievable are to prevent
14 the case of invasive disease caused by those serotypes
15 which are not contained in Prevnar. Another advantage
16 will be less replacement disease in terms of
17 colonization and presumably also acute otitis media.

18 If we could assume that the safety profile
19 is similar for a newer vaccine and that there's no
20 increased risk of disease, some of the data for the
21 additional two or four serotypes becomes almost
22 irrelevant in that these gains would be icing on the
23 cake if you show noninferiority.

24 I think ultimately it's going to come down
25 to immunologic criteria. Some of that is going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based on the epidemiology of the serotype. If I can
2 return to my hypothetical vaccine that fails on the
3 basis of inferiority of immune response to serotype 4,
4 if a candidate vaccine showed a good immune response
5 to serotype 1 in certain populations -- certainly it's
6 true in Alaska -- it may be more attractive.

7 I'm making the assumption again that we
8 would not be able to identify efficacy. Therefore any
9 correlate of protection would be based either on the
10 Plevnar serotypes or would be nonexistent.

11 The other immune criteria that I wanted to
12 mention because I haven't heard it mentioned so far is
13 the impact on immunogenicity of co-administered
14 antigens. We've focused primarily on serotype, but
15 the newer vaccines oftentimes have different carriers,
16 and if it reduces immunogenicity of the co-
17 administered Hib antigen or enhances it, those are
18 important considerations as well.

19 ACTING CHAIRMAN DAUM: Good point. Thank
20 you.

21 Dr. Hall.

22 DR. HALL: Well, there's not a lot more to
23 add to this. One of the points I was going to make
24 Jay just made, but I think everybody would like an
25 efficacy trial. To repeat this, it's probably not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 practical, particularly for invasive disease either in
2 this country or in another country with these
3 serotypes unless there is a country that has the
4 additional serotypes enough to judge the invasive
5 disease.

6 So that the immunologic criteria, I think,
7 again come up as being probably what we're going to
8 have to go with.

9 The only thing that I wanted to really add
10 and that you had sort of mentioned, Jay, is that we
11 are, therefore, in an efficacy looking potentially at
12 other associated factors. One of those could possibly
13 be carriage.

14 Now, that would require that it be used,
15 since we know it's going to be different in different
16 populations, that it might be matched to prevnar in
17 the same population.

18 Now, I don't know that that's a secondary
19 effect that would be usable, but it's one possibility.
20 Another are the other things such as the effect on
21 antibiotic resistance and other things. And if you
22 put these two vaccines head to head, if these
23 secondary findings come out different, that may
24 influence one, besides the immunologic one that Jay
25 mentioned.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACTING CHAIRMAN DAUM: Thank you, Dr.
2 Hall.

3 Dr. Emerson.

4 DR. EMERSON: I just would concur with the
5 statement that was made earlier that this is really a
6 problem that's been solved before in the sense of the
7 Pevnar case, that we had some that we couldn't
8 demonstrate efficacy for, but the indication still
9 came out with all seven serotypes.

10 I don't think it very likely that an
11 efficacy trial is really worthwhile to try to
12 establish efficacy against one of the rarer serotypes,
13 and therefore, my side would come down as I would have
14 wanted to see a trial that was demonstrating efficacy
15 on overall pneumococcal invasive disease, and then
16 just commenting on the immunologic profile against the
17 serotypes and not really trying to claim that you have
18 prevented that or not.

19 Certainly in this immunologic profile,
20 however, I think the data should be gathered as to
21 whether there was any sort of invasive disease
22 breakthrough, and I don't care what the immunologic
23 profile is. If it's not backed up with prevention of
24 those particular serotypes, that is to say if you get
25 some serotype breakthrough, I would not give the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 indication in that situation.

2 ACTING CHAIRMAN DAUM: Thank you very
3 much.

4 Dr. McInnes.

5 DR. MCINNES: I'm thinking about this in
6 two ways, one of which is additional serotype to the
7 already licensed serotypes, and then a new conjugate
8 vaccine that may contain additional serotypes, and
9 those two scenarios may play out differently in that
10 the new vaccine may go through an efficacy trial, and
11 I'm going to learn from that, and I don't know what's
12 going to come to the table first.

13 But it strikes me that pragmatism has to
14 play a role here, and you're going to look at
15 additional -- you have a core group of serotypes
16 fitting the existing vaccine selected on epidemiologic
17 basis largely as the most important serotypes.

18 We have the sort of second tier now that
19 we think are important, and we'd like to see included,
20 and practically speaking, the manufacturers, I think,
21 are going to want to be dealing with those
22 concentrations that are very close to the individual
23 serotypes that are already in the vaccine.

24 So let's assume you have two micrograms of
25 A, B, C, D, E, F, and I'm now wanting to add G. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pragmatically go and I say I'm going to have two
2 micrograms of that, and I do some immunogenicity, and
3 it looks pretty good or I don't get anything.

4 So what choice do I have? I can up the
5 ante on the dose concentration of the new antigen that
6 I put in, and essentially I get what I get in terms of
7 immunogenicity data.

8 If the bar is very high, I now have to
9 weigh whether I'm going to continue to putz around on
10 antigen G or whether I'm just going to forget about
11 it. I've not had it in my vaccine.

12 So I think we have to be pragmatic about
13 the bar we're setting for the addition of new
14 serotypes unless there becomes some compelling reason
15 to understand that that bar set very high is very
16 important for safety purposes or efficacy purposes,
17 and to some extent, you know, to guess is cheap and to
18 guess wrong is very expensive.

19 I'm heading towards trying to embrace the
20 concept of an aggregate bar thinking about additional
21 serotypes, and I think of it differently than a
22 vaccine that has gone through an efficacy trial in the
23 serotypes contained in that particular vaccine.

24 So I'm embracing the concept of
25 immunogenicity being used and being valid. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vacillating about the standard that I would set for
2 those particular serotypes, and I think pragmatism has
3 to play in. Otherwise the incentive to add additional
4 serotypes if problematic.

5 ACTING CHAIRMAN DAUM: Thank you. You
6 made a couple of points that haven't really been
7 addressed before.

8 Dr. Decker.

9 DR. DECKER: I think the circumstance that
10 we're discussing here is that we've got a vaccine that
11 has presumptively already met whatever criteria we end
12 up requiring or FDA ends up requiring with respect to
13 question one, and what we're now addressing is the
14 marginal criteria that apply to these additional
15 contained serotypes.

16 And given that that's what we're
17 discussing, then I agree entirely with Dr. Insel that
18 this should be serologic. If we were to require a
19 demonstration of efficacy for those marginal
20 serotypes, we would basically be precluding licensure
21 of a vaccine line this in the United States. There
22 would be no point in bringing it forward. There's no
23 economic or competitive reason to do that in the
24 United States. Therefore, it won't happen. It will
25 simply be licensed overseas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And the seventh serotype will be licensed
2 here. Now, I see no benefit in denying U.S. kids
3 those additional serotypes, and so I feel strongly
4 that we need to have an immunologic criterion for
5 licensing these additional serotypes.

6 In that regard, the approach indicated in
7 the FDA's presentation, slide eight, the maximal
8 difference of GMC which showed the RCDs for the
9 immunized and the unimmunized kids and developed the
10 point where there was the maximal difference. I think
11 that's a sound approach. It was endorsed pretty
12 thoroughly at the meeting on the 26th, and although
13 there's been some slight discussion over what's the
14 appropriate number to use -- .18, .30 I've heard
15 discussed -- that's a technical issue to be decided.
16 The basic approach, I think is solid.

17 The question then becomes: how do you do
18 this for these serotypes that weren't in the -- for
19 which we don't have efficacy data that were not in
20 Prevnar in this study?

21 I think you simply take your best number,
22 and you apply it to these other serotypes, which in
23 essence is what was done for the other three serotypes
24 and Prevnar, and you proceed on that basis.

25 ACTING CHAIRMAN DAUM: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Before I comment, I actually have a
2 question that I hope the manufacturer, Wyeth, can
3 update us on.

4 There is, is there not, a trial going on
5 now in South Africa with a more than seven-valent
6 vaccine? Can someone in just one or two sentences say
7 what that is and where it's at?

8 DR. WATSON: Wendy Watson, Wyeth.

9 Yeah, there is a trial going on in South
10 Africa with a nine-valent vaccine. It has the seven
11 serotypes from Prevnar, as well as a one in five as
12 being compared to placebo.

13 We finished the enrolling subjects in
14 September of this year. We're in surveillance. So we
15 expect to have more data by a year from next
16 September.

17 ACTING CHAIRMAN DAUM: Endpoints are
18 invasive disease, Wendy?

19 DR. WATSON: Right. That's the primary
20 endpoint, yes.

21 ACTING CHAIRMAN DAUM: What about otitis?

22 DR. WATSON: No, no otitis. This is
23 Soweto, South Africa. So we're looking at HIV and HIV
24 infected and uninfected subjects.

25 I will say that while there are more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serotype 1 disease and 5 disease in the African
2 continent, we're not going to -- we won't have enough
3 cases to look at those individual serotypes. So I
4 think even in this, I think this highlights the
5 serotype specific efficacy is very difficult to
6 capture.

7 DR. GRIFFIN: How large is that trial?

8 DR. WATSON: Forty thousand.

9 ACTING CHAIRMAN DAUM: Okay. Well, thank
10 you very much for everybody's comments.

11 Dr. Goldberg, did you want to in one
12 sentence clarify?

13 DR. GOLDBERG: Yeah, I just wanted to
14 clarify. When I said efficacy trial, I was thinking
15 in terms of a trial such as the one that was described
16 here, not another trial within seven and seven.

17 ACTING CHAIRMAN DAUM: Okay. Thank you.

18 So I also share the theoretical ambitions
19 of several of the committee members in that I would
20 really love to see efficacy data for new serotypes
21 that are added to this vaccine, and I'm sure if Ms.
22 Fisher were here she would say that , you know, you
23 just can't start using the stuff if you don't know
24 that it works.

25 And she's right, even though she didn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 say it. On the other hand, we do have a special
2 situation here. I mean, I guess I'm putting a lot of
3 weight on the fact that we know that anticapsular
4 antibody works for protection against other
5 pneumococcal serotypes, and so we're going to close
6 our eyes and take a leap into the pool and say, "Well,
7 it will work against these new pneumococcal serotypes
8 as well."

9 But they're not easy questions, and I
10 think the efficacy trials are expensive probably
11 beyond the means that society is willing to pay to do
12 them.

13 There is enough data to suggest that it's
14 likely that antibody to the capsule will be
15 protective, and I guess it's a question of deciding
16 how much. And I would urge that the approach be a
17 conservative one, and I've heard several good ideas
18 today. I don't know which is the best.

19 One is this RCD approach that Michael
20 reminded us of. Another is using one of the lower GMC
21 estimates in the existing trial. I have some issues
22 of vaccine antigen interference to think about as we
23 add serotypes to the vaccine, and I would hope that
24 they'd be part of a consideration for a larger
25 vaccine.

1 And that is to say as we go to eight or
2 nine or ten or 15 or 90, will there be interference
3 with the response of the seven that we have, and we
4 haven't mentioned that much, but I think that it's an
5 issue for a bridging trial of some sort.

6 I'm also concerned about antibody to the
7 carrier and potentially some suppression based on
8 cranking up the levels in a many, many valent
9 conjugate vaccine, very high. And I think that can be
10 dealt with, but I think it needs to be part of a
11 trial, a serologic trial to establish going forward
12 with this.

13 I also think that Dr. Butler's point is a
14 crucial one, and that is that we need to consider the
15 other vaccine antigens that are scheduled for
16 simultaneous admission -- excuse me -- administration,
17 and make sure that there's not interference in that
18 regard.

19 I think the issues that people spoke of of
20 wanting to see priming, of wanting to make sure that
21 antibody that's generated is functional are very
22 important and need to be done.

23 I'm with Dr. Hall on the importance of
24 carriage in these studies. I don't know quite how to
25 set up a bar that a vaccine would have to jump

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 through. I think there isn't any to set up for a
2 licensure prerequisite, but I would like to see it
3 part of a study because I believe it's a very
4 important part of how Hib vaccines work and protect
5 our children and our population.

6 So with that having been said, I'd like to
7 go on to number three, and I'm mindful of the fact
8 that people need to go, and I'd like to try and get
9 some discussion on all of these questions as quickly
10 as we can.

11 Number three is invasive disease efficacy
12 study may be performed in a non-U.S. population with
13 a new vaccine, and there's two parts to this. If
14 efficacy is demonstrated, could data derived be used
15 to support licensure of the vaccine in this country?

16 And then if the answer to that is yes,
17 what are the immunologic parameters that should be
18 used to establish comparability to Prevnar in a U.S.
19 bridging study?

20 I'm going to this time ask Dr. Broome to
21 start and Dr. Emerson to go next, and if someone else
22 who has to leave signals me that they need to go,
23 we'll put them up next, and then we'll go around the
24 table.

25 Dr. Broome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BROOME: Well, I mean, I think that
2 there will hopefully be data from efficacy trials
3 outside of the U.S., as we've heard, from South Africa
4 and others. And I think that we would be remiss not
5 to pay attention to that data as we wrestle with the
6 issues related to licensure of the vaccine in the U.S.

7 And I think this whole issue of how do you
8 bridge is quite complex as we've heard with the
9 different responses in different populations.

10 But I think that reasonably sized bridging
11 immunogenicity studies should make it possible to look
12 at presumably primarily ELISA responses in the two
13 populations and let you learn something.

14 I think one of the issues that's going to
15 be very important is I think Prevnar is obviously
16 highly efficacious as we've seen with H. flu.
17 conjugates. You know, how much is enough?

18 It may well be that -- I think the thing
19 that will be tough is if we have something where there
20 is a nonequivalence with Prevnar, but you do have
21 efficacy data in another setting. I think there'll be
22 need for a lot of judgment, but I think it's
23 reasonable to take a look at that and see that as an
24 alternate route for licensure.

25 ACTING CHAIRMAN DAUM: Would such a trial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be compared to Prevnar or would it be placebo
2 controlled?

3 DR. BROOME: Do you mean the bridging?

4 ACTING CHAIRMAN DAUM: No, the efficacy.

5 DR. BROOME: The efficacy studies. Well,
6 many of the ones that I'm familiar with were started
7 before Prevnar was a licensed product, and so they're
8 using other kinds of active control vaccines, but not
9 a pneumococcal vaccine control.

10 I was toying with whether you'd like to do
11 the bridging immunogenicity studies with a Prevnar arm
12 in both countries so that you'd have that additional
13 two data points.

14 ACTING CHAIRMAN DAUM: Would make
15 interpretation a little easier, wouldn't it?

16 Thank you.

17 Dr. Emerson.

18 DR. EMERSON: I think certainly it would
19 have to be allowable as support, and the question is
20 how compelling support would be there. I would think
21 with the serotypes that are covered in Prevnar, I
22 think the standards for the immunologic picture would
23 have to hold sway, and the issue would be safety.

24 I guess I would imagine that this would
25 come up more with the idea of adding new serotypes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then the preeminent question in my mind would just
2 be is it safe to add those additional serotypes, and
3 looking at the safety profile, making certain that
4 adding those serotypes didn't alter the primary ones
5 that are already in Prevnar and immunologic standards
6 if that's what's being adopted for addition of other
7 new vaccines. You'd have to make certain that it
8 passed those hurdles here.

9 ACTING CHAIRMAN DAUM: Well, it may not
10 involve adding new serotypes. I mean, for example,
11 supposing Company X wanted to get some data about the
12 performance of their vaccine and it was a seven-valent
13 vaccine and so they decided to take it to
14 "Southwestia" and conduct a clinical trial.

15 The question really is once that trial was
16 established, would you accept the news that that
17 vaccine is efficacious as appropriate for U.S.
18 licensure.

19 DR. EMERSON: Well, I think with the data
20 that's been presented on the question of how
21 generalizable the immunogenicity is of these
22 serotypes, my answer would be no, and with the
23 decisions that have been made beforehand, it's saying
24 that it's unlikely that we'll have evidence on the
25 correlates to be able to do anything more than just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 apply our standards for a new vaccine.

2 ACTING CHAIRMAN DAUM: Thank you very
3 much.

4 I think now we'll go our conventional
5 route and come to Richard and then go up the side here
6 and swing around.

7 DR. INSEL: Not much to add except the
8 bridging and immunogenicity studies will be required.
9 Some of the issues that can arise obviously are the
10 issues of colonization and priming that's occurring at
11 another locale outside the United States versus what's
12 going on in the United States and what this would add
13 to as far as enhancing immunogenicity.

14 So I think as long as we have
15 immunogenicity bridging trials, and I think Claire's
16 idea of doing them in both settings, I think we'd be
17 reassured that we're on the right pathway.

18 ACTING CHAIRMAN DAUM: Thank you very
19 much.

20 Dr. Wharton.

21 DR. WHARTON: Yeah, I would support such
22 data. I would accept such data in support of
23 licensure, and I really like the idea of doing a
24 Plevnar-new vaccine bridging study in that country as
25 part of the bridging assessment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DAUM: Dr. Butler.

2 DR. BUTLER: I have little to add on this
3 topic. I think it's hard to make broad statements.
4 Clearly there are differences in the epidemiology,
5 probably differences in the immune responses to the
6 vaccine.

7 The joke we sometimes throw around is
8 everything works in Finland.

9 (Laughter.)

10 DR. BUTLER: And there's some truth to
11 that. Some of that is driven by socioeconomic
12 factors, of course. So I think it would be wrong to
13 ignore data from non-U.S. trials.

14 At the same time, the Gambia would be very
15 hard to apply to an HMO population in the U.S. So I
16 think it's really going to be on a case-by-case basis.

17 ACTING CHAIRMAN DAUM: But as a generic
18 concept, if the study were performed and efficacy was
19 demonstrated, you would agree or disagree with the
20 fact that data derived from such a trial could support
21 licensure in this country?

22 DR. BUTLER: I would agree that it could
23 support.

24 ACTING CHAIRMAN DAUM: Good. Thank you.

25 Dr. Hall.

1 DR. HALL: I would also agree that it
2 could support it. Indeed, in some instances,
3 depending on the country, it may actually show more
4 efficacy, if I may say so, in that particular country.
5 It may have been more difficult to get a response.

6 I think the second -- the immunologic
7 criteria that could be used or should be used as
8 mentioned would be further supported if we did have
9 the comparable data in that country on the Pevnar.

10 ACTING CHAIRMAN DAUM: Yeah. That strikes
11 me as a very clever idea actually to solve that
12 problem.

13 Dr. McInnes.

14 DR. McINNES: I have nothing to add. I
15 accept the efficacy trial, but I don't see any reason
16 not to, and the bridging study as all have previously
17 described in question one.

18 ACTING CHAIRMAN DAUM: Dr. Decker.

19 DR. DECKER: A, absolutely in principle,
20 but the devil is in the details, and some of them have
21 been brought up.

22 The one thing I don't recall having heard
23 mentioned is that I think it is my suspicion the
24 committee would end up requiring serotype specific
25 efficacy. That is to say if a study were done in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 country in which the serotype distribution were marked
2 different from the United States, and if overall
3 efficacy was demonstrated, there would be concern that
4 that efficacy was predominantly against serotypes not
5 prevalent here.

6 We would want to see that there was
7 efficacy against the serotypes that circulate here.
8 So I suspect that that would be a hidden question
9 here, that companies interested in doing studies
10 overseas had better be alert to.

11 The other consideration is that, of
12 course, there has to be the bridging data, and it
13 would be impossible to interpret those bridging data
14 unless, as others have said, there was a Pevnar
15 versus candidate both in the other country and in the
16 U.S. to enable us to set up ratios.

17 ACTING CHAIRMAN DAUM: Thank you very
18 much.

19 Dr. Kohl, you're on.

20 DR. KOHL: I basically agree with
21 everything that's been said, but it comes back to an
22 issue that Dr. McInnes raised. What will we do if we
23 have a vaccine that has really super efficacy in
24 Country Z and then we have a bridging study which we
25 won't even need the efficacy study if the bridging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study shows high immunogenicity in this country
2 because we've already said immunogenicity alone is
3 going to be okay for licensure.

4 But what do we do with this vaccine which
5 has wonderful efficacy, but has poor immunogenicity in
6 the bridge? What would this committee do?

7 It protects super against type Q, but it
8 doesn't make antibody, but it's not likely, but that's
9 what we're talking about, and that's the issue that
10 Dr. McInnes raise.

11 DR. DECKER: But I think the two arm
12 bridging study in each country answers that because
13 you'll take the ratios.

14 DR. KOHL: Okay. So if it doesn't make
15 antibody in Country Z and it doesn't make antibody --

16 DR. DECKER: And it equally doesn't make
17 it here.

18 DR. KOHL: Right.

19 DR. DECKER: Then you're okay.

20 DR. KOHL: Then we'll license it?

21 DR. DECKER: Yeah.

22 DR. KOHL: Even though type 6 is very
23 common in this country? I think we'd have trouble
24 with that.

25 DR. DECKER: Well, no, you might be type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specific, but if the ratio of antibodies --

2 DR. KOHL: Type 6 is a common type in
3 this country, right? It protects against type 6 in
4 whatever country they've tested it in, but for some
5 reason it doesn't make antibody or has a different
6 kinetics of antibody and we don't see it after dose
7 three or something crazy, and the same thing happens
8 here, protective, but nonimmunogenic. I doubt that
9 that's going to happen, but it's something to think
10 about.

11 Because if it makes antibody well, then we
12 don't need the efficacy study. We've already said
13 that all you need is immunogenicity. So we're talking
14 about something that doesn't make antibody well.

15 ACTING CHAIRMAN DAUM: On the other hand,
16 efficacy is gold.

17 DR. GRIFFIN: Efficacy trumps.

18 DR. KOHL: Seriously. No antibody and
19 you'll take efficacy.

20 ACTING CHAIRMAN DAUM: Efficacy is gold.

21 Dr. Kim.

22 DR. KIM: Well, I think if the efficacy is
23 there, then it is likely that it could have
24 immunogenicity data supporting efficacy, and if the
25 new vaccine contains serotypes that are contained in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Plevnar, then I guess certainly, you know, you can
2 look at efficacy and immunogenicity data of those
3 serotypes that are contained in Plevnar, which
4 certainly would be the basis for transporting the data
5 directly to the U.S.

6 ACTING CHAIRMAN DAUM: Thank you.

7 Dr. Griffin.

8 DR. GRIFFIN: I think we should definitely
9 accept support data that's collected outside of the
10 U.S. could be very helpful, and that bridging would be
11 immunologic bridge for comparability of antibody.

12 DR. DIAZ: I likewise feel that any
13 clinical data, efficacy data from outside the U.S.
14 could be very helpful, and in fact, although we've
15 already said that noninferiority studies would be
16 sufficient in this country or in comparable
17 populations, I still have the caveat of saying that I
18 would feel more comfortable with some efficacy data.
19 I mean, it would add to obviously and be supportive of
20 and perhaps supersede those noninferiority kinds of
21 studies.

22 That already being said, I think you have
23 to be very careful what population is chosen outside
24 the U.S., and the bridging studies obviously would be
25 very important.

1 I hope, and I would expect that we'll be
2 back in this room probably discussing all of the
3 nuances of every outlying vaccine or serotype issue
4 that comes up down the line. I would hope though that
5 when we're back in this room discussing that that we
6 have more information on protectiveness and more
7 information on the immune response.

8 And certainly having more monies and
9 attention directed in that area is extremely critical,
10 I think, at this time.

11 ACTING CHAIRMAN DAUM: And then Dr. Katz.
12 Dr. Katz?

13 DR. KATZ: Dr. Katz was having his four
14 o'clock drowsy spell.

15 ACTING CHAIRMAN DAUM: Fair enough. I
16 understand the feeling.

17 (Laughter.)

18 DR. KATZ: I'd be very happy --

19 ACTING CHAIRMAN DAUM: We're here in the
20 Versailles Room, and we're talking about --

21 DR. KATZ: No, no.

22 (Laughter.)

23 DR. KATZ: I think that if an efficacy
24 study is feasible in a non-U.S. population, it could
25 be done, but I don't think it should be a criterion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 before licensure. It might be a Phase 4 rather than
2 a Phase 3, and I do think that efficacy demonstrated
3 elsewhere could be bridged to the United States, given
4 that these are unusual serotypes and we don't know
5 what may happen with nasopharyngeal carriage and the
6 emergence of other serotypes. I think it would be
7 worthy to have them licensed in the United States.

8 And, again, I would use the same
9 immunological parameters that we used for question
10 two.

11 ACTING CHAIRMAN DAUM: Thank you.

12 Dr. Goldberg.

13 DR. GOLDBERG: Yes, you can use the data
14 in the U.S. I would have a Pevnar arm, and I would
15 use that in the bridging.

16 ACTING CHAIRMAN DAUM: And I would end by
17 agreeing totally that of course they're useful.
18 Efficacy is gold, and whether it makes antibody or
19 not, I mean, if you've got demonstrated efficacy in a
20 carefully done trial, it works.

21 and then I would like to have it bridged
22 to American kids, and I think Claire's idea of having
23 Pevnar in the trial to help with the bridging is
24 superb, and I would encourage anybody, any company
25 that wants to conduct such a trial in a developing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 country, that we'd be very interested in hearing the
2 results.

3 Let's move on to question four and try to
4 race for the finish line here. We'll put it on the
5 screen.

6 Please discuss if data demonstrating
7 clinical efficacy against acute otitis media for a new
8 pneumococcal conjugate vaccine can also be used to
9 infer efficacy against invasive disease.

10 And this is not an easy question. Dr.
11 Kohl, would you like to start answering it?

12 DR. KOHL: I did so well on the last one.

13 I don't think it can. I think most likely
14 otitis media is a stronger challenge than invasive
15 immunologically, but I'm reluctant to say that otitis
16 media data can be used to license an invasive
17 pneumococcal indication.

18 ACTING CHAIRMAN DAUM: Okay. Dr. Kim.

19 DR. KIM: Well, based on the information
20 provided to us today, I'm not sure that we'd be able
21 to say that efficacy data for otitis media can be
22 directly translated into that against invasive
23 disease.

24 Also, it is an interesting idea.
25 Certainly I think it needs to be further explored.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 DR. GRIFFIN: Well, I think this is a
2 question on which intuitively I would say yes, that
3 it's highly likely that it's going to be predictive.
4 I think it's a question though that we're going to
5 have more data on as time goes on from data analysis
6 of trials that have been completed, and so we might
7 have a stronger leg to stand on.

8 But if it's an antibody mediated process,
9 then it probably requires more -- we've already heard
10 that it probably requires more antibody at least in
11 animal models, more antibody in order to accomplish
12 this task.

13 But then you would anticipate that you
14 would also be protecting against invasive disease.

15 ACTING CHAIRMAN DAUM: Thank you very
16 much.

17 Dr. Diaz.

18 DR. DIAZ: I would say de novo that, no,
19 it cannot be used for criteria for invasive
20 pneumococcal disease efficacy, although I guess there
21 are other caveats to that. If we're dealing with a
22 vaccine that has the same serotypes as Prevnar and
23 we're looking at, as an example, noninferiority for
24 licensure for noninvasive disease, having data on
25 efficacy for acute otitis media would be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supportive in my mind because I would have efficacy
2 against at least some component of disease caused by
3 those serotypes.

4 So although I don't believe for, as an
5 example, a new serotype additional serotypes that are
6 not in Prevnar to be able to use efficacy for otitis
7 media to bridge to invasive disease, I disagree
8 strongly. But I do think data about otitis media can
9 be very supportive in looking at licensure of products
10 for invasive disease with comparable serotypes to
11 Prevnar.

12 ACTING CHAIRMAN DAUM: Thank you.

13 DR. KATZ: I'm sorry Dr. Giebink had to
14 leave because I was impressed with his comment from
15 his chinchilla model, but the antibody data to prevent
16 otitis were higher than those to prevent invasive
17 disease. I would like to see that extrapolated
18 further, obviously into human populations, and I would
19 have to agree that otitis data alone would not be
20 sufficient to infer efficacy against invasive
21 pneumococcal disease, but would be very, very
22 prejudicial towards it.

23 DR. GOLDBERG: I believe that you can use
24 the same trial and define a series of endpoints that
25 would cover invasive pneumococcal disease, acute

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 otitis media, and the other endpoints that were
2 observed, the other failures that were observed, in
3 fact, in the Kaiser trial, and if you develop such a
4 combined endpoint, the package together would let you
5 address this issue.

6 ACTING CHAIRMAN DAUM: But that's not the
7 question.

8 DR. GOLDBERG: It would need direct
9 support. I think it depends on how you define your
10 endpoints in however you define the otitis media trial

11 ACTING CHAIRMAN DAUM: Let me pose a
12 question to you.

13 DR. GOLDBERG: Okay.

14 ACTING CHAIRMAN DAUM: Maybe this will
15 help. If a trial is done and shows protection --
16 let's leave the number out.

17 DR. GOLDBERG: For otitis media?

18 ACTING CHAIRMAN DAUM: Against otitis.
19 Would you agree or disagree that you could now --

20 DR. GOLDBERG: It would provide very
21 strong support.

22 ACTING CHAIRMAN DAUM: Would you agree
23 that it protected against invasive disease based just
24 on those data or --

25 DR. GOLDBERG: Not necessarily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DAUM: Okay.

2 DR. GOLDBERG: It probably wouldn't be
3 large enough.

4 ACTING CHAIRMAN DAUM: We need that answer
5 from you for this question. thank you.

6 Dr. Insel.

7 DR. INSEL: I have mixed feelings. On one
8 hand, I can take the Giebink and Sam Katz model. You
9 need more antibody in there. You've raised the bar
10 higher, and if you can protect against otitis, that's
11 great. It's likely then you'll protect against
12 invasive disease, which would require less antibody.

13 On the other hand, I'm not sure if it's
14 the same type of organisms that cause otitis media
15 that cause invasive disease. That is, is it the
16 organisms that have the ability to colonize for long
17 periods of time that then you develop a viral otitis
18 that then causes secondary bacterial otitis versus
19 the organisms that you become exposed to and invade
20 without even a period of colonization because they're
21 different? They have differences.

22 And would this translate even into
23 differences as far as capsular polysaccharide
24 expression on their surface, susceptibility to opsonic
25 antibody?

1 So from the standpoint of pathogenesis, I
2 just throw that back out. I'd like to know a little
3 bit more about the strings that are causing otitis
4 media versus invasive disease, and how often do you
5 see invasive disease occurring even after otitis and
6 vice versa?

7 ACTING CHAIRMAN DAUM: Dr. Insel, I think
8 you raised some very important points. I'd like to
9 hear from the rest of the group.

10 DR. BUTLER: I would say no basically for
11 the same reason. I think epidemiologically otitis
12 media and invasive pneumococcal disease are distinct
13 entities that just cannot be viewed as part of one
14 spectrum.

15 Additionally, I find Dr. Giebink's data
16 very interesting. I guess I'm still not convinced
17 that the mechanisms of protection are similar enough
18 to be comfortable with that either; that the role of
19 mucosal immunity may be significant.

20 ACTING CHAIRMAN DAUM: Thank you very
21 much.

22 Dr. Hall.

23 DR. HALL: I would agree that overall I
24 would not accept it as efficacy against invasive
25 pneumococcal. The antibody being higher is a good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 argument that it may be, but we don't know that, but
2 the variability is too great with otitis media for, as
3 mentioned before, local and other factors.

4 I did wonder though. It hasn't really
5 been brought up, but I would accept more, say, the
6 efficacy against pneumonia if that could be done,
7 which brings up the question of the technical aspect
8 of diagnosing pneumonia in this age group.

9 But if these tests were available or being
10 worked on, then that may be another consideration.

11 ACTING CHAIRMAN DAUM: And Dr. McInnes.

12 DR. McINNES: I have nothing to add to my
13 three learned colleagues on this side of the table.

14 ACTING CHAIRMAN DAUM: Thank you.

15 Not least.

16 DR. DECKER: I consider this question
17 largely moot. If we said previously that you can
18 license a seven-valent analogous to Prevnar on the
19 basis of comparable immunogenicity however defined,
20 and if you can license additional serotypes based on
21 comparable immunogenicity however defined, then it's
22 hard to imagine a study design that will get you to
23 those points, that will get you to this without having
24 gotten you to those points.

25 So the only issue, the only circumstances

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where this question remains relevant is where you have
2 a vaccine that is protective without being comparably
3 immunogenic, the situation we discussed a little
4 earlier.

5 So that is a very small area where you're
6 now applying this. In that circumstance, then I would
7 have to say no. The demonstration, as my colleagues
8 have already said, the demonstration of efficacy --
9 you can't demonstrate comparable immunogenicity and
10 all you can show is efficacy against otitis. Then you
11 haven't crossed a high enough bar.

12 ACTING CHAIRMAN DAUM: Thank you very
13 much.

14 I will make the last comment, and that is
15 that mootness of the question aside, I agree with what
16 most people are saying, that this bridge cannot be
17 made yet between otitis media efficacy and invasive
18 disease.

19 I must say that I'm very struck by the
20 trial done in Finland and the one done in Northern
21 California. If you really look at the vaccine
22 serotype otitis, the numbers are the same. I think
23 they're trying to tell us a true thing about the
24 ability of the current version of Prevnar and its
25 ability to prevent otitis media caused by serotypes in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the vaccine strain.

2 I think it's true. Why is it only 50
3 percent though? I've heard some ideas, but I don't
4 think we really know why it's only 50 percent, and in
5 part it's because we don't know the mechanism of
6 protection by antibody against otitis media. There's
7 lots and lots of missing information.

8 Having the sera that Dr. Siber told us
9 will come soon from the failure patients may provide
10 a clue. Looking at issues like the overall disease
11 burden where in Finland it wasn't dramatically reduced
12 as one might hope despite the 50 percent efficacy is
13 another issue.

14 Does serotype replacement or some other
15 kind of replacement fill in for the otitis media that
16 the child is going to get anyway if we interfere with
17 his pharyngeal carriage by having high titer vaccine?

18 Lots of questions here, and not a lot of
19 light. I'm not ready to make this leap yet. I need
20 a lot more information.

21 Someone, Dr. Hall I think, raised the
22 question about a pneumonia study, and I think there
23 are some issues there as well, as to whether the
24 pneumonia can be read off the invasive disease model,
25 but I'd feel a lot more comfortable trying to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that leap than I would from the middle ear to the
2 blood stream.

3 You know, if we prevent bacteremia, we
4 expect to see the incidence of invasive disease go
5 down, and if we prevent otitis media due to serotypes
6 that are in the vaccine, we may or may not see the
7 disease burden go down, and I think there's a lot more
8 to understand here about pathogenesis and protection.

9 So I would not be comfortable making this
10 bridge, and that's that.

11 We're at the close of our business today,
12 which is good news for people with airplanes to make,
13 but the committee will, of course, be trotted out one
14 more time tomorrow morning for a final session. We
15 will work through as efficiently as we can, but we
16 will start at eight o'clock.

17 Thank you, and we need everybody here
18 until the end because if there's no quorum, we can't
19 do our business. So please don't go. Come tomorrow.

20 (Whereupon, at 4:14 p.m., the Advisory
21 Committee meeting was adjourned, to reconvene at 8:00
22 a.m., Friday, March 9, 2001.)

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: March 8, 2001

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


