

1 changes in the normal endogenous prion proteins, and
2 this happens in the Peyer's patches, in the spleen and
3 in the dendritic cells and in the B cells.

4 Now you can also get peripheral
5 replication in peripheral nerves. We know from the
6 days that we used to think about this as slow viruses
7 that neurosurgeons and neuropathologists would
8 sometimes get infected by a percutaneous inoculation
9 with infected tissue, and peripheral replication can
10 occur in peripheral nerves and go centrally to the
11 CNS. But the final common pathway of these different
12 replication methods is that you get aggregation in the
13 CNS of abnormal prion proteins and the phenotypic
14 changes associated with the disease.

15 Can PrP^{sc} be inactivated? That's the hard
16 part, and that's one of the reasons that we need to
17 pay so much attention to prion proteins and to the
18 TSEs, because classic microbicidal methods are
19 completely ineffective. Irradiation is ineffective.
20 Heat inactivation can be accomplished but is
21 incomplete. In other words, you can heat this and
22 relatively inactivate them, but you get incomplete
23 inactivation, and chemical inactivation is highly
24 variable.

25 CBER's role in the control of this problem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 touches on several different products. Clearly, we
2 are concerned about the blood supply, therapeutics,
3 the vaccines, and now allergenics.

4 This is a highly selective timeline about
5 TSE and the FDA in the United States. The first time
6 that a letter was sent to the manufacturers from CBER
7 was in May 1991. This alerted the manufacturers that
8 there was a potential problem with bovine products.

9 In December 1993, the FDA sent a letter to
10 all the manufacturers. In December 1997 the U.S.
11 Department of Agriculture expanded its list of banned
12 countries to include all of Europe. In April 2000
13 CBER sent another letter to the manufacturers, and as
14 a follow-up to that letter, we in our Division sent a
15 memo in May 2000 to the allergen manufacturers.

16 We also sent another memo as a follow-up
17 in August 2000, and in the interim in July 2000 the
18 TSE Advisory Committee and the Vaccine Related
19 Biological Products Advisory Committee had a meeting
20 in July that also touched on several of the issues
21 that we are going to talk about today.

22 The rest of my talk at this point about
23 TSE is going to cover those memos that we sent to the
24 allergen manufacturers and the data that came back and
25 our analysis of those data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Well, how do you do a risk assessment on
2 a biological product for the possibility of
3 transmission of TSE? Well, you have to quantify or
4 estimate the risk based on the animal source. Where
5 did the animal come from, and when was that animal
6 coming from that place?

7 Remember that slide that I showed sometime
8 back that the peak periods are confined both
9 geographically and temporally. So we need information
10 about time and place to quantify the risk.

11 We also need to know about what the
12 particular tissue is. I'll talk about this in a few
13 minutes, but certain tissues are higher risk than
14 others. We need to know what processing or dilution
15 methods were gone through and how they might have
16 impacted the infectivity.

17 Specifically, as we get to immunotherapy,
18 we need to know the protein doses associated with
19 immunotherapy prions or proteins, and they co-purify
20 with proteins. So we need to have some estimate of
21 what the total protein doses are.

22 Finally, we need to know whether are any
23 route specific risks, whether subcutaneous inoculation
24 has a greater or lesser risk than other forms of
25 inoculation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Well, let's talk a bit about the
2 infectivity of various tissues. The European scheme
3 is presented here with some adaptations. The scheme
4 involves going from category I tissues to category IV
5 tissues, where category I tissues have the highest
6 infectivity, and category IV tissues have no detected
7 infectivity.

8 The highest tissues, obviously, are brain,
9 spinal cord and eye. Those will have the largest
10 amount of abnormal prion proteins in them. Tissues in
11 category II are called medium infectivity. They
12 include a variety of other largely lymphoid tissue
13 that has a lesser degree of infectivity.

14 Now the ratings of these two
15 classifications are actually based on infectivity
16 studies either from cattle to other cattle or from
17 cattle to mice.

18 In category III and IV, there's really no
19 detected infectivity for the most part in those
20 particular studies, but category III tissues, for the
21 most part, ended up in this grouping because there
22 were good data from scrapie studies that had been done
23 in the past that these tissues were, in fact,
24 infective, although at a relatively lower level.

25 So even though the more recent infectivity

1 studies with the bovine TSEs suggested that these
2 tissues were in this group, the scrapie studies
3 suggest that they were moderately infective and should
4 be in a somewhat higher group.

5 Again just one final comment about even
6 the group IV is that the sensitivity of these kinds of
7 studies is not infinitely low. So that's why we use
8 the term no detected infectivity, because there are
9 limits to how sensitive the techniques are. Yes?

10 CHAIRMAN OWNBY: Jay, in that category IV,
11 is all blood and blood products contained in that
12 blood clot you list there?

13 DR. SLATER: Yes, it is, but again I don't
14 think we can be fully reassured necessarily by that.
15 There was a recent study from Lancet that clearly
16 showed that sheep could be infected through a blood
17 transfusion. So there is some infectivity.

18 Remember, when most of these studies are
19 done by intracerebral injection into calves or mice,
20 you can inject up to 50 microliters of material into
21 a mouse's brain without killing the mouse immediately.
22 So that certainly limits your ability to detect the
23 infectivity. Even into calves, there's a limit to the
24 volume that you can inject; whereas, with a blood
25 transfusion, you will obviously have a much greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 volume that you can inject.

2 So we have to approach all of this with a
3 proper sense of reserve or perhaps even skepticism.

4 DR. SOTO-AGUILAR: Would cornea be
5 included in the eye?

6 DR. SLATER: I'm sorry?

7 DR. SOTO-AGUILAR: The cornea?

8 DR. SLATER: The cornea, I believe, is
9 included in the eye. Yes. It's the way that CJD is
10 transmitted iatrogenically is by corneal dura mater
11 transplants.

12 Now some special categories of interest.
13 Glycerol is, obviously, of major interest for people
14 that are interested in allergens. Well, glycerol can
15 be obtained from both plant and animal sources, and in
16 fact, for the most part, when we ask our allergen
17 manufacturers, we found that they were derived from
18 plant sources, not animal sources. So that was
19 reassuring right from the beginning.

20 It turns out that, even when it's of
21 animal origin, because glycerol is a distilled
22 product, that the studies and the Advisory Committees
23 have concluded that, even if glycerol is of animal
24 origin, it's not considered to be infectious.

25 Likewise, milk, by virtue of studies and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 other data, is not considered to be infectious.
2 Gelatin is an additive for some of our products that
3 I'll talk about in a few minutes.

4 It was originally not considered to be
5 infectious in the first deliberations. However, as a
6 result of some spiking studies that were done in which
7 prions that were spiked into gelatin before its
8 processing and taken through the entire process
9 actually made it out through the end, there was some
10 concern about the use of gelatin, especially in
11 parenteral use, and in a 1997 guidance document CBER
12 recommends against parenteral use of gelatin unless it
13 is from certified origin.

14 MS. LIBERA: Can the distilling process
15 itself remove TSE from the glycerol?

16 DR. SLATER: I think that's the thinking,
17 is that the distilling process separates the prions
18 from the glycerol.

19 MS. LIBERA: That's why it's done?

20 DR. SLATER: I don't think that's why it's
21 done. I think it's done in order to purify the
22 glycerol from the tallow.

23 MS. LIBERA: So it can be removed? So it
24 can be in there, but it is removed in the distilling
25 process?

1 DR. SLATER: Right. Right.

2 MS. LIBERA: A hundred percent?

3 DR. EGAN: Bill Egan. To answer your
4 question a little bit with regard to the glycerol, I
5 think it's first treated with sodium hydroxide to
6 liberate the glycerol, and then the distillation
7 itself, the glycerol being more volatile, would come
8 over any proteinaceous material that stay, but also
9 the temperature that is involved in the distillation
10 would again inactivate protein.

11 So I think there are sort of three
12 processes that are involved that would help to
13 deactivate or eliminate any prions. So I think that's
14 why there was -- the tallow derivatives were not
15 considered to be problematic.

16 DR. SLATER: Thank you.

17 In May 2000 we sent our first memo to the
18 allergen manufacturers. We asked for four different
19 types of information in that memo. We asked for the
20 specific animal sources that might have been used in
21 any of the allergenic products.

22 We specifically asked if any neural tissue
23 was used in any of the products. We asked for the
24 origin and the residence of the cattle, and we asked
25 for the dates that the cattle were obtained.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Why did we ask for each of these? Well,
2 we asked for the country, because the risk is
3 geographic. The risk is significantly larger from
4 U.K. cattle, greater than EU cattle and much, much
5 greater than from anywhere else.

6 We asked the dates, because the risk of
7 TSE, especially prior to 1980, appears to be
8 negligible. We asked for the specific tissue, because
9 there is a risk associated with specific organs.

10 What did we learn? Well, for the most
11 part -- Yes, Dr. Claman?

12 DR. CLAMAN: It may be a minor point. Are
13 we sure that the geographic distribution is not the
14 result of ascertainment bias? The countries that
15 presumably have little or none of it, have enough
16 samples been tested or is it based on -- Is it based
17 on clinical evidence of diseased animals?

18 DR. SLATER: No. There are surveillance
19 programs in place to collect pathological tissue. Now
20 if you are asking what the sensitivity of the
21 surveillance programs is in each country, I can't tell
22 you that, but there definitely are surveillance
23 programs in place. Is that correct? No, it's not.

24 DR. MIDTHUNE: Karen Midthune. I think in
25 instances where the U.S. Department of Agriculture

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 felt that the surveillance practices were such that
2 they might not be sensitive enough, those countries
3 were also put on the USDA list as countries where,
4 although perhaps BSE might not have been identified,
5 that there was a risk for BSE.

6 DR. SLATER: Thank you. So the results of
7 our first screen were somewhat reassuring. We were
8 somewhat concerned that our manufacturers had specific
9 beef extracts, but we were reassured to learn that
10 they all obtained them domestic sources, in some cases
11 the local supermarket.

12 There are some deer and deer hair and pelt
13 and venison extracts out there. These are obtained
14 from domestic kills, and in the particular
15 manufacturers' case, it was from greater than 20 years
16 ago.

17 Our area of concern focused on mold
18 extracts. Several of our manufacturers use media
19 supplements that were of bovine origin. So as a
20 follow-up we asked for more information in our august
21 2000 memo, asking for lot-specific mold origin and
22 culture information, asking for the number of lots
23 possibly affected.

24 For suspect lots, we asked for
25 certification that the material was obtained from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 approved countries. We asked for quantitative flow
2 charts so that we could calculate the risk for each
3 product, and we ask the manufacturers to actually do
4 their own risk assessments.

5 Why did we ask for all of this? Well, the
6 lot-specific mold origin and culture information -- we
7 really wanted to start excluding lots from
8 consideration. What we got in the initial screen was
9 a large number of lots in which bovine materials are
10 used, and what we were looking for was to sort of
11 narrow down this field of concern to products that
12 were in the wrong geography, in the wrong time, as
13 opposed to in the right geography, in the right time.

14 So we were trying to exclude lots from
15 consideration based on known dates and culture
16 conditions.

17 Why did we ask for certification?
18 Clearly, to ascertain that bovine materials were
19 sourced from approved countries. We asked for
20 quantitative flow charts to help us in assessing lot-
21 specific risks, and we were asking them to do their
22 risk assessments so that we could both do the risk
23 assessments and at least compare them and see where we
24 went.

25 So this again is our selective timeline,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and this is where we were when we got these data. We
2 did eventually get the data from the manufacturers,
3 and what we are going to discuss now is how we
4 analyzed those data and what our analysis showed.

5 Well, what we were looking for first is to
6 estimate the infectivity of the media itself. So
7 there are two stages in this process. One is to go
8 from the cow to the media supplement, and then the
9 next stage is going to be from the media supplement to
10 the actual final mold product and what the risk is
11 associated with that.

12 So let's take this one step at a time.
13 The first thing we are interested in is the tissue
14 LD₅₀s per gram. That depends on what the specific
15 organ is of the tissue. Now, remember, we talked
16 about category I, II, III and IV. There actually are
17 estimated LD₅₀s associated with this based on
18 transmission experiments that have been done.

19 For category I, which is central nervous
20 system tissue, the estimated LD₅₀s is 10⁷ LD₅₀s per
21 gram. If you go down to category II, it's something
22 under two and a half times 10⁴. If you go to
23 categories III and IV, it's between .1 and 100 LD₅₀s
24 per gram.

25 The next part of the process is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 determine how much stuff goes into the lot, and we
2 could do that in two ways. We could determine the
3 number of grams of tissue per cow that go into each
4 product, and then how many cows go into each lot.

5 Well, it turns out those data are really
6 available with any precision only for a product that's
7 made with a specific organ, such as BHI, which is
8 brain, heart, infusion media. Now for BHI, or brain,
9 heart, infusion media, we know that the cow brain is
10 about three-quarters of a kilogram in weight.

11 We know, by talking to some manufacturers,
12 that they use about 2,000 cattle per lot or something
13 to the order of 1.5×10^6 grams of cow tissue put into
14 each lot.

15 Now for other media components that are
16 derived from skeletal muscle and mixed tissues, the
17 specifics are really less certain. We really don't
18 know how many cows' skeletal muscle went into this
19 product, and certainly for gelatin we don't have a
20 clue. But we have used this estimate of 1.5×10^6
21 grams per lot as an overall estimate of the number of
22 cow tissue that goes into each lot for the purposes of
23 our calculations.

24 Next we are concerned about what the risk
25 -- regional risk is per cow of being infected with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 TSE. As I said, that risk is geographic. Now at its
2 peak the risk of a random cow being infected in the
3 United Kingdom -- this is at the peak of the epidemic
4 -- was about one in 100. So one in 100 cattle had
5 abnormal prion proteins and was infected during the
6 peak of the epidemic.

7 That has come down. The estimate that is
8 currently used for the European Union is that the risk
9 is about 10^{-4} or one in every 10,000 cattle may be
10 infected.

11 For the purposes of our calculations,
12 since none of our manufacturers use UK specific
13 suppliers, we have used the 10^{-4} number as our
14 regional risk.

15 DR. LEHRER: Jay, when you say none of
16 your manufacturers have used UK specific suppliers, is
17 that the same thing as saying that none of your
18 manufacturers have used suppliers obtaining material
19 from the UK?

20 DR. SLATER: Well, I think that's a very
21 good point. None of our manufacturers are UK based.
22 So there is no a priori reason. You see, we'll skip
23 a little bit down the line just so I can answer your
24 question.

25 What we are dealing with here in all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the cases that I am going to calculate out is
2 uncertainty. We have no supplier. We have no
3 manufacturer that has come forward to us and say we
4 know that we used a supplement that came from the
5 Netherlands or that came from Germany. We just don't
6 have that information.

7 That wasn't really the case with the
8 vaccines deliberations back in July. There were
9 manufacturers in which they had specific origins that
10 were of concern. In our case, we don't really know
11 that. So we're making a guess based on uncertainty.

12 Now for all we know, the manufacturers,
13 for all their uncertainty, may have domestic products,
14 and for the domestic product the risk is considered to
15 be essentially zero. So we think that using the EU
16 estimate is a good mean estimate or actually it's a
17 good worst case estimate, we think, of what the risk
18 would be, what the regional risk would be. But it is
19 a guess in the midst of uncertainty. Yes?

20 CHAIRMAN OWNBY: Wouldn't the worst case
21 scenario be assume that they all came from the UK?

22 DR. SLATER: Well, that would be a very
23 worst case scenario. We really have no reason to
24 believe that that's the case. I think that, in fact,
25 when we've done digging, we have found that some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 our manufacturers have started being uncertain, ended
2 up having domestic supplies anyway.

3 CHAIRMAN OWNBY: But when the UK removed
4 so many cattle from their herds, what happened to all
5 those cattle? Were they just summarily all destroyed
6 or were any of them processed?

7 DR. SLATER: No. They were destroyed.
8 That's a good question, but they were destroyed.

9 Is there horizontal infectivity among
10 cattle? I don't believe so. I haven't seen anything
11 about horizontal infectivity among cattle.

12 DR. LEHRER: Relative to that point, Jay,
13 when you talked about wild animals being infected, I
14 wondered if that might be the case, because with deer,
15 for example, wild deer, I couldn't imagine how else
16 they could be affected. Maybe you have some thoughts
17 on that.

18 DR. SLATER: We don't know. We don't know
19 what the transmission is among -- for the chronic
20 wasting disease. This may be a spontaneous mutation.
21 In other words, even though all organisms that have
22 TSEs generate infectious material, some of them do
23 develop the disease spontaneously. At least we think
24 that that's the case.

25 So we don't know what the origin and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 transmission of the disease in the deer and elk is.

2 DR. SAXON: But it's not the exact same
3 disease as is the bovine disease. Many species have
4 these spongiform encephalopathies, like humans.

5 DR. SLATER: No, it's not a virus.

6 DR. SAXON: Unless the Nobel Prize
7 Committee is wrong twice and going for a third time,
8 it's not a virus.

9 DR. SLATER: The next thing that we look
10 at is process reductions in the course of making the
11 media itself. There almost certainly are some process
12 reductions in the making of the media, but for the
13 purposes of our discussion today, we are considering
14 there to be no process reductions in making the media
15 itself. So we take that as a factor of one.

16 Based on these numbers, we calculate what
17 the LD50/lot are, and then we calculate the LD50/ml of
18 the media supplement. Not, actually, in making that
19 conversion from the lot to the milliliter, we actually
20 incorporate the use of the supplement in the final
21 growth media product. So we incorporate -- You know,
22 if you added peptone up to a 30 percent dilution, then
23 we would incorporate that into this number here.

24 So this actually gives us the LD50 of the
25 medium that is used to either store or grow the molds.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So what did we learn about the different
2 media supplements that we were told about? What I'm
3 listing here is all the media supplements that our
4 manufacturers alerted us to the fact that they had
5 bovine components.

6 Well, it turns out for five of them there
7 were no bovine components at all. For proteose
8 peptone number 2, proteose peptone number 3, peptamin,
9 neurospora culture agar, and malt extract broth, there
10 were no bovine components.

11 Now this isn't because the manufacturers
12 were telling us misinformation. They were given
13 ambiguous information by their suppliers. In other
14 words, the suppliers would sort of say, well, you
15 know, it has this category. Well, when we dug into
16 it, we found out that these were components that were
17 derived from other animals, not from cattle.

18 For three of the products, peptone, malt
19 extract agar, and YM agar and broth, there is a bovine
20 component, and it was gelatin. We're going to discuss
21 those products in just a couple of minutes.

22 For seven products -- one of them is a
23 broad group of products, the saboraauds media, they
24 contain bovine milk, and those were excluded from
25 consideration as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Finally, when we narrowed it down, we
2 found four products that actually contained either
3 bovine muscle, organ tissue, or in one case neural
4 tissue, the brain/heart/infusion media. So what we're
5 going to focus on for the rest of this analysis is
6 these four media and the three media that contained
7 bovine gelatin.

8 Now having identified the infectivity of
9 the media supplement, we now go from the media
10 supplement to our final product, and the way we are
11 going to determine that is ultimately we are going to
12 take it through these process reductions, indicate
13 whether we think there's a species barrier or not, a
14 root barrier, estimate the annual U.S. dose of the
15 product, give the LD50 per year then that's
16 administered to the U.S. public, and by inverting that
17 come to the number of years that we would have to go
18 by to come to a case.

19 What are the process reductions? Well,
20 the process reductions in making the mold product:
21 You have to look at how these molds are made, and this
22 is a very generic slide. The manufacturers all have
23 differences among their processing, some of them
24 subtle, some of them not so subtle.

25 Basically, you go from a master seed to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 working stock to a production lot. You harvest the
2 molds, and there are various ways of harvesting the
3 molds. Sometimes the mold mat is just taken off the
4 top. Sometimes it is ground up with the media. It
5 really is highly variable, and then finally there is
6 an extract preparation.

7 Now we talk about process reductions and
8 process dilutions. But really, there are some steps
9 that lead to concentration of protein. After all, the
10 manufacturers are trying to isolate the mold proteins
11 and concentrate them for administration. So some of
12 the steps, such as precipitation, drying and
13 lyophilization, actually lead to concentration of
14 protein.

15 So the process reductions were all
16 individual for the products, and we calculated them
17 out based on the flow charts that we got from the
18 manufacturers.

19 What about a species barrier? Well, there
20 are no data on species barriers from any animal to
21 humans. There are data on species barriers from cows
22 to mice. There are data on species barriers between
23 other animals, and the species barrier appears to be
24 something on the order of one to 1,000. But in our
25 ignorance about species barriers to humans, we take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the worst case assumption that there is no species
2 barrier. We think there probably is, but since we
3 don't know what it is, we can't factor that in.

4 What about route barriers? Well, that
5 there is a fair amount of data on from both cow to cow
6 transmission and cow to mouse transmission. Using
7 intracerebral inoculation as the 1.0 in terms of
8 efficiency of infection, we find that subcutaneous
9 injection has an efficiency of infection of about one
10 to 24,500, and we use that factor in our calculations.

11 Now this is a very hard calculation, and
12 that is the annual U.S. dose, because we really don't
13 know what the annual U.S. dose of any one of these
14 particular products is. We have, based on
15 manufacturer data, that there are about 30 million
16 doses of immunotherapy given every year, and now we
17 have absolutely no data beyond this. So the rest of
18 this is fairly, I think, conservative hand waving.

19 Assuming that about ten percent of the
20 injections that are given contain some mold product or
21 another, assuming that about ten percent of those are
22 from one particular manufacturer, given the volume,
23 the part of that a volume that we think would probably
24 contain molds, we're estimating -- Now this is not for
25 total mold immunotherapy in the United States. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is for a particular product, a particular product or
2 a particular product line -- about 30,000 milliliters
3 dose of each individual product annually to the United
4 States.

5 Then based on that, we go through a
6 calculation LD50 per year and number of years to go
7 through for each case. When all the dust settled,
8 there were three different scenarios that we were
9 worried about.

10 The first scenario is the use of an
11 uncertified media from Category IV tissue in mold
12 propagation. This was one manufacturer, one small
13 portion of its product line.

14 Scenario number two: Use of uncertified
15 media containing gelatin in mold seed stocks. So the
16 gelatin was not in mold propagation. It was in the
17 seed stocks that they started with initially. This
18 was three manufacturers, several products in their
19 lines.

20 Finally, we have the use of an uncertified
21 media from Category I tissue -- that's
22 brain/heart/infusion media -- used in mold seed
23 stocks, one manufacturer, several parts of their
24 product line.

25 Let's go through the first calculation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is the use of uncertified media derived from
2 Category IV tissue in mold propagation, one
3 manufacturer, one portion of their product line. I'm
4 going to go through this particular spreadsheet in
5 detail. The other ones will sort of follow after
6 this.

7 Category IV tissue is the tissue that has
8 .1 LD₅₀ per gram. This is the no detectable
9 infectivity. So this is the detection limits. Again,
10 the grams of tissue per cow, 750; the cows per lot,
11 2000; the regional risk we're taking as the EU risk or
12 10⁻⁴. We assume no process reductions. We come out
13 with these numbers for the lot LD50 and for the
14 milliliter LD50.

15 Manufacturer process reductions of this
16 particular product really only reduced the potential
17 infectivity by .3. Again, remember, some steps
18 dilute. Some steps concentrate the protein. So in
19 the end, when we analyzed this manufacturer's data, we
20 came out with a dilution factor of about .3.

21 Again, we assumed no species barrier. This
22 is our assumption throughout this. We assume a root
23 barrier of one to 25,000. Again the annual U.S. dose
24 gives us 1.1 x 10⁻⁷ LD50 per year or the number of
25 years that we would have to wait to see a case is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 18,500,000 years.

2 A little perspective: If we were going
3 backwards, humans were thought to arrive about a
4 million goes ago. So this is 18 times that interval.
5 So this is a good big number. However, there was one
6 assumption in that calculation that is highly
7 questionable, and that is that the assumption that the
8 material was derived with exclusively Category IV
9 tissue.

10 What if, as probably was the case with
11 butchering practices sometime ago, there was
12 contamination of our Category IV tissue with Category
13 I tissue, spinal cord that made its way into this
14 allegedly Category IV tissue?

15 Well, remember, Category I tissue has a
16 very, very high LD50 of 10^7 LD50 per gram. If there
17 were a .01 percent contamination -- and this is the
18 amount that was used in the vaccines estimates over
19 the summer -- that would lead to LD50 of 1,000 LD50
20 per gram for this so called contaminated Category IV
21 tissue.

22 The numbers here are all the same except
23 we start out with 1,000 LD50 per gram instead of .1
24 LD50 per gram. We still come up with a comfortably
25 large number of 1,850 years before we would expect to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 encounter a case of transmission, given these numbers.
2 Remember as well, we assume no process reductions. We
3 assume no species barrier.

4 Let's go to the next scenario. This is
5 the one where mold seed stocks are using uncertified
6 media containing gelatin. This was three
7 manufacturers, and this is the worst case of those
8 three manufacturers. So we did the calculations for
9 all three, and we came up with larger numbers, if
10 anything, for all three of those.

11 We start with the tissue LD50 per gram of
12 1,000 gelatin. It's really uncertain what the LD50
13 are, but this is a good worst case scenario for
14 gelatin. Probably it has less. All the numbers are
15 the same. The manufacturer process reductions in this
16 particular case were .08, since this product started
17 out in the seed stocks, and we come out with LD50 per
18 year of 4×10^{04} or a 5,000 year interval.

19 Finally, the use of uncertified media
20 derived from Category I tissue in mold seed stocks.
21 In this case -- this is the BHI case -- we have 10^7
22 LD50 per gram, but again because this was in seed
23 stocks, this particular manufacturer had a lot of
24 dilution factors that went in, and the dilution factor
25 was .00018. Here we come out with 4.2×10^{-3} LD50 per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 year or 470 years per case.

2 So what's our summary? This is what I
3 showed you before. Most allergen extracts are
4 produced without any bovine components other than
5 glycerol. Mold extracts are stored and propagated in
6 culture media, some of which contain bovine components
7 of uncertain origin.

8 The risks associated with these
9 contaminations are minimal, and this I didn't have on
10 the slide before: Manufacturers have been directed to
11 assure that henceforth all bovine components be
12 certified to be from approved sources.

13 Here's the question that we would like to
14 ask the Advisory Committee at this point.

15 In July 2000 the TSE/VRBPAC combined
16 committee suggested that the master seed stocks of
17 vaccines need not be rederived to reduce the
18 likelihood of TSE transmission. This joint committee
19 came to this conclusion after agreeing that the risk
20 of TSE transmission was remote, and the risks
21 associated with the rederivation of the master seed
22 stocks of bacterial vaccines were substantial.

23 In contrast, CBER does not believe that
24 there are any risks to product efficacy or safety
25 associated with the rederivation of the master stocks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of mold strains used for allergenic extracts.

2 So our question for the committee is:
3 Does the Committee agree with CBER that the master
4 stocks of mold strains used for allergenic extracts
5 should be rederived to reduce the theoretical
6 possibility of TSE transmission?

7 Now, remember, of the three scenarios that
8 I showed you, this affects two of them. The first one
9 where the manufacturers are using a questionable media
10 supplement in the propagation -- that is not being
11 asked now. What we are asking is about those two
12 scenarios, one involving the gelatin, and the other
13 involving the BHI where there is going to have to be
14 a change in the mold seed stocks themselves to get to
15 products that are from certified origins. That's our
16 question.

17 DR. UMETSU: What were the risks that were
18 thought to be a problem by the TSE Advisory Committee?

19 DR. SAXON: The bacterial, you're talking
20 about?

21 DR. UMETSU: Right. In the statement they
22 said they didn't think it was necessary to rederive
23 the stocks, because there are risks.

24 DR. SLATER: The risk is --

25 DR. UMETSU: Rederivation was high.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLATER: The risk associated with it
2 is that, when you rederive a master stock for a
3 vaccine, you essentially have a new product of
4 uncertain immunogenicity that has to be retested,
5 revalidated, and that was considered to be compared to
6 the risk of TSE transmission, which was quite low,
7 quite remote. That was not considered to be worth the
8 risk associated with trying to essentially come up
9 with a new vaccine.

10 DR. SAXON: I don't quite understand. When
11 you say you are going to ask them to rederive the
12 stocks to get away from this risk, why can't one just
13 replace the uncertified with certified materials in
14 the growth of those materials versus rederive the seed
15 stocks?

16 I mean, I don't understand why you need to
17 rederive them. You think the actual mold is going to
18 contain within it these prions? Is that the concern?
19 Versus just replacing the media with certified, why
20 are you going to make them do that?

21 DR. SLATER: Well, there would probably
22 have to passage it to get rid of the -- You know, even
23 if you were just trying to take the mold and put it
24 into -- There is good reason for manufacturers not to
25 use Category I tissues, period. There's good reason

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not to use BHI. There are alternatives to BHI that
2 they can use for the storage of these products and not
3 lose any potency of their stored masters.

4 To rederive the master would basically
5 mean to take the master and, instead of using it the
6 way they use it as the source for all of their working
7 materials, to actually take the master and make a new
8 master out of it.

9 DR. SAXON: They are just going to grow it
10 up, insert it and refreeze it, you're saying?

11 DR. SLATER: Yes.

12 DR. LEHRER: So is that one of the choices
13 we are discussing?

14 DR. SAXON: Yes, that's what he's saying.
15 Why shouldn't they do that, because in the bacterial
16 vaccines they were concerned when they did that they
17 may actually alter the basic structure in a way where
18 you lose immunogenicity. Here it's not such a big
19 issue. I don't know. What do the manufacturers
20 think?

21 DR. LEHRER: Did you answer Andy's
22 question, though, about is there any evidence that
23 prions are contained within the molds or reproduce in
24 molds?

25 DR. SLATER: I don't know that it's ever

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been looked at in molds.

2 DR. LEHRER: How do prions reproduce?

3 DR. SAXON: They fold other normal prions.

4 Unless the molds have a normal prion structure, which
5 I've never heard of, they couldn't fold the protein in
6 a mold, and it's extremely unlikely. So they probably
7 aren't. I just was wondering what the issue was.

8 You really just want to change the media
9 they are kept in and stick them over there.

10 DR. LEHRER: I agree with you, absolutely.
11 It would essentially be several transfers. Not a big
12 deal.

13 DR. UMETSU: So that should be one of the
14 choices that we should be assessing.

15 DR. SAXON: Is that what he's asking?

16 DR. SLATER: That is the question. Is
17 there a concern on the Committee between -- of our
18 asking the manufacturers to do that?

19 DR. SAXON: What does it entail? Maybe
20 someone can tell us. I mean, my sense, it's not a lot
21 of work. You make a couple of stabs, but are we
22 asking -- What is involved? Is someone here going to
23 address it or will you?

24 DR. CLAMAN: I am sure it's more
25 complicated than that, but I don't know how.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SAXON: Well, that's what I was trying
2 to get. Sam, you know how to do this, don't you?

3 DR. LEHRER: Well, I would think it's like
4 any microbial agent, that you would streak it out on
5 a plate. You want to get a single colony isolate and
6 do it, you know, several times, and that would be it.
7 Then you would grow up your master stock again.

8 The only thing is that the growing of
9 fungi has a lot more requirements than bacteria. So
10 it's not as easy as streaking out strep or staph.
11 Nevertheless, if it can -- Obviously, it can grow on
12 these synthetic media. So I would think that it
13 should be able to be done.

14 DR. SAXON: Did the manufacturers object?
15 Did anyone have a problem with this?

16 DR. SLATER: No.

17 DR. SAXON: Makes them look good.

18 DR. CLAMAN: You may have answered this.
19 What were the risks felt to be for rederiving the
20 vaccines?

21 DR. SLATER: Perhaps somebody from the
22 vaccine side would like to address that.

23 DR. MIDTHUNE: Again, as Dr. Slater has
24 pointed out, the risk calculations are based on a lot
25 of assumptions, but in the Advisory Committee a number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of different scenarios were presented.

2 For example, with one of the viral vaccine
3 scenarios that was considered, there had been use of
4 fetal calf serum in the working seeds. For that
5 particular scenario, it was estimated that the risk
6 was approximately one in 40 billion vaccines being
7 potentially contaminated.

8 That's when you have the issue at the
9 working seed level. So if you remove it at the master
10 seed level where you have many full dilutions in
11 between, you are at even much lower risk. Thus, the
12 Committee then considered the issue of what would be
13 involved in actually rederiving the master seeds.

14 That could potentially be extremely
15 difficult, because as Dr. Slater was saying, that
16 could actually alter the vaccine that you ultimately
17 end up with at the end of the process, and this would
18 mean that you would have to redo large scale safety
19 and efficacy studies, because this is, clearly, if you
20 will, a standardized product. I mean, that is to say
21 you really have very rigid demands on performance out
22 of that product.

23 So in the face of a very, very remote risk
24 based on just having an issue with the master seed, it
25 was the recommendation of the Advisory Committee that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that not be undertaken because of the concerns over
2 changing the vaccine at the end and, of course, having
3 to go through extensive retesting of virtually what
4 would be a new product.

5 DR. SAXON: That is very different than
6 what we are talking about. We are talking about an
7 allergen vaccine that's not got efficacy studies ever
8 done anyway, and virus has got to be cultured out and
9 dah, dah, dah.

10 So this -- If the manufacturers -- You
11 know, they could look good. It's not hard. Sounds
12 good.

13 DR. CLAMAN: Besides, molds change all the
14 time anyway, don't they?

15 DR. SAXON: Well, that is why they keep
16 frozen in stocks.

17 MS. LIBERA: This is probably is a very
18 basic question. How often is a stock tested for TSE
19 along the line?

20 MS. BRIDGEWATER: There is no test for
21 TSE. There is no diagnostic test at this point.

22 DR. SAXON: You couldn't test --

23 MS. BRIDGEWATER: If there was a
24 diagnostic test, we wouldn't have testing.

25 DR. SAXON: You could inject it into mouse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 brain, I mean, the standard TSE test. But I guess
2 it's not been done. There's no other way --

3 DR. UMETSU: The tests aren't sensitive
4 enough to pick it up for most things other than cow's
5 brain. So injecting this allergen extract, you would
6 probably get nothing.

7 CHAIRMAN OWNBY: It would depend on how
8 many mice you want to inject. You know, a few
9 hundred, we probably wouldn't find it.

10 DR. SAXON: In millions, it may not.

11 DR. CLAMAN: There is no in vitro test.

12 DR. SOTO-AGUILAR: How is the surveillance
13 done in other countries that have not been affected so
14 far with mad cow disease? Do they have to wait until
15 the animals get sick?

16 DR. EGAN: In the United States the U.S.
17 Department of Agriculture has a very active
18 surveillance, and they look at cows with neurologic
19 symptoms particularly and, you know, post mortem they
20 examine the brain, and they look for the spongiform
21 encephalopathy.

22 In other countries there are also --
23 besides the morphological changes in the brain, there
24 are antibody based diagnostics, but they are not as
25 sensitive as trying to transmit, say, to mice, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 waiting a year or so and then looking at which mice
2 then die of the TSE.

3 I think in the U.S. so far they have
4 probably done around 12,000 animals. USDA has done
5 about 12,000 animals, and they have all been negative.
6 Then in other countries there's varying surveillances,
7 and in most of Europe the stringency of that
8 surveillance has picked up in recent years. It's,
9 again, histological examination and antibody.

10 DR. CLAMAN: I agree with Andy Saxon. The
11 risk of not rederiving seems to be very small. If the
12 manufacturers don't object, and we have been told that
13 they don't -- I haven't heard them say so -- it would
14 seem that the conservative thing to do is to rederive
15 the stock.

16 DR. LEHRER: Absolutely. I agree as well.
17 I think we have to consider that a lot of the figures
18 that Jay was presenting are guesstimates anyway, and
19 even though we are trying to weigh on the side of the
20 worst case scenario, but I mean it's like living in
21 Southern California knowing that the major earthquake
22 is going to come every 200 years or something, and
23 it's really not an issue unless you're there at the
24 time of the major earthquake.

25 I think it would be the same thing here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's very unlikely to be a problem. Nevertheless, if
2 there is even one case that it occurs, I think it
3 would be terrible, and particularly since the remedy
4 does to seem to be that much of an issue.

5 DR. SAXON: I'll give you another way,
6 interesting. In spite of the facts, they say there
7 have been a case of variant CJD in this country in
8 California. It has been pushed away, but the person
9 came here. They didn't catch it here.

10 So it's more likely we will get a case of
11 variant CJD over here unrelated to anything here, but
12 then the person will have been on mold shots and then
13 someone will point the finger there, probably when
14 they ate a hamburger in Britain, you know, ten years
15 ago.

16 So if it's real easy to go, we should do
17 it, because otherwise people will start looking for
18 scapegoats, you know.

19 DR. CLAMAN: Scape-cows.

20 DR. SAXON: I want to pick on Washington
21 where they pick on scapegoats, since I'm here for my
22 last time. So I think it's a great idea to do, so
23 they don't turn around and start looking, you know,
24 and it was probably something they did in Britain ten
25 years ago and wasn't their mold shots.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. UMETSU: I would agree also that,
2 since the risks seem to be low, that they should be
3 rederived. But the other thing you can certainly do
4 is, if you have a manufacturer's label the ones that
5 are rederived as rederived, and the ones that are not
6 rederived, I'm sure that the ones that are rederived
7 will be the ones that will be chosen to be used.

8 DR. SAXON: But they only need to rederive
9 a few. It's not all companies. Right? It's only a
10 few.

11 MS. BRIDGEWATER: Right. Let me also
12 point out, too, we're talking about non-standardized
13 products, obviously, with the mold. There's some
14 degree of -- I don't know if you would call it
15 rederivation that goes on anyway, like many times when
16 they start a new -- or manufacturers have to get a new
17 mold seed stock, they will get it from the ATCC, and
18 it's not that necessarily they would get the same lot
19 every time.

20 So there's some variability in the process
21 already.

22 DR. LEHRER: But I thought they would
23 rederive it from their master stock.

24 MS. BRIDGEWATER: Yes. My point was that
25 there's some variability in the process as they do it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 -- as it's normally done anyway. So we're not
2 introducing something new, but yes, we want them to
3 rederive from what they have, if possible.

4 CHAIRMAN OWNBY: But when they rederive --
5 and my understanding was a lot of people don't
6 maintain these real long term, that they often
7 purchase from ATCC -- the question is then do they
8 have to seek certification from whomever they are
9 purchasing from that this has been propagated in
10 materials that don't contain bovine materials?

11 MS. BRIDGEWATER: Yes, they do have to
12 have that certification. They get it from ATCC. Now
13 some of the manufacturers have stocks that they have
14 had that go back to the Seventies and Eighties that
15 they have maintained for a long time.

16 CHAIRMAN OWNBY: I think there's a
17 consensus of the committee to request the
18 manufacturers to rederive these, unless there's some
19 reason to consider them exceptionally onerous compared
20 to what we've heard so far.

21 DR. SLATER: Let me just ask for a slight
22 wrinkle in the discussion, if you don't mind.

23 What we have been using -- What I have
24 been using as rederivation is taking the existing
25 master and passaging it several times to get it into -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 - to make a new master.

2 What that really does -- and this is
3 something you discussed before -- is that adds several
4 dilution steps. Now it's several multi-log dilution
5 steps. So this is definitely a good step, and really
6 would -- because with each passaging there will be
7 some small amount of carryover, but this adds several
8 dilution steps to the process.

9 That is the question that I was asking.
10 There is another way to interpret rederivation, and
11 that is actually a thing, you have to go back out and
12 find new mold and find new mold isolates. I just want
13 to make it clear that that was not what I was asking
14 at this point.

15 I would like to clarify that what we are
16 talking today for rederivation is really introducing
17 several dilution steps to reduce the infectivity of
18 this starting product by several logs.

19 DR. SAXON: It would be many logs, Jay.
20 I mean, not several. If you are going to pick a
21 colony, how much contamination by the time you grow it
22 up several times is going to be huge. It's not just -
23 - You said -- I mean, 10^6 .

24 DR. SLATER: I'm comfortable with what you
25 are saying. I just want it to be clear in the record

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that this is what we are talking about.

2 DR. SLATER: It would be an enormous
3 change.

4 CHAIRMAN OWNBY: And you are going to
5 provide the manufacturers some guidance as to how many
6 steps are going to be required in this? It seems to
7 me, if I was a manufacturer, the first thing I would
8 ask is how many times do I have to repropagate this
9 before I can now say this is a certified, safe master?

10 DR. SLATER: Yes.

11 DR. SAXON: Two times will be at least a
12 millionfold.

13 DR. CLAMAN: Is this a recommendation or
14 a directive? What are you doing, talking to your
15 lawyer?

16 DR. SLATER: I am talking to my best
17 friend at the moment.

18 This will be a recommendation from the
19 committee that will be considered by us, and that we
20 will discuss and go from that recommendation from the
21 committee.

22 DR. UMETSU: Are these also organisms that
23 the ATCC carries? Is it something that, if you have
24 a derived stock, that the ATCC can supply to the
25 manufacturers?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLATER: What I didn't explain in
2 great detail when I was talking about how different
3 the different manufacturers handle this: Only some
4 manufacturers actually maintain their masters
5 internally. Many of the manufacturers get their
6 masters from outside sources.

7 In some cases, it will be the outside
8 sources that they will have to go to, to get them
9 rederived.

10 DR. UMETSU: But can those be standardized
11 in some way?

12 DR. SLATER: Well, standardized is a
13 loaded word, especially --

14 DR. UMETSU: Or certified?

15 DR. SLATER: -- as we discussed at great
16 length last year. The outside sources, the sources
17 that are used, do to a greater or lesser degree
18 certify the identity of the mold strains, if that's
19 what you are asking.

20 DR. UMETSU: Well, but now can they be
21 certified in terms of TSE?

22 DR. SLATER: Well, they will have to be.
23 That will be --

24 DR. UMETSU: So that would help in your
25 directive or recommendation to manufacturers?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SLATER: Yes.

2 CHAIRMAN OWNBY: Anymore burning comments?

3 We were directed to have 30 minutes here for public
4 comment. Bill, have we received any requests for
5 public comment?

6 DR. FREAS: I have received no responses
7 to the Federal Register announcement, but if there's
8 anybody here who would like to make a comment at this
9 time, we welcome comments from the public.

10 MS. WILLIAMSON: Can I just ask a
11 question? My name is Shirley Williamson, and I'm with
12 Holister Stier Laboratories.

13 Listening to the conversation just to add
14 a couple of points, we currently have 32 master stocks
15 that we maintain at our facility. I think we have
16 pretty much reached the conclusion that we will be
17 rederiving and have started that process.

18 At our current schedule, it will take us
19 about a year. It will take us the entire year. So
20 from our standpoint, that's what we are talking about.

21 CHAIRMAN OWNBY: Thank you. Any other
22 comments? That answered your question? Good. Any
23 others?

24 Okay, I think we can move on then with our
25 agenda. The next was the presentation on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 statistical power of clinical studies comparing
2 allergen extracts by Dr. Lachenbruch and Jay Slater.
3 Jay, you are going to introduce this?

4 DR. SLATER: Thank you. I am Jay Slater.

5 Dr. Lachenbruch is going to give the main
6 body of this discussion, but what I wanted to do was
7 I wanted to introduce his remarks, and I am actually
8 going to come back and say something briefly after his
9 remarks.

10 The source of this topic for presentation
11 to the Advisory Committee is that it has come up a
12 number of times in the last couple of years that we
13 have had bilateral discussions with manufacturers
14 about just these specific issues that we are going to
15 introduce now, and since there has been some
16 misunderstandings from the manufacturers, we wanted to
17 discuss the issue of clinical bioequivalence as fully
18 as possible in a public format for the Advisory
19 Committee's discussion as well, so that these concepts
20 could be discussed and aired.

21 I am going to give here a generic,
22 fictional example in which this kind of question comes
23 up. A manufacturer wishes to change its approved
24 production method for standardized fedweed pollen
25 extract. Fedweed is a joke, okay? I was trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think of some funny name for a pollen, and no matter
2 what funny name you think of, they are all there
3 already. So this is the only one that I could think
4 of that hadn't been taken by nature yet.

5 CBER acknowledges that existing in vitro
6 potency tests will be applicable to the new
7 preparation. After all, it's a new preparation of an
8 existing product. However, CBER requests that the
9 manufacturer demonstrate compositional similarity by
10 the parallel line bioassay.

11 Now what does this mean? Well, again we
12 are going to go back to the intradermal skin testing
13 protocol. This was the original report. It was in
14 November 1982 in JACI from Paul Turkeltaub, Dr.
15 Rastogi, Dr. Baer, Chris Anderson and Phil Normal from
16 Johns Hopkins.

17 This is the study method in which you do
18 serial dilution intradermal skin testing looking at
19 the erythema, and looking at both potency and
20 compositional similarity using this. This paper was
21 published in 1982.

22 Now about a decade later, this method was
23 incorporated into the methods of the Allergenic
24 Products Testing Laboratory which was actually
25 announced for release in an FR notice in November

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 1994. I just want to quote a small section of that
2 protocol for you to understand where the problem now
3 comes in.

4 This is part of that protocol,
5 Quantitative Intradermal Procedures for Determining
6 the Relative Potency and Compositional Differences of
7 Allergenic Extracts, quote: "At least four subjects
8 are required per assay. One or more test extracts are
9 to be compared against a reference in each subject.
10 For evaluating compositional differences, subjects
11 selectively sensitive to specific allergens in the
12 crude mix should be selected. In order to enhance the
13 detection of compositional differences, subjects can
14 be selected who differ widely, 10-10,000-fold, in
15 their allergen skin test sensitivity to the test of
16 reference extracts."

17 So according to the protocol, applied
18 correctly you can adequately look for compositional
19 differences between two products by testing four study
20 subjects.

21 DR. SAXON: Jay, what does that mean,
22 specific allergens in the crude mix?

23 DR. SLATER: Yes, because the --

24 DR. SAXON: You're going to have to know
25 their dura-p 1 -- I mean, the actual allergens in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there, because there's multiple, ragweed, you know.

2 DR. SLATER: Right. So to evaluate
3 compositional differences -- in other words, whether
4 allergen mix contains allergens A, B, and C -- you
5 need to look for individuals who are selectively
6 sensitive to those in order to detect the differences
7 among them.

8 It's hard. I mean this is hard, but the
9 problem that we've been getting hung up on is the
10 question of four study subjects.

11 Now since 1993 and 1994, FDA has evolved,
12 has refined its approach toward this issue of
13 compositional similarity. One example is in April
14 1996 a guidance document appeared concerning the
15 demonstration of comparability of human biological
16 products, including therapeutic biotechnology derived
17 products, and more recently in 1998, an international
18 conference on harmonization document appeared -- this
19 is the E-9 document that is included in your pre-
20 package -- entitled "Statistical Principles for
21 Clinical Trials."

22 One particular portion of this addresses
23 the kinds of statistical considerations that would
24 drive this kind of analysis.

25 Now before I turn the podium over to Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Lachenbruch, let me just make a brief statement about
2 what this is not about. We are not talking about lot
3 release. Lot to lot consistency within a given
4 product is established by accepted in vitro
5 equivalence testing.

6 In these examples, we are addressing the
7 bioequivalence among different products for which
8 there are proposed changes in source materials,
9 manufacturing or stabilizers or diluents.

10 In fact, one of the things that we showed
11 in our analysis of the currently available
12 standardized product is that within a standardized
13 product, there is a tremendous amount of consistency,
14 both in composition and in potency. But here we are
15 talking about situations in which the manufacturer has
16 asked to change part of the process.

17 Once you start changing either the source
18 materials or the manufacturing or the packaging or the
19 stabilizers, you have to demonstrate that the new
20 product is compositionally similar to the licensed
21 product, and that's where this discussion is coming
22 from.

23 Now I would like to introduce Dr. Tony
24 Lachenbruch who is the Director of the Division of
25 Biostatistics at CBER.

1 DR. LACHENBRUCH: Speaking as somebody who
2 went through the 1994 January 16th earthquake in Los
3 Angeles, I don't want to have another one like that.
4 It's rather exciting. I don't think I've ever been
5 quite so scared.

6 Okay. Well, in abstracting this to the
7 simplest possible situation, we are really looking at
8 -- let's say we are looking at a single response, and
9 what we are trying to say is are these similar? Are
10 the responses similar?

11 One of the most common mistakes that is
12 made, and I have made it myself, is oh, I'm going to
13 test a hypothesis that the means are the same, and
14 failing to reject that, concluding that the two
15 responses are the same. This is a problem, because
16 failing to reject, failing to see evidence of a
17 difference is not evidence of no difference.

18 So what you do is you set up your
19 hypotheses so that, when you reject it, you are forced
20 to the conclusion that they are the same. So the null
21 hypothesis is going to be a little bit difference,
22 which says the products are different by a certain
23 amount, and therein lies a tough situation.

24 So let's say we think of applying an
25 antigen to the back of a subject. The application

1 should be randomly determined, and this assumes that
2 there are no systemic effects that could muddy the
3 comparison.

4 So after a period of time, we look at the
5 size of the wheals and measure them, and we need to
6 show that the wheals are within some small limit of
7 each other. The question is, first of all, what is
8 small? That's a real headache. And what's the
9 correct method? I've already hinted at that.

10 So we might set the margin of difference
11 to be ten percent of the mean of the standard. so if
12 you typically would see a three centimeter wheal, you
13 would want it to be no more -- the differences in size
14 of wheals to be no more than .3 apart. So we are
15 setting this -- we can set the margin as a fraction or
16 sometimes we could set an absolute value for the
17 margin, which might be based on a lot of previous
18 experience. So it may not differ very much from the
19 fraction method.

20 So we are requiring the difference of the
21 means to be within a margin of zero. That is this
22 margin here. So, for example, here is our picture,
23 and we want to show that we are between -.3 and +.3.

24 The heavy lines would indicate a
25 difference in the mean level. So what we are saying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 is the heavy lines here are basically what the null
2 hypothesis is that we are either more than -- that the
3 new is different from standard by at least $+0.3$ of a
4 centimeter or -0.3 . So we want to show that the true
5 mean difference is in this lightly shaded area.

6 Well, let's supposed we found that the
7 mean difference of the pairs and their standard
8 deviation were as follows. We found the average
9 difference. This is a sample quantity. It's $.2$, and
10 we found the standard deviation of 2.5 for this
11 difference. We had 100 pairs. so we found a t was
12 0.8 .

13 Ah, okay. If we did the standard null
14 hypothesis, no rejection, we would say there's no
15 rejection. We'll conclude that these are the same.
16 However, we can always choose a small sample size and
17 find that there is -- have no power to detect a
18 difference.

19 So we said in this case, let's suppose the
20 historically known wheal size was three centimeters
21 and ten percent would be three-tenths. So we can
22 compute a 90 percent confidence interval, and note
23 that if it is entirely contained in the equivalence
24 region -- it has to lie between -0.3 and $+0.3$ -- then we
25 can conclude safely that we do have equivalence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in this case we compute a confidence
2 interval on this basis, and we see that it runs from -
3 0.215 to +0.615. So we could not conclude that the
4 new and old are equivalent.

5 So here, for example, we see it in
6 pictures. From -.3 to +.3 is our acceptance region,
7 and our observed confidence interval goes from -0.215
8 to +0.615, and they don't overlap. We can't conclude
9 equivalence in this case.

10 So either another study would have to be
11 done or the product might, in fact, need to be
12 reformulated.

13 Another method that is sometimes used, and
14 I think this is the method that is referred to in the
15 material you received -- it was proposed originally by
16 Don Sherman at CDER -- and it says let's test a joint
17 null hypothesis. The first part is that the mean
18 difference is greater than $+\delta$. Delta in this case
19 is the .3. Or that it's less than $-\delta$. That's the
20 negative .3.

21 If we reject both of those hypotheses,
22 then we conclude that the mean difference is less than
23 delta. So notice, what we are saying is the null
24 hypothesis is the difference is bigger than delta.
25 The alternative is that it's the absolute difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is less.

2 So let's just talk briefly about the
3 conclusions. In any situation when we deal with a
4 sponsor, we need to discuss the selection of the
5 margin, ten percent, an absolute figure, whatever it
6 is. This does need to come in, and I think sponsors
7 should also do themselves a favor. In case somehow we
8 don't mention it, then they should ask us what's going
9 to be okay.

10 The sample size is going to be based on a
11 null hypothesis that they aren't equivalent. You can
12 either use a confidence interval or the two one-sided
13 tests. There are lots of headaches in doing these
14 studies, because typically in a short-a-difference
15 type of thing, the null hypothesis is that they are
16 the same.

17 If you have imperfect experimental
18 treatment -- imperfect execution of your study, they
19 tend to push the means from both groups toward one
20 another; whereas, if you do that when you are looking
21 at the equivalence studies, bad execution pushes the
22 study in the wrong direction. It's favoring the
23 alternative hypothesis, and you want to be very
24 cautious about that.

25 So you should use both intent to treat and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 per protocol analyses and examine them, and you need
2 to provide evidence of trial validity, both in looking
3 at the design and in the efficacy of the control. For
4 example, if you normally saw a three centimeter wheal
5 and instead you ended up seeing a 10 centimeter wheal,
6 you would be concerned that somehow things weren't
7 behaving properly.

8 Is this the last one, Jay? You've got all
9 the other slides in there, too? Okay.

10 In your handout, I believe, there are many
11 other slides, but I wanted to stick with these 11
12 slides, because the others are basically going through
13 a calculation. So I thank you. I'm available, and
14 Jay is available to answer questions. But you are
15 going to say a few words.

16 DR. SLATER: Thank you, Tony.

17 So what I wanted to do in the next few
18 slides was just to come back to our specific example
19 and give some specific number calculations that might
20 give you an idea of what this means in terms of the
21 specific proposal. So let's go back to our fictional
22 manufacturer.

23 Based on the 1993 protocol, the
24 manufacturer proposes to enlist between four and six
25 study subjects of varying levels of sensitivity to

1 fedweed pollen, and the manufacturer says that if the
2 slopes of the two preparations are not significantly
3 different in these study subjects, the manufacturer
4 will then conclude that the two preparations are
5 compositionally similar.

6 Now as Tony has said and as I'll repeat,
7 the failure to demonstrate a difference does not mean
8 the demonstration of similarity, and that's an
9 important point to continue. But just for the
10 purposes of putting numbers in this, let's say that
11 the mean slope is about ten, and let's say that our
12 acceptable differences between slopes is about ten
13 percent or one of a difference in the slope.

14 Again, let's say that the standard
15 deviation of the slope is one. We have an alpha of
16 0.05 and a beta of 0.2. Now you will recall that Dr.
17 Lachenbruch provided you with these formulas, or were
18 those in the slides that you didn't show? Oh, okay.
19 He didn't provide you with these formulas, but these
20 formulas can help determine the significance using the
21 two one-sided test approach.

22 To give you a sense of what this approach
23 might mean in terms of the study size, what we are
24 really interested in is n , and now this specific
25 rearrangement is one that I think we have to put a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 caveat in here, that this may not be applicable in
2 terms of all of the analyses; but if you rearrange the
3 numbers that we have, we can come up with a formula
4 for n that is this one to determine the number of
5 study subjects, n, required to detect a difference,
6 delta, with a confidence of alpha and a power of one
7 minus beta using the two one-sided test approach.

8 This is one formula for n. It's 2 x the
9 Sigma-squared, which is the standard deviation, times
10 the square of the sum of the two z values over delta
11 squared. Now if you solve this for alpha of .05 and
12 a beta of 0.2 and reduce it, what you find is n is a
13 constant factor 12 times the square of Sigma over
14 delta.

15 Now, remember, Sigma is the standard
16 deviation of the test that you are using, and the
17 broader it is-- Yes, Andy?

18 DR. SAXON: A beta of .2 is an 80 percent
19 confidence of finding it, if it's there. Is that
20 right?

21 DR. SLATER: It's 80 percent power.

22 DR. SAXON: Oh, right. That's the 80
23 percent power. Okay.

24 DR. SLATER: Right. But the important
25 thing to note here is that what's important in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 determining n is the ratio of Sigma to delta, of the
2 standard deviation of your test to the acceptable
3 differences, and in fact those as the square of those
4 two.

5 So we go through some number crunching to
6 give you an idea of what we are actually talking about
7 here. Let's plug in some of the numbers. In the
8 specific example that I showed before, we -- For all
9 of these, we accept an alpha of .05 and a beta of .2.
10 These are all fairly conservative assumptions and
11 default positions.

12 Let's say that the delta is 1, and let's
13 say that the Sigma is 1. In other words, for this
14 test the acceptable difference is equal to the
15 standard deviation between the two.

16 Well, if you plug that in, it doesn't take
17 much arithmetic to show, if Sigma equals Delta, then
18 n is about 13. Now let's say that the Sigma is larger
19 than that. Let's say that the Sigma is twice what the
20 Delta is. Well, in that case Sigma over Delta is 2.
21 That squared is four, and we come up with an n of 50.

22 Well, given an acceptable delta of 1, how
23 low would your standard deviation have to be to get to
24 that four to six study subjects? The answer is you
25 have to have a pretty low Sigma. You would have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have a Sigma that was just a little bit over half of
2 what your Delta was.

3 Now, really, those are all the numbers
4 that you need to look at this, but we can actually
5 take it a step further. Let's say that we decide that
6 a Delta of ten percent is too restrictive, and makes
7 very little sense. So we are going to increase the
8 Delta to 20 percent.

9 Well, if the Sigma is 2, well, then you
10 have the same situation you have up here, and you need
11 13 study subjects.

12 Well, with a Sigma of 2, how liberal would
13 you have to be with your Delta to get down to that
14 level of n of four to five, and again you would have
15 to have a delta that was pretty substantial, 35
16 percent of what you were looking for.

17 DR. SAXON: In terms of erythema, it turns
18 out it would be 2 millimeters?

19 DR. SLATER: No. This is a slope.
20 Remember, when you're looking at compositional
21 similarity of differences, it's actually a slope. So
22 it's a ratio of the erythema over the log dose.

23 So in conclusion -- and again this is
24 something I know we've repeated a couple of times, but
25 it's important to know that the failure to demonstrate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a difference is not sufficient to demonstrate
2 equivalence.

3 Given that, for the parallel line
4 bioassay, in general, the Sigma is at least equal to
5 but greater than Delta usually, the number of study
6 subjects required to demonstrate equivalence will
7 usually exceed the four to six.

8 The bottom line is that the four to six
9 that was shown in the '93-94 document will only be
10 sufficient if it leads to a sufficiently powered
11 study, and that the subsequent documents give good
12 guidance as to how to sufficiently power the study.

13 We do ask that the Advisory Committee
14 discuss this. In particular, we request that the
15 Committee discuss CBER's current approach to clinical
16 bioequivalence studies as it applies to allergen
17 extract studies.

18 Are there any specific questions for me or
19 Dr. Lachenbruch?

20 DR. SAXON: When they did the original
21 study with Turkeltaub and Baer and Norman, did they
22 actually do a power analysis like this? Where did the
23 four to six come from? The back pocket?

24 DR. SLATER: I wouldn't say that. They
25 did a large number of these studies. They did a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of intradermal skin testing. They had a significant
2 amount of data. I don't know the basis of the choice
3 of those numbers.

4 DR. SAXON: Because today you would get
5 your grant rejected without a power analysis. You
6 couldn't get it through. So I mean, you're basically
7 saying you need to do the basic power analysis to test
8 the hypothesis. Right?

9 DR. SLATER: What we are saying is the
10 study has to be sufficiently powered to demonstrate
11 that they are not different.

12 DR. SAXON: You couldn't get a grant
13 funded today without that in your grant. You can't
14 just say I'm going to do 12 subjects. You've got to
15 have the analysis.

16 DR. SLATER: Dr. Lachenbruch?

17 DR. LACHENBRUCH: I believe Dr. Rastogi
18 was involved with this and would have done the power
19 analysis. That original study, the 1982 paper, I
20 think, was more directed toward showing a difference
21 rather than an equivalence study, because around 1982
22 was the beginning of our interest in showing no
23 difference, and then about 1987 was the Sherman paper,
24 and there's been a very, very active interest in that
25 in later years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SAXON: So you're saying the original
2 study with looking at the hypothesis being one side
3 being different could be powered with four to six.

4 DR. LACHENBRUCH: Possibly, yes.

5 DR. SAXON: But you've just shown us that
6 it won't work with --

7 DR. LACHENBRUCH: In each instance, you
8 have to go through this analysis and see where you go.

9 CHAIRMAN OWNBY: So I guess the real
10 question that you would like some guidance on is the
11 expense to manufacturers if they did bring a new
12 process of showing equivalence with a much larger
13 number of subjects than we have previously had versus
14 the concern that with such a small number of subjects
15 we might not -- we would be woefully underpowered to
16 detect differences. Is that correct?

17 DR. LACHENBRUCH: I am sorry. I didn't
18 hear the beginning of the question. I was trying to
19 answer another question over there.

20 CHAIRMAN OWNBY: Well, it seems like this
21 is trying to balance the time and expense that it
22 takes to do a study with a large number of subjects
23 versus the current practice which we think is woefully
24 underpowered to detect problems or to really establish
25 that there is bioequivalence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LACHENBRUCH: It's answering the wrong
2 question, I think. It's saying are they different
3 and, if you don't say they are different, you can't
4 conclude they are the same. Whereas, if you test are
5 they different and you reject that, you can say that
6 they are basically the same.

7 One of the things that I would point out
8 is that Dr. Slater's sample size calculations were for
9 unpaired data, whereas often, if you are painting two
10 sides of the same back, you can take account of the
11 pairing, and that will usually reduce the variance or
12 the standard deviation quite a bit. So you are then
13 less interested in saying it's within a certain tiny
14 amount, but you might be able to take a larger margin
15 relative to the standard deviation.

16 Your study will be much more sensitive,
17 because your standard deviation of the slopes would be
18 much smaller.

19 DR. SLATER: I think the key thing from my
20 point of view in terms of the discussion is that a
21 manufacturer -- and manufacturers have done this.
22 They have come to us with the '94 methods manual and
23 said, well, here we are following it A, B, C and D.

24 We simply wanted to alert the committee to
25 the changes that have happened since 1994, to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 changes in thinking that are not being, by any means,
2 specifically applied to allergenics and, in fact, you
3 know, are -- but we are definitely interested in
4 applying them to allergenics now, and we wanted to air
5 that thinking for the Committee and, if possible, get
6 some feedback from the Committee and some discussion
7 about the concepts that we have introduced here.

8 DR. UMETSU: I think that the idea of
9 proving nonequivalence versus equivalence is a very
10 clear one, and it sounds like it should be
11 implemented.

12 Could you give me an idea of the number of
13 patients or subjects it would take? Clearly, the old
14 standard was four subjects. In your estimation, how
15 many subjects would be required to prove equivalence?

16 DR. SLATER: It depends on the slope, and
17 it depends on the standard deviation, and the standard
18 deviation could easily change, depending on the
19 population that the manufacturer chooses.

20 DR. UMETSU: But are we talking about 50
21 subjects or 100 subjects?

22 DR. SLATER: Yes.

23 DR. SAXON: Do you think that is
24 reasonable?

25 DR. SLATER: I am not being evasive. Yes,

1 it could be 50. it could be 100.

2 DR. SAXON: That's the concern. It may
3 become unreasonable. How do you get 100 subjects? I
4 mean to do this, it seems unreasonable at that point.

5 DR. SLATER: Unreasonable in what sense?

6 DR. SAXON: Unreasonable to get 100
7 subjects who are -- Let me figure something that's not
8 that common -- who are saltgrass or saltbush positive,
9 and they are going to have to titer 100 people when
10 they make a change. It would certainly keep me from
11 making a change.

12 DR. SLATER: Well --

13 DR. LACHENBRUCH: Our answer may, in fact,
14 depend on the prevalence of the condition, too.

15 DR. SAXON: Prevalence isn't going to let
16 you be anymore accurate.

17 DR. LACHENBRUCH; No, but if you have a
18 condition in which you have hundreds of thousands of
19 subjects who are affected versus something that may be
20 1,000 in the country are affected, your answer may be
21 different.

22 DR. SAXON; Well, let's take even a common
23 one, cat, and you were going to change our cat thing.
24 You're going to have to take 100 subjects and do these
25 titered back responses on 100 subjects? Have you done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 100 subjects? Has anyone here? I mean, Turkeltaub
2 started this stuff, I guess. I don't know if he did,
3 but has anyone actually taken 50 or done a big study?

4 DR. SLATER: We are budgeting our
5 estimates for cockroach at about 200 study subjects
6 per American and 200 for German.

7 DR. SAXON: Two hundred?

8 DR. SLATER: That's just based on our
9 initial estimates.

10 DR. SAXON: And you're going to do it --
11 What are you going to do with the 200?

12 DR. SLATER: Well, we are going to be
13 determining the potency and the -- the relative
14 potency and the potency of different extracts. This
15 is not the same as determining compositional
16 similarity and differences, but I think in order to do
17 an adequately powered study, you need to do the
18 calculations of what the standard deviation is, and
19 you need to power it adequately. Otherwise, you just
20 can't learn the information that you are trying to
21 learn.

22 DR. UMETSU: So you estimate that it will
23 take 200 subjects?

24 DR. SLATER: I'm starting -- In fact, what
25 you need to determine is what this Sigma is for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study in the study population, and we don't really
2 have that yet. This is just a starting estimate.

3 The other point is that this wouldn't come
4 up with saltgrass. This would only come up with a
5 standardized allergen extract at this point.

6 DR. SAXON: But aren't they all going to
7 be at some point? Isn't that where we are going?

8 DR. SLATER: That is the direction.

9 DR. SAXON: It just strikes me that -- I
10 mean, I understand the science. You know, it's great
11 science, but then, you know, there's got to be a
12 better way. If you're going to come up and tell me
13 that I've got to test 100 people for cat -- and again,
14 I'm not a manufacturer, but I can't imagine they would
15 have as quality controlled people as you do.

16 I mean, they are going to be less tightly
17 controlled internally, I suspect, than your lab, Jay.
18 Your lab should be the highest quality, and it's tough.
19 I mean, hiring people, getting good people. I think
20 it's going to be --

21 DR. CLAMAN: I think so, too. I think
22 that with a larger --

23 DR. SAXON: The delta is going to be huge.

24 DR. CLAMAN: The larger the number of
25 subjects, you may be building in an extra amount of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 biological variability, and the variability won't be
2 in the patient.

3 DR. SAXON: We should -- Do the
4 manufacturers actually do this test or isn't there a
5 company that they farm it out to?

6 CHAIRMAN OWNBY: Well, isn't that, though,
7 a business decision for the company as to how to
8 approach this problem. But it seems to me there's two
9 issues we've brought up.

10 One is that under the -- that ideally
11 here, the smaller number of subjects that can be
12 achieved, the smaller your variation. Isn't that
13 true?

14 DR. LACHENBRUCH: Right.

15 CHAIRMAN OWNBY: That the smaller the
16 standard deviation, the fewer subjects you would have
17 to have.

18 DR. LACHENBRUCH: Right.

19 CHAIRMAN OWNBY: So therefore, the more
20 precisely you do the study, the more economical it
21 becomes. So that, I think, would be a good principle
22 that we would have.

23 The other thing we've talked about is
24 biological diversity, that skin testing people from
25 different ethnic groups might produce different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 results, and you would like to have a sample large
2 enough to incorporate some of that variation. With,
3 obviously, four or six subjects, that becomes very
4 difficult to do.

5 DR. CLAMAN: But one of the handouts we
6 got had some very good discussion of sequential
7 analysis. Can't you -- If you're asking whether the
8 number of subjects ought to be increased, I'd say yes,
9 that's a great idea. But that's open-ended. What do
10 you mean by increase, 100, 200?

11 DR. LACHENBRUCH: Is this one of those
12 that I handed out? I don't remember talking about
13 sequential analysis.

14 DR. CLAMAN: Well, can't you determine as
15 you go along how many you need by, let's say, doing
16 five or ten and, if the standard deviation is very
17 small, then you say to yourself that's enough?

18 DR. LACHENBRUCH: You can do something
19 like this, if it's in a formal plan. You can't do it
20 if you say, well, I'm going to start out and then I'm
21 going to look at it this time, and then I'm going to
22 look at it again. You can't do it on an ad hoc basis
23 there.

24 DR. CLAMAN: Well, I understand that. But
25 you could build it into your protocol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LACHENBRUCH: There are procedures.

2 DR. CLAMAN: Of course, there is.

3 DR. LACHENBRUCH: Sure. Yes.

4 DR. SAXON: Dennis, this is our last
5 chance here, you, me and Henry. So I would --

6 CHAIRMAN OWNBY: They will have it easy
7 after this, aren't they?

8 DR. SAXON: This is great. One thing is,
9 you know, I think Den made a great point. If you
10 could, number one, try to get the technique with
11 better precision, because that will cut your delta
12 down. The other is I think this technique started in
13 1982. I think it's time to look for another method.

14 I won't suggest one, but this has been
15 going on since 1982. It's almost 20 years later.
16 Let's find a better. We have a new Chief of Allergy
17 here now and lab. Find a better assay than this
18 method measuring wheal flares. I would strongly
19 recommend that, and I see my colleague over there
20 shaking his head yes, too.

21 DR. SLATER: I agree, and actually, this
22 method was not without controversy when it was
23 introduced. I mean, there was tremendous debate about
24 it, and it was implemented in spite of that, and unto
25 this day even, to be honest with you, my question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about biodiversity in this method, I think, I'm still
2 not satisfied with the answer, and particularly in
3 today's environment.

4 Even if we didn't think that it was
5 important, I think it's extremely important
6 politically. Be that as it may, I think it's
7 extremely important, period, and I'm not convinced
8 that it's being done, particularly in very dark
9 pigment individuals. It's just very difficult to read
10 flares, or impossible.

11 Many of these are the target population,
12 particularly cockroach. I think -- I mean, we've been
13 skirting around the issue. You know, Andy has a way
14 of hitting it. I think he's absolutely right.

15 DR. SAXON: As we end this panel, so to
16 speak, I think one of the things this Committee should
17 do is maybe get together with the FDA and get together
18 a group of people who are interested in this with the
19 Academies, whatever, under the FDA to have a go at
20 this again after 20 years.

21 Should there be a new method using
22 transgenic mice, some method? It's not going to cover
23 -- That won't cover the biodiversity, but at least it
24 will allow you to get the standardization in vivo
25 system. No one likes in vitro -- some in vivo system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but give it a day or day and a half of think tank and
2 get rid of this.

3 CHAIRMAN OWNBY: No other comments? I'm
4 not sure we've given them the answer.

5 DR. SAXON: Dennis, remember, they do
6 whatever they want, no matter what we say anyway. But
7 I think the answer is, you know -- right, Jay? Jay,
8 I think the answer that -- we all appreciate the need
9 to power studies appropriately. Every scientist knows
10 that today. Your point is very well made, right, that
11 they are underpowered.

12 They need to make -- You got to make it
13 more precise. Henry's got at least -- Then as you get
14 the deltas, you go through the study, you'll know what
15 is reasonable. But you need to find ultimately a
16 better way at this, because you are going to find ones
17 where it's 200, and manufacturers aren't going to be
18 able to do 200, are they? Not really. Probably not,
19 not accurately like you will.

20 DR. SLATER: Was that a question for me?

21 DR. SAXON: Yes. What do you think? Can
22 you imagine saying, you know, you need to do 200 cat,
23 and then they are going to have technicians who aren't
24 as good as yours, who haven't really got the --

25 DR. SLATER: Well, remember that the 1993-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 94 manual has in it a qualification step for anyone
2 performing this assay with sequential histamine skin
3 tests. So if one is following the '93-94 protocol,
4 one has already narrowed the range in terms of the
5 technical proficiency of the individuals performing
6 the test.

7 I appreciate the comment about the high
8 quality of my lab, and I can accept that without
9 further comment. But I also accept that these are
10 difficult studies to do.

11 There is, however, probably an irreducible
12 sigma associated with these kinds of measurements in
13 human skin, regardless of the pigment. That, I think,
14 is where the numbers come from, and I think the
15 numbers are driven by the imprecision in the human
16 skin response to injected allergens and by the
17 difficulty in measuring it with reproducible accuracy.
18 But we do try to get around that with the proficiency
19 testing for the technicians, which, quite frankly,
20 some people have to repeat several times before they
21 are demonstrated to be proficient.

22 It's not a trivial step. So there is sort
23 of a training step built in that, I think, tries to
24 get around that problem and tries to bring everyone up
25 to a certain proficiency level.

1 DR. SOTO-AGUILAR: Could CBER conduct the
2 studies or each manufacturing company would seek
3 patients who are very allergic to their products and
4 then go forward with their protocol?

5 DR. SLATER: I'm sorry. You are asking
6 whether this is centralized?

7 DR. SOTO-AGUILAR: You are trying to find
8 bioequivalent potency for standardization of extract.
9 Would CBER conduct the study, given products from all
10 these manufacturing companies to multi-center to
11 conduct the studies with two or three samples from
12 three different companies and your own standard, or
13 each company is going to be invited to do it on their
14 own and then send the results to CBER?

15 DR. SLATER: The model is for CBER to
16 coordinate the studies, and the data and the data
17 analysis would be done by CBER. Within our current
18 constraints CBER itself, meaning me, could only do
19 testing in Bethesda, Maryland, in an area that would
20 attract individuals from around that area.

21 It's important that we have both a
22 geographically and ethnically diverse population. So
23 at a minimum we would need to contract to other sites
24 around the country.

25 I assume you are asking now about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cockroach. Is that correct?

2 CHAIRMAN OWNBY: No. I think we've got at
3 least two different questions here that are not very
4 clear. The question is, if a manufacturer wishes to
5 change, for example, their source materials, then this
6 is entirely incumbent upon the manufacturer, isn't it,
7 to do the testing; whereas, with standardization of
8 cockroach, you are talking about coordinating it
9 through CBER.

10 DR. SLATER: Right. These are two
11 separate issues. These are unrelated issues.

12 CHAIRMAN OWNBY: It seems to me that there
13 is -- One of the things we haven't asked, we are just
14 presupposing that this is the only way to get a
15 bioequivalence, and Andy was saying, you know, can't
16 we come up with a different system? Is it worth
17 discussing that or is that just not an issue
18 currently?

19 DR. CLAMAN: I think it would be difficult
20 between now and lunch to define the new system that we
21 think ought to be put into place.

22 DR. SAXON: That is why I suggested that
23 the FDA -- someone like Jay pull together a -- You
24 know, it's an opportunity.

25 DR. CLAMAN: Do you think we need a better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 system?

2 DR. SLATER: Cockroach will be my first
3 firsthand experience with the existing system. I
4 think, as with any system, I think there are strengths
5 and weaknesses associated with it.

6 Certainly, it is a cumbersome system, and
7 certainly, our discussion today suggests --

8 DR. CLAMAN: And it may get more
9 cumbersome.

10 DR. SLATER: -- a more cumbersome than we
11 originally thought. That being said, the strength of
12 the system is that it is a clinical measure of overall
13 allergenicity and, if done properly, it can give you
14 a good mean assessment of what the consequences are of
15 injecting this material into a population -- into an
16 individual who is highly sensitive.

17 Remember that the default position is to
18 pick highly sensitive individuals for study in terms
19 of standardization. Now in terms of assessing
20 compositional similarity and differences, you are
21 supposed to take a range of sensitivities.

22 I must say, it does have a fairly
23 compelling strength, and that is that it does seem to
24 give us what the potency of a product is in an
25 allergic individual and in an allergic population.

1 But I'm very open to further discussion, both with the
2 members of the Advisory Committee and with others,
3 about alternative approaches that can be used.

4 There are weaknesses, as you all know, to
5 the purely in vitro approaches that really preclude
6 adopting them as our gold standard for allergenicity,
7 and I don't think anything Dr. Saxon said was aimed at
8 pushing that idea. Is that correct?

9 DR. SAXON: No, but I can give you one
10 idea right here. If you take the FCER or alpha
11 transgenic mice, they express FCER receptors just like
12 a human on dendritic cells. The mouse receptor is
13 knocked out. You take serum from people. You take
14 the serum from the allergic people, and you inject
15 those mice. You sensitize them to human antigen. You
16 could then skin test those mice. You can do PCA on
17 those mice.

18 The only shortcoming in that system: The
19 mice are small. We need giant mice. They don't have
20 enough skin. But I mean, conceptually we use that for
21 other things, and we use it with human IgE antibody.
22 So you could get a pool. You could have a pool of
23 human Ig serum for ragweed, and you give it to -- you
24 know, there's many things that could be done which
25 would allow an in vivo system that you could put large

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 numbers through, mice instead of people, just breed
2 them. Just an idea.

3 CHAIRMAN OWNBY: Are there any other
4 questions that FDA was interested in pertaining to
5 this?

6 DR. SLATER: Let me just clarify what I've
7 been hearing from the Committee, and please correct me
8 if I'm wrong.

9 It sounds like the Committee acknowledges
10 the validity of adequately powering studies in order
11 to demonstrate the kinds of bioequivalence that we've
12 been talking about.

13 It sounds to me like the Committee is
14 somewhat concerned about the consequences of this in
15 terms of how cumbersome the studies might be, and is
16 suggesting that we think about other appropriate
17 measures of overall allergenicity that might escape
18 some of those. But given that we haven't had this
19 workshop yet, and given that we haven't found an
20 alternative method that's been appropriately validated
21 and as a good surrogate for this testing, the
22 Committee is comfortable with the analysis that Dr.
23 Lachenbruch and I discussed.

24 DR. SAXON: I am comfortable with it, but
25 I must say, I feel some sensitivity. If this was set

1 up in 1982 -- When did it go in the Federal Register
2 the first time, '94 you said, Jay?

3 DR. SLATER: November 1994.

4 DR. SAXON: Yes. I mean, with the concept
5 that it was four to six, and then all of a sudden, you
6 come back eight years later and say, hey, guys, four
7 to six has turned into 100. It don't seem fair, to be
8 frank. It was set up, you know, by good people
9 thinking they had done a good thing, and you may turn
10 it into something burdensome. That's all. You know,
11 that's the concern.

12 DR. SOTO-AGUILAR: Could 20 individuals
13 per center be all right for a maximum of 100?

14 DR. SLATER: It's hard to answer that
15 question specifically. It would depend on how many
16 centers, how diverse the centers were from each other,
17 what the population was. I really couldn't answer
18 that specifically. But there's certainly nothing a
19 priori that says that that would not be adequate. We
20 would just have to look at how we are setting it up.

21 CHAIRMAN OWNBY: Any other comments or
22 discussion on this item? I get the distinct sense
23 there is a consensus that it's lunchtime.

24 So we will adjourn until 1:50, and we will
25 take up the cloudy issue of particulates in the

1 allergen extracts.

2 (Whereupon, the foregoing matter went off
3 the record at 12:48 p.m.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:51 p.m.)

CHAIRMAN OWNBY: We will reconvene the Committee meeting, please.

The first item on this afternoon's agenda is a discussion of particulates in allergen extracts, and Ms. Bridgewater is going to present this.

MS. BRIDGEWATER: Thanks. Okay. Good afternoon. I am going to speak to you today about a topic that you have heard about a couple of times this morning, and that is precipitates in allergenic extract.

Before I discuss the precipitates, I will briefly review the product line. There's currently 19 standardized products. The short ragweed product comes in both glycerinated and aqueous formulations. The standardized cat, grasses and mites are generally glycerinated only. There's a few exceptions. Some of the cat pelt extracts come in an aqueous form and the intradermal tests have less than 50 percent glycerine.

Standardized venoms don't enter into this. They are freeze dried with an HSH --

Now the unstandardized products account for the majority of the product line, and they come in both glycerinated and aqueous formulations. I think -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 - I was talking to one of our manufacturers this
2 morning, and I think this number is a little high
3 these days. Several of the manufacturers have cut
4 down their product line. Next slide, please.

5 So historically, precipitates have been
6 recognized for many years, and they are an intrinsic
7 phenomenon. In other words, the precipitates develop
8 within the extract itself as opposed to an external
9 contaminate which would more properly be defined as a
10 particulate.

11 Now there were early efforts by industry
12 to characterize the precipitates such as trying to
13 describe their physical appearance and look at their
14 solubility properties. Now there were also some early
15 efforts by industry to remove the precipitates.

16 An example of this would be a
17 manufacturing change, for example, a bulk settling
18 step. This is a procedure where the bulk is allowed to
19 sit for a period of time to allow the precipitant to
20 settle out of solution and then it's filtered, and the
21 manufacturing process continues.

22 There are also some early efforts in
23 formulation changes, for example, changing the
24 extraction fluids. But these were generally
25 unsuccessful.

1 So considering that I've told you that
2 precipitates were recognized for many years, how did
3 this issue arise recently? Well, the appearance of
4 precipitates were noted during several Team Biologics
5 inspections in the last few years.

6 The inspectors observed, physically
7 observed, the precipitates in bulk containers, final
8 containers and retention samples, and they were also
9 found to be a primary cause of customer complaints and
10 product returns.

11 Can we go back? Sorry. I want to point
12 out from a strictly current good manufacturing
13 practice standpoint that particulates are an
14 undesirable attribute of a parenteral product. For
15 example, the USP sets limits on particulates in
16 parenteral products, and I'm making a distinction
17 here, because the USP specifically talks about
18 particulates. It doesn't really distinguish between
19 particulates and precipitates, as I've defined them.

20 So here's some of the terms that industry
21 uses to describe the appearance of the precipitate:
22 Crystalline, flaky, cloudy, powdery, tarry, fibrous,
23 clumpy. Next slide, please.

24 Continuing, they may also describe the
25 color of the precipitate, the ability to resuspend it

1 in solution or not, the amount of precipitate at the
2 bottom of the vial or perhaps the percentage of
3 extract that contains precipitate.

4 So I'd like to show you an example of a
5 precipitated short ragweed extract. This is something
6 that was taken from our lab, and you can see here on
7 the bottom of the vial there's clearly a ring of
8 precipitate at the bottom with the clear extract
9 solution on top. Next slide.

10 This is another example from a different
11 manufacturer, and again this is a short ragweed. This
12 is aqueous. Let me point that out. You can see, this
13 is a little bit different in appearance. It's
14 cloudier, but you can still see this amount of
15 precipitate here at the bottom.

16 As these two examples illustrate, the
17 appearance can vary widely between extracts, even of
18 the same type. Next slide, please.

19 So currently the manufacturers -- we've
20 asked them to take some efforts to characterize their
21 precipitates. The first example here, microscopic
22 examination to determine what their physical
23 appearance is; sterility testing to rule out that the
24 precipitates are not a result of microbial
25 contamination; and finally, some of the manufacturers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are doing isoelectric focusing or SDS-PAGE examination
2 of the extracts.

3 What we've seen from that -- and this is
4 just very initial data -- that in some cases the
5 profiles are similar, and in some cases bands are
6 missing or lose definition. But again, these are for
7 unstandardized extracts. So the relevance is not
8 known yet.

9 DR. SAXON: Those are incredible examples.
10 I mean, I've been around a long time. I have never
11 seen a vial that had even that ring at the bottom.
12 That other one looked like 80 percent flocculent
13 material.

14 Do you have any kind of -- I mean, every
15 vial has a little bit of dust, if you shake it. Do
16 you have any idea of like a range of what's really
17 been seen? I mean, so we can get all our ideas around
18 what are we talking about.

19 MS. BRIDGEWATER: Well, yes, that's a good
20 question. The short ragweed is by far the west
21 offender.

22 DR. SAXON; At what concentration, for
23 example? When you say is this something at the
24 highest concentration that might be sent out or is
25 this something that we would never see? Do you know?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BRIDGEWATER: No. I mean, this is a
2 standard short ragweed extract. That's a final
3 container vial. So that's how it could be shipped.

4 DR. SAXON: Incredible. Has anyone ever
5 seen -- Dennis, have you seen any?

6 CHAIRMAN OWNBY: Yes, I think running a
7 large extract lab, you'll see these occasionally. The
8 question I would have on some of these -- I've seen
9 some that look about as bad as that vial. Though once
10 you bring them to room temperature, it all disappears.

11 Some of these seem to be very temperature
12 labile. Some of them aren't.

13 DR. SAXON; I've just never seen any. I
14 mean, I see lots of them a little bit, you know.
15 Actually, it's like a cryocrit. You know, what
16 percent is -- But that one was incredible. Okay.

17 MS. BRIDGEWATER: Well, I think it really
18 varies. In some extracts you will only see a few
19 flakes in them perhaps, but the short ragweed, like I
20 said, is the worst offender.

21 DR. LEHRER: Just to pursue that, is this
22 -- do these occur after a certain period of time? Do
23 they occur immediately, and do you see similar amounts
24 with the same preparations or does that vary?

25 MS. BRIDGEWATER: That is probably a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 better question for one of the manufacturers. But,
2 Shirley, did you want to address that? I mean, I
3 think we're going to get to some of that and, if I
4 don't answer your question, remind me. Next slide,
5 please.

6 Where am I? Okay. So here's some --
7 There's also some current industry efforts to
8 characterize the precipitates in terms of, for
9 example, looking at the potency of the short ragweed,
10 which we just saw, looking at the potency of the final
11 container extract to the initial value to see if there
12 is a change in potency, and for the nonstandardized
13 extracts looking at the protein nitrogen unit pH and
14 phenol also over the shelf life of the product to see
15 what the effect is. Next slide, please.

16 So now I'd like to show you some
17 preliminary data that we've gotten. As I said before,
18 with the exception of the short ragweed, all the
19 precipitated extracts are nonstandardized.

20 So we have to look at some of the other
21 attributes, and these are some preliminary data that
22 we've gotten from manufacturers. So you can see from
23 the first example the penicillium extract, the
24 comparison between the release and retest of the
25 precipitated extract. There's a decrease in the pH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and the PNU.

2 Then if you look at another example, here
3 this three-weed mix, there's actually an increase in
4 the pH and the PNU. In some cases -- and I picked
5 this example specifically -- the pH dropped actually
6 to where it was out of specs -- excuse me, out of
7 specifications, and that's why this is in red. But
8 again -- and here in this final example, you have a
9 decrease in the pH but an actual increase in the PNU.

10 So at this point, we don't have a clear
11 picture of what's happening, and we don't have enough
12 data to really say with certainty what the long term
13 effects are. Next slide, please.

14 So here are some data on potency of
15 standardized short ragweed before and after
16 precipitation. These data were taken from pooled
17 datasets, two sets of 19 lots each.

18 You can see in this example that for lots
19 A, B and C there was a decrease in the antigen E,
20 which is what we measure for potency, while in the
21 last example -- this is D -- there was an increase.

22 DR. SAXON: What is pre and post, pre what
23 and post what?

24 MS. BRIDGEWATER: Pre and post is -- and
25 again this is how the manufacturer sent it to us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SAXON: Oh, I see. So the
2 manufacturer did this test?

3 MS. BRIDGEWATER: Right. So the pre is
4 before, I guess, the precipitate either occurred or
5 was removed. That was the value before, and this is
6 the value after.

7 DR. SAXON: Okay. I thought you did it.

8 MS. BRIDGEWATER: No, sorry. Let me point
9 out, this is just a snapshot in time also. This is
10 not over the whole shelf life of the product.

11 So when we looked at the whole dataset of
12 all 38 values, we did notice that there was a five
13 percent drop in antigen E potency, but the difference
14 was not statistically significant.

15 DR. CLAMAN: These are four different lots
16 from four different manufacturers?

17 MS. BRIDGEWATER: No. These are actually
18 from the same manufacturer, but they are four
19 different lots.

20 DR. CLAMAN: So I don't see how you can
21 say it's not statistically -- I mean, it's not fair to
22 pool them.

23 MS. BRIDGEWATER: These are just -- Well,
24 would you repeat that?

25 DR. CLAMAN: Well, in Lot C you've got a

1 drop of 30 percent.

2 DR. SAXON: An increase.

3 DR. CLAMAN: I'm sorry. No, see, you've
4 got a decrease of 30 percent.

5 DR. SAXON: 113 percent.

6 DR. CLAMAN: No, that's a number.

7 MS. BRIDGEWATER: Sorry. That's just the
8 absolute. That's not the absolute. That's the value,
9 the antigen E value. That's not a percentage.

10 DR. CLAMAN: So I don't think it's fair to
11 pool them and say there was no significant change.

12 MS. BRIDGEWATER: Jay, did you want to
13 respond to that?

14 DR. SLATER: I think Dr. Claman is saying
15 that he thinks there are significant changes. But on
16 the other hand, this is just four lots. We need to
17 actually look at more.

18 MS. BRIDGEWATER: Right. And these are
19 four lots that came from 38 values. So it's not a
20 complete picture, obviously. I can't put all 38 up
21 here.

22 CHAIRMAN OWNBY: Well, it really depends
23 on what we're talking about as a significant change.
24 I think Henry's point is that for extract C it looks
25 like there's certainly a significant loss of potency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in that particular lot, whereas overall you haven't
2 achieved statistical significance across all those
3 lots.

4 DR. SLATER: Well, I think that's correct,
5 and I think, you know, there are a couple of different
6 ways to analyze it, and that is how many lots lose a
7 significant amount of potency. That's a different way
8 of analyzing it.

9 MS. BRIDGEWATER: So what's our current
10 state of knowledge about precipitates in extracts?
11 Well, generally we can say that the aqueous extracts
12 precipitate far more than their glycerinated
13 counterparts. As you saw from those pictures, the
14 aqueous short ragweed commonly precipitates.

15 We know from data we have collected on
16 inspection that precipitates are a primary cause of
17 physician complaints, particularly as a visual
18 appearance complaint, and product returns.

19 They do not appear to be caused by
20 microbial contamination. On this last point, I think
21 this is something we heard from industry, actually,
22 that the extraction ratio or the concentration of the
23 extracts and possibly the phenol may contribute to the
24 precipitation problem. Next slide, please.

25 So here's what our current knowledge gaps

1 are, and these are areas in which we would really like
2 to develop some additional data: The physicochemical
3 composition of the precipitates themselves; the long
4 term effect on the potency of the extracts, and again
5 we are only talking about short ragweed here.

6 Here's an area that we could really use
7 some discussion on: How precipitated extracts are
8 treated in clinical practice? Are they recognized by
9 the people that are administering the shot, and how
10 are they treated? Are they administered as is? Are
11 they decanted and administered or just returned?

12 Continuing: Is there a higher risk for
13 adverse events if the precipitate is injected? Now we
14 need to remember, most of our adverse events, of
15 course, come in via the MedWatch system, which is
16 voluntary for physicians and passive. In fact, we
17 receive a very limited number of adverse event reports
18 on allergenic extracts, considering the total number
19 of shots that are administered per year.

20 We need to remember also that, because
21 they are passively collected, they can't be used to
22 link cause and effect. Rather, the MedWatch system is
23 used as a signal system to identify medical events or
24 areas that need to be actively studied.

25 Finally, this is a problem frequently for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 allergenic extracts. The information submitted is
2 usually incomplete. Many times you don't even have
3 identification of the manufacturer of the product or
4 the lot numbers.

5 So the current research area that industry
6 is undergoing at the moment: Again, physicochemical
7 composition of the precipitate; some potency
8 instability studies of precipitated standardized; and
9 evaluation of pH, phenol and PNU of precipitated
10 unstandardized extracts over the shelf life of the
11 product.

12 So our current regulatory position with
13 respect to precipitated extracts is we have asked the
14 manufacturers not to ship final containers in which
15 the precipitates are visible. We have asked them to
16 develop an in-house quality control program to
17 identify and describe the precipitates, validation of
18 any reprocessing procedures that they may perform to
19 remove the precipitate, and right now we are also
20 working on modification of the labeling to address
21 this issue.

22 Finally, as Mary Malarkey said earlier
23 this morning, we've asked them to submit the
24 biological product deviation reports, formerly known
25 as error and accident reports, on all precipitated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lots to CBER.

2 Before we move on to the discussion and to
3 the next presentation, let me just summarize the
4 issues. Again, we do know that precipitates occur in
5 allergenic extracts. We know that the aqueous
6 extracts precipitate more than the glycerinated
7 extracts, and following that, almost all of the
8 precipitated extracts are for unstandardized products.

9 We do have some knowledge gaps on
10 precipitated products, and we are collaborating with
11 industry to fill these gaps. Really, in the absence
12 of data, we've tried to take a prudent regulatory
13 approach to this.

14 My next slide is the committee discussion
15 points. I wonder -- It might be better if we hear
16 from industry's perspective first before we come back
17 to this. Shirley?

18 CHAIRMAN OWNBY: Any burning questions,
19 since we asked Ms. Williamson to present a discussion
20 from the APMA point of view? Okay.

21 MS. WILLIAMSON: Again, I think I
22 introduced myself a little bit earlier, but I'm
23 Shirley Williamson, and I'm representing actually the
24 Allergen Products Manufacturers Association as the
25 president of that organization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'd like to take this opportunity to thank
2 Dr. Slater and whoever else was involved for giving us
3 this opportunity to make this presentation.

4 The first thing, I want to start by
5 saying, as I go through this, you will be sitting
6 there thinking, well, wait a minute, I just heard
7 this. I want to tell you from the outset, Jennifer
8 and I did not know what each other was going to say in
9 our presentations.

10 You will probably also figure that, if we
11 had collaborated, we would have done a better job of
12 not repeating each other. But I think it does point
13 to the fact that, in fact, industry and CBER, FDA
14 personnel are having discussions about this, working
15 together, and I think this will show we are pretty
16 much working with the same information base as a
17 starting point.

18 Precipitation for our definition, as
19 Jennifer pointed out, in allergenic extracts refers to
20 the phenomena whereby intrinsic and initially soluble
21 components from the solution become insoluble and form
22 a visibly evident sediment over time.

23 This contrast was what we refer to as a
24 particulate, which is an extrinsic particle that is
25 present in the solution, generally, I think, of like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.neargross.com

1 a fiber, a piece of paper fiber, a small glass
2 particle, something like that being a particulate.

3 The history, another review of history:
4 Precipitation has been recognized by the industry as
5 product characteristic for over 30 years. The reason
6 I picked 30 years is that, actually, within the
7 industry there are a couple of individuals that have
8 been with their companies for 30 years, and they
9 remember precipitate being a problem when they started
10 their careers. It probably existed long before that,
11 but that's why we kind of picked the 30 year time
12 point.

13 Over these years, manufacturers have
14 attempted to characterize or solve the problem of
15 precipitate with very limited progress and even less
16 success.

17 As you heard earlier, precipitation was
18 raised to a compliance level beginning with the
19 observations that were made on the 483 Forms presented
20 to several manufacturers during their inspections.
21 Resulting from this and concern about what was
22 happening, representatives from several of the
23 manufacturers met with CBER personnel in October of
24 200 to discuss the issues that were involved.

25 Types of products: Again, you have heard

1 some of this, but essentially all types of allergenic
2 extracts reportedly will form precipitate. However, we
3 do tend to see precipitation most commonly in the
4 aqueous or the non-glycerinated extracts, more highly
5 concentrated extracts, the one to 10, the one to 20,
6 40,000 PNU. But it's also been observed and reported
7 in more dilute forms.

8 Many pollen extracts will form a
9 precipitate, but it has been noted by some
10 manufacturers anyway that genera do seem to be more
11 prone than others.

12 Visual appearance: I think Jennifer
13 actually found one more or two more categories than I
14 did, but the precipitate appearance will vary
15 oftentimes, depending upon the product. In some cases
16 it is described as filamentous or like small fibers,
17 stringlike particles in the extract; granular,
18 sandlike, little particles that tend to more settle on
19 the bottom; crystalline which are more angular, and
20 they are generally clear and often they will actually
21 be suspended within the extract; cloudy, which is
22 diffused rather than distinct particles with kind of
23 a hazy appearance; flaky, flat pieces, often have some
24 color or sometimes it's a film, either at the top of
25 the extract or on the bottom.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 An interesting thing that has been
2 observed by most manufacturers is that precipitate may
3 appear in one lot of product manufactured from a given
4 lot of source material, and may not be seen in another
5 lot of product manufactured from that same lot of
6 source material, but manufactured under essentially
7 the same manufacturing conditions.

8 DR. SAXON: If you get it one lot, you get
9 it in all the vials, more or less.

10 DR. WILLIAMSON: Yes. Now you will see it
11 develop faster. I mean, for some reason, you might
12 see it in one vial and --

13 DR. SAXON: You might get 1,000 vials, and
14 then you -- and lot -- they will have it, and then the
15 next run-through may not, you are saying?

16 DR. WILLIAMSON: Correct. One lot of
17 source material may result in several lots of extract
18 that don't form a precipitate, but the next lot of
19 source material, those extracts may.

20 I think what is important with this is the
21 description, the varied appearance that the
22 precipitate will take on, that sometimes you see it,
23 sometimes you don't, tells me more than probably
24 anything else that -- at least my belief, and I think
25 shared by many of us in the industry -- is it's not a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 single phenomenon. There's not a single causative
2 factor involved here.

3 So that makes the attempt to solve the
4 problem much more difficult, because it probably is a
5 multitude of causative agents.

6 So characterization analysis of the
7 precipitate: Again, as Jennifer pointed out, one of
8 the things the manufacturers have done is examine
9 these for any relationship to microbial contamination,
10 that being our first and foremost concern.

11 Some manufacturers use just a microscopic
12 exam of the material. Others actually do sterility
13 tests. But in no instance has a manufacturer reported
14 an association of the precipitate with microbial
15 contamination. It's not to say you couldn't have a
16 precipitated extract become contaminated, but when we
17 have done this direct look-see, it is not microbial
18 contamination.

19 Some of the major difficulties in
20 characterizing these precipitates result from the fact
21 that, even though -- and you saw the example there
22 that Jennifer had of this gross amount. When you try
23 to collect that material, you are actually surprised
24 at how little you get to be able to do anything with.

25 Then even if you were able to collect an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 amount of this, obviously, you want the precipitate
2 clean if you are going to do any characterization
3 studies because if it is, quote, "contaminated" with
4 the extract, you may be reaching a wrong conclusion.
5 You may say, oh, it contains protein, and in fact you
6 are looking or seeing the protein from the extract.

7 A number of manufacturers that have
8 attempted to do this have actually found that as you
9 try to clean the extract to -- or the precipitate to
10 remove it from the extract, it redissolves in your
11 cleaning solvent. Then there you are.

12 A few limited characterization results
13 have been obtained. What little data there are
14 suggest that the precipitates consist of chemicals
15 derived from the source material, such as some
16 polyphenols or flavinoids that will agglomerate
17 proteins or agglomerated protein carbohydrates that
18 form sediment.

19 In one instance, it was reported that some
20 crystalline appearing precipitate was identified as
21 calcium oxalate. But that pretty much sums it up.
22 There is very little data.

23 So again a concern for us is what is the
24 effect of precipitate on the suitability of use of
25 these products. Foremost in all of our minds, be it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the industry, be it the FDA, be it the consumer, is
2 the safety.

3 Again, no controlled studies have been
4 performed, and I'm not even sure exactly how you would
5 want or could do them. But none of the manufacturers
6 have reported any adverse events or patient safety
7 issues associated with the presence of precipitate in
8 extracts.

9 Jennifer pointed out very clearly in what
10 she said about this that it's not a very good system
11 for saying there is no problem, because oftentimes
12 again the reports are coming from physicians' offices.
13 They may not even be paying attention to the visual
14 characteristics of the extract or in some cases I may
15 be more concerned about the extract that I was using
16 prior to the one that caused the adverse event. That
17 may be where the problem was.

18 So the point of this is just that we don't
19 have any evidence that says there is a safety issue,
20 but we also acknowledge that the database here is also
21 quite limited.

22 We have also looked at the potency
23 composition and protein content, as Jennifer
24 mentioned. At the meeting in October, there were some
25 data presented, and there's been a little more data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 generated since then.

2 This again just reiterates -- This
3 information was presented at this meeting in October.
4 Then go to the next one. Standardized products,
5 obviously, would be the best ones to kind of give us
6 a better handle on what is happening to these extracts
7 as they precipitate.

8 The ironic thing is it's the standardized
9 extracts, at least the grasses and the mites, seem to
10 be the least likely to precipitate. However, one
11 manufacturer did have two lots of Timothy that had
12 precipitated some one to two years after the date of
13 manufacture.

14 They took a look at the ELISA potency.
15 This was at the time of release. This was -- They
16 reassayed the extract with the precipitate still
17 present. They assayed the supernatant after they
18 centrifuged it to remove the precipitate, and they
19 also assayed the supernatant when the precipitate just
20 settled by gravity.

21 Again, you can talk and are these numbers
22 different or not. However, all of these values do
23 fall within the limit for labeled potency that these
24 products were labeled at.

25 Then there's some data on nonstandardized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com