

1 those checklists were indeed filled out for every
2 patient registered. So we considered that perhaps
3 there seems to be confusion about what we mean by
4 monitoring the conduct of the protocol versus
5 implementing the protocol and getting the procedures
6 in place before the first patient is enrolled.

7 And that's what I mean about frequent
8 confusion regarding the distinction between those
9 procedures to start the protocol versus adhering to
10 the protocol as written which is something that goes
11 on as patients are being enrolled in regular review.

12 Next. After we clarified what we were
13 looking for in much more explicit detail, the
14 subsequent series of letters and communications we've
15 received to the INDs indicate that, in fact, there are
16 very few deficiencies in terms of the programs which
17 are described in their ability to actually meet all
18 elements of good clinical practices. The deficiencies
19 that did exist were few, but they included both issues
20 of procedures and description of organizational
21 structure or staffing so that what I will describe to
22 you in the second and sometimes third rounds of
23 communication between the FDA and the IND sponsors,
24 the kinds of things that people still seem to have
25 trouble making sure that their monitoring program has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in place.

2 Next slide.

3 DR. SALOMON: Patricia, may I interrupt
4 for just a --

5 DR. KEEGAN: Sure.

6 DR. SALOMON: I guess what's really
7 bugging me right now is the -- maybe I don't have this
8 right. But what I'm looking at here is that were 20
9 -- you sent out this letter.

10 DR. KEEGAN: Yes.

11 DR. SALOMON: And 26 INDS covering 64
12 protocols were reviewed.

13 DR. KEEGAN: No.

14 DR. SALOMON: And then you sent out a
15 subsequent thing. This is a whole year.

16 DR. KEEGAN: Yes.

17 DR. SALOMON: And after a whole year there
18 are still 106 INDS that are active with insufficient
19 information to assess the monitoring program.

20 DR. KEEGAN: Uh-huh.

21 DR. SALOMON: And 32 new INDS have been
22 submitted and 16 of them are active with some attempt
23 to address the March 6th letter. I guess when you go
24 back a slide and you say there were very few
25 deficiencies, are we talking about then this small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 subset of 26 that you can evaluate because you've
2 still got four times that many that you haven't got
3 information back yet.

4 DR. KEEGAN: What I'm talking about is
5 yes, on the 26 and in addition some of the 106 were
6 still going through it, but on the second review of
7 the responses which again we haven't collated in full
8 detail, so I couldn't give you the numbers on that.
9 On the second time around, people usually get it, but
10 I can't give you the exact number where we've gone
11 through and ascertained that everything is absolute
12 and complete, other than for the first round, but on
13 the second round we generally have.

14 DR. SALOMON: Okay, I hated to interrupt
15 you, but just for me to be processing what you're
16 presenting, we're talking about a study that's not
17 complete yet, that you have maybe 25 percent or 30
18 percent maybe by now, I'm just guessing, close to that
19 and based on that 30 percent, you're giving us some
20 feedback.

21 DR. KEEGAN: Right.

22 DR. SALOMON: So all these statements
23 about there aren't that many deficiencies, etcetera is
24 based on this subset of total -- then I can sit back
25 and --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 MS. LAWTON: Can I just comment on that
2 though because I understand that you're also providing
3 us feedback on the additional INDs that you've had
4 answers on the second round which -- so it brings it
5 higher than percentage. It's not just the 26 INDs.
6 It's the others in addition to that.

7 DR. KEEGAN: It's the others, but I can't
8 give you a firm number for that. This is basically in
9 discussions with the staff. Like I said, when we sort
10 of closed it out and put it officially in our database
11 as where the review stands, then I'll have better
12 numbers, but it's in terms of trying to do that.
13 Again, as regards to process, you should recall that
14 the March 6 letter gave sponsors up to three months to
15 respond. The number of responses that we got prior to
16 June was a handful. I'm estimating less than 10. So
17 most people waited until the last second. Many of
18 those people, I should say that there were a number of
19 people who didn't even respond to that, so we had to
20 send out a second letter, basically putting people on
21 notice that if they didn't do something, we would put
22 their INDs on hold. So by the time we had information
23 in to begin our review, it was really the summer of
24 2000. So it's taken a while to get through the number
25 of INDs and protocols. So I think it's just the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 process of getting through this and giving you numbers
2 is the issue and I'm supplementing it by the flavor of
3 the responses on the second round for which I don't
4 have solid numbers.

5 DR. SALOMON: Just for the record I in no
6 way mean to criticize presenting preliminary data. We
7 do that every week in my lab. I wanted to make sure
8 that I was sitting here listening with the appropriate
9 context.

10 DR. SIEGEL: Let me put this in context
11 because it's, I think, a little less preliminary than
12 you may think. I hope so because we're talking about
13 thousands of hours of reviewer time to generate it.

14 The Agency and I'm not talking about just
15 gene therapy or just biologics, but the Agency as a
16 whole has always required that clinical trials be
17 monitored and that there be QA and QC, that there be
18 assurance that there's good clinical practices in
19 following the protocol. That's a sponsor's
20 responsibility and periodically either for cause, but
21 most commonly at the time of licensing, we inspect to
22 ensure that that, in fact, the trial had been
23 adequately monitored, or more importantly we judge the
24 success of the monitoring by ensuring that the
25 documentation do support the fact that the data are of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 high quality and that the patient's welfare and rights
2 were appropriately protected.

3 What we have not done and again, I'm
4 speaking Agency-wide, what is pioneering about this
5 effort is we have not asked sponsors to tell us up
6 front for our review how they go about doing that and
7 have not reviewed those activities, rather we've
8 trusted that they do okay and then post hoc at the end
9 when they come in for licensure, we inspect to ensure
10 that we can trust the data and also again, checking
11 for patient protection.

12 As many of us, Dr. Zoon and myself sat in
13 discussions with senior officials at NIH and at the
14 Department and in the period of the winter of 1999 and
15 2000 and looking at some of the things that we had
16 discovered at some of these inspections and some of
17 the concerns that were being read and also the loss or
18 significant loss of public confidence in the ability
19 of medical researchers to protect patient safety and
20 welfare and rights, particularly potentially in the
21 area of gene therapy, we began to look at what could
22 be done to better assess the situation and better
23 determine where the problems were, improve the status
24 of events and also potentially if appropriate, restore
25 public confidence.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 So this approach of asking sponsors to
2 describe their monitoring techniques represents, if
3 you will, a pilot effort, something the Agency has not
4 engaged in before to any significant extent. We did
5 require it, but on the other hand, recognizing the
6 novelty of this and the difficulties of responding as
7 well as reviewing to these data, we implemented it
8 with a certain amount of flexibility. We were asking
9 for a lot of data and then we asked for it in a two to
10 three page summary. We weren't highly specific and I
11 suppose aside from the fact that we had good reason to
12 expect something better than we got, we also had good
13 reason to expect that we didn't know exactly what we
14 were asking for and that sponsors didn't know exactly
15 what to provide, simply because of the nature that
16 this was something new and we were -- so what
17 developed was an interactive process to get at what we
18 felt would be the most important information to know
19 and what sponsors and the most important thing for
20 sponsors to do.

21 Now part of what we discovered is that
22 there were a subset of sponsors just as we discussed
23 somewhat about academic sponsors involved in the
24 manufacture of products this morning that some
25 academic investigators involved in the conduct of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 clinical trials, the concept of quality assurance and
2 quality control and independent oversight of their
3 activities was a relatively new concept which isn't to
4 say that they weren't doing good clinical trials or
5 safe trials or protecting patients, but the concept of
6 independent oversight and documentation in some of
7 these same principles which is what we have
8 traditionally looked to for assurances that that
9 happens, was relatively new and so the answers we got
10 back, I'll make a long story short, but the answers we
11 got back to the initial round of questions, as you'll
12 hear more of soon, reflected a broad range of to some
13 extent lack of clarity on our part, but also of just
14 not understanding what the issue was. You know,
15 quality assurance, I thought that was the FDA's job or
16 the IRB's job or something like that. And so we've
17 got that -- if we didn't get back substantive and
18 workable and reassuring responses on people within a
19 couple months of the three months' deadline, there
20 were clinical holds. So there should be no suggestion
21 here that three quarters of the people haven't
22 responded a year later and they're still conducting
23 trials. That's not what's happened. But what has
24 happened is first of all a lot of their responses
25 indicated that they were describing systems that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 they've had implemented since receiving the letter or
2 since the headlines in gene therapy, so a lot of this
3 involved implementation of new systems to ensure
4 quality control and a lot of it involved -- well, they
5 respond, but they maybe missed some points we were
6 interested in and we'd get back to them and say we
7 really want to hear more about how you're doing this
8 and so forth.

9 So to say three quarters is incomplete is
10 true. On the other hand, there's been 100 percent
11 review of these responses and those trials that are
12 on-going are in a position that we're comfortable with
13 where they are.

14 DR. SALOMON: Okay, without any further
15 discussion -- I appreciate that clarification. We'll
16 get back to that because I have some questions on that
17 and I think Ed had a comment. If you'll accept my
18 apologies then for interrupting, Patricia.

19 DR. KEEGAN: My concern is just that I
20 hesitate to give numbers where I don't have firm
21 numbers on some of these issues. But at any rate,
22 those areas where we found that again some of the
23 plans on more detailed failed work, I'm sorry -- go
24 back a slide. Go ahead. All right.

25 This is actually a summary of the
NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 description of the monitoring procedures that were
2 described there. We found that there was a lot of
3 variability across the board that monitoring visits
4 might vary from weekly to annual, that monitoring
5 visits in some instances were not tied to the
6 calendar, but were tied to patient accrual. That was
7 a relatively uncommon situation. More often it was
8 really tied to a calendar. That the proportion of
9 patients' records that were reviewed and verified for
10 accuracy also ranged, and it was variable. It ranged
11 from 10 to 100 percent. Again, in some instances it
12 also varied by the phase of the study or the size of
13 the study.

14 Next slide. In terms of the concerns that
15 we had where people still needed to do a little bit
16 more work, there were failures. Probably the most
17 frequent was failure to describe actually the
18 individual who was responsible for directing the
19 investigational drug product to make clear whose job
20 that was. Sometimes there was also a failure to put
21 in details about the procedure itself. Failure to
22 describe the procedure for removal of investigators
23 who failed to adhere to the protocol is written in the
24 GCPs.

25 Next. No procedure described to ensure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that the modifications were reported to the FDA.
2 Again, not that it may not be happening, but that they
3 didn't describe the procedure. No procedure to
4 describe for verification the study information
5 against the source documents or for how they maintain
6 the study records and not providing a procedure to
7 ensure that the safety reports are filed to the IND.
8 This last one is the only one that raised just a
9 little bit of concern in that that was one of the few
10 where it wasn't simply a lack of information, but
11 where there was some -- in some instances some
12 misconceptions on the part of the investigator, that
13 if they filed it to the IRB, the IRB would send it to
14 us. Or if they put it to MedWatch, it would end up in
15 their IND. And in those instances we did make sure
16 that people were contacted and understood that they
17 had it wrong and what they needed to do to correct
18 that immediately.

19 In terms of the clinical monitoring staff,
20 again, a variety of arrangements that this basically
21 covers the waterfront here. Frequently, particularly
22 if you're a sponsor-investigator, it's a research
23 nurse or team of nurses who report to the
24 investigator. Also, at academic sites and this seems
25 to be a relatively recent phenomenon that many sites

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 now have a clinical site team that reports to some
2 individual at that study site, for instance, an
3 administrator, and that they perform a service for
4 investigators at that site to do monitoring and
5 auditing, that there's monitoring staff that's
6 employed directly by a commercial industry sponsor,
7 that there are contract research organizations which
8 perform this either for sponsor investigators or
9 commercial sponsors or sometimes it will be a
10 combination of the above, particularly again for the
11 smaller biotech companies or even for larger biotech
12 companies that they will have their own staff and it
13 sometimes also employed the services of a CRO.

14 Next slide. In terms of training and
15 qualification of the monitoring staff, this seems to
16 be fulfilled primarily by training as a health
17 professional. In some instances commercial sponsors
18 and CROs also have developed their own predominantly
19 on-site separate training programs for the individuals
20 who do monitoring for them.

21 Next slide. The concerns in the clinical
22 monitoring program that rose to our review that are --
23 and again, this is a rare instance. I think there's
24 actually a very limited number of sponsors, I want to
25 say or one or two, who transferred monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 obligations to the CRO, but failed to maintain a copy,
2 so they weren't able to give us much in the way of a
3 summary of the CRO's procedures for fulfilling the
4 obligations. However, they did verify that they had
5 reviewed those procedures at the time of the contract
6 and felt that they fulfilled all their criteria for
7 monitoring.

8 And the other which I believe you've heard
9 about before is the fact that there are sponsor
10 investigators directly supervising the monitoring
11 staff which raises concerns about the ability of a
12 monitor to implement corrective action for somebody
13 who is her direct supervisor.

14 Next slide. In terms of commercial
15 sponsors, again, we found that there's been a problem
16 very limited, but a few commercial sponsors who have
17 acquired other industry-sponsored or academic programs
18 where there wasn't any details about monitoring and
19 they don't really have much information about studies
20 conducted prior to their acquisition of the studies
21 and that raised a whole other set of questions about
22 how much background work they needed to do to
23 investigate particularly older studies.

24 In terms of the impression, and again,
25 this is for the 200 INDs which we have, at least,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 preliminarily looked at and had discussions, most of
2 the sponsors have staff identified to perform
3 monitoring and auditing. There is a variable
4 frequency of monitoring and a variable amount or
5 extent of data verification, how many search records
6 are evaluated, how many patients' records of the
7 proportion to patients in a trial. And again,
8 variable degree of independence between the clinical
9 monitors and the investigators.

10 The impact of the variations in the
11 conduct and organizational structure of the monitoring
12 programs on adherence to GMPs is not clear from our
13 review. We don't know if it matters, exactly, whether
14 the frequency or certain types of programs make a
15 difference. It is clear where we have specifically
16 asked and received a response that there are a number
17 of sponsors who have augmented and approved their
18 programs in the past two years.

19 Next slide. With regards to the
20 preclinical and this will be much briefer. There are
21 135 INDs where the response has been reviewed and
22 deemed to be completely adequate. In 119, the
23 sponsors verified that all safety information had been
24 submitted. For 14, the sponsors actually supplied
25 some additional information and in some instances it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 was just publications of previously reported
2 information and in others it was actually new
3 information that we had not seen.

4 There are two sponsors which have
5 summarized -- it's actually one sponsors with two INDS
6 who summarized additional information, but hasn't
7 provided the raw details and they have been asked and
8 have verified that they will be supplying that
9 shortly.

10 There are 39 INDS or master files where
11 there's the responses were incomplete and they've been
12 asked to clarify what exactly they meant by their
13 response. The most common was well, it's not
14 applicable to my file and we didn't often know what
15 precisely they meant by that, meaning it's not
16 applicable because I did it or it's not applicable
17 because I don't have any animal studies or what, so we
18 have asked for additional information and there are 16
19 that remain where I don't have the results of the
20 review yet, where they're under review and I'm not
21 sure if they were adequate or what the actual outcome
22 was.

23 The majority of sponsors appear to be in
24 compliance with the applicable regulations for
25 submission of the animal safety studies and the only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 question raised by reviewers was the ones where
2 sponsors said all our information is contained in our
3 cross reference file and it was sort of a
4 parenthetical by our staff that they were not certain
5 to what extent all IND sponsors are completely aware
6 of everything that's contained in the master file.
7 They certainly don't have any right to be aware of
8 everything and so on occasion our response is we hope
9 that you have that in writing, that you'll be aware
10 and have that in confirmation that all animal studies
11 are being appropriately reported.

12 Next slide. That's it.

13 DR. SALOMON: Excellent. Then I'd like to
14 go forward without any more discussion to Dr.
15 Salewski, Chief of the Bioresearch Monitoring Branch
16 who is going to talk about the exact overview of the
17 subset centers that we've done on site. And then we
18 have a series of questions that I think are clearly
19 extraordinarily important to this discussion this
20 afternoon.

21 MR. SALEWSKI: When I was asked to present
22 to this advisory committee I asked to see the roster
23 of the members and I didn't recognize anybody's name,
24 so I decided a brief overview of the Bioresearch
25 Monitoring Program might be helpful to everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 involved.

2 The purpose of the Bioresearch Monitoring
3 Program is to ensure the integrity and quality of the
4 data that's submitted to the Agency in support of a
5 marketing permit. That includes INDs, NDAs, IDEs and
6 ensure that the rights and welfare of the human
7 subjects are protected.

8 In FDA, each of the five centers has an
9 active Bioresearch Monitoring Program. Currently,
10 it's coordinated by the Office of Enforcement. That
11 will change relatively soon. There's a new office in
12 the Office of the Commissioner called the Office of
13 Human Research Trials where all of the programs under
14 Bioresearch Monitoring will be oversight
15 responsibility and coordination responsibility will be
16 transferred to that office, except for the Good
17 Laboratory Practice Program which will remain in the
18 Office of Regulatory Affairs. And all Bioresearch
19 Monitoring Programs are conducted by field
20 investigators, occasionally accompanied by an expert
21 from the Center when we feel the need for that
22 expertise.

23 There are four programs associated with
24 the Bioresearch Monitoring Program and as you can see
25 we have oversight of product development from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 animal testing stage through the clinical trials
2 associated with marketing applications.

3 When do we become involved with biologics?

4 We mostly get involved, most of our work is associated
5 with license applications. On occasion we do get
6 referrals from CBER staff when they have concerns
7 about how a study is being conducted or how they're
8 not getting appropriate responses from sponsors of
9 clinical investigators and after a while they'll come
10 to us and ask us to help them correct the situation.
11 Sometimes other centers, if they find they have a
12 problem with the clinical investigator or an IRB or a
13 sponsor, they'll notify us in case we have any
14 protocols being conducted by those people or research
15 being conducted, so if we have concerns we could also
16 go out and take a look.

17 Also, recently, I mean in the last two or
18 three years, we've had a real upswing in complaints.
19 We get complaints from sponsors about clinical
20 investigators. We get complaints from IRBs about
21 sponsors and clinical investigators. And I have
22 consumers up there and by consumers I mean
23 participants in the clinical trials or their
24 relatives. They felt they'd been mistreated or not --
25 didn't get the appropriate test article, so they come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to us those kind of complaints and ask us to resolve
2 any issues. And also we get a lot of complaints from
3 former employees of sponsors and IRBs and clinical
4 investigators who at the time they were working for
5 them thought they were doing the right thing, but once
6 they had to find other employment, they decided it
7 wasn't quite right, so they thought they'd let FDA
8 know.

9 And then there's the routine surveillance.
10 We haven't really conducted much of that over the
11 years until recently for the gene therapy initiative
12 was our first real routine surveillance try. A
13 typical cycle for a BLA in our center, a Bioresearch
14 Monitoring Representative is part of the committee,
15 the licensing committee. This is just a typical
16 overview of it and the committee member discusses with
17 the medical review officers and the scientific review
18 officers and the statisticians what their concerns are
19 for the trials, what trial sites they think they'd
20 like to go see. We develop an assignment. We send
21 the assignment out to the field. The field will go
22 out and do the investigation. They'll write up an EIR
23 which is an Establishment Inspection Report. They'll
24 send that to my group. We'll evaluate the EIR. We're
25 write the appropriate correspondence and then after we

NEAL R. GROSS —

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 get all the inspection reports associated with the
2 license application, we'll develop a summary document
3 which will provide to the licensing committee
4 detailing what we found at each of the clinical sites
5 and with the recommendation to either accept the data
6 or reject the data from one or all the sites.

7 Next. How do we go about selecting the
8 sites? Basically, we'll sit down with the reviewers
9 and see what their concerns are. The goal that we
10 shoot for is that we try to get the sites that have
11 treated at least 50 percent of the patient population.
12 Sometimes we can't do that because there are some
13 trials that are huge like the TPA trial had 60,000
14 subjects treated at over 500 sites. So we couldn't
15 quite do that. And there are other trials where
16 they've treated maybe 110 subjects at 87 sites. So we
17 don't have the resources to do that. So we'll get
18 together with the statisticians and come up with some
19 kind of scheme to do our inspection with.

20 But basically, the higher the number of
21 subjects at a site, the more likely we are to go and
22 inspect that site. Also, the geographical
23 distribution plays a part in our selection. If a
24 license application has 10 clinical sites, six of
25 which are in California, we may end up only doing one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 or two of the sites in California. Also, we look at
2 the inspectional history of the clinical investigator.
3 We'll not only look at our database, but we'll contact
4 the Center for Drugs and look at their database to see
5 if that person had been inspected before and if he
6 has, what type of inspection, what kind of problems
7 did they find at the site. If it was a violative
8 inspection it's most likely we'll go back and look at
9 that clinical investigator to see if he's changed the
10 way he's conducted trials. If the reviewers note
11 inconsistencies in data such as too many adverse
12 reactions at one clinical site or not enough adverse
13 reactions at one clinical site or if the data is being
14 driven by one clinical site, we'll basically go see
15 those places.

16 What we do because the field, there's many
17 other things other than wait for a Bioresearch
18 Monitoring Inspection assignment. They also do blood
19 banks. They also do warehouse inspections. So
20 instead of going in there cold, we like to give our
21 investigators some information so that when they go
22 into a clinical site they know what they're looking
23 and they know what they're looking for. We tell them
24 what the product is, how it was developed, who the
25 sponsor is obviously, what patient population this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 product is being given in and what the expected
2 outcomes of the trial are. We ask them to look at
3 adverse events, see if the protocol was followed, see
4 if all the subjects met inclusion criteria and not
5 exclusion criteria, see if the blinding was maintained
6 throughout the study. We checked to make sure that
7 the appropriate dose was given at the appropriate time
8 frame and did they meet their end points.

9 And after they go through all of this,
10 after they perform their inspection, they'll sit down
11 with the clinical investigator and go through with
12 them before they leave which we call a close out,
13 before they close out the inspection they'll sit down
14 with the clinical investigator and discuss with them
15 the findings, this is what we found that you didn't
16 follow your protocol, you included several people who
17 met the exclusion criteria. And they'll discuss it
18 with them and they'll make this part of their report
19 that they send to us. After they leave, they'll write
20 up this EIR. They'll send it to us. We'll classify
21 it. We have basically three classifications, no
22 action indicated, voluntary action indicated, where
23 there are several violations of the regulations, but
24 the violations really didn't affect the data from the
25 study or violate the subjects' rights or welfare.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 Then there's official action indicated where it's met
2 a threshold, where the data has been affected by their
3 conduct in the study.

4 What we do is we will issue
5 correspondence. We have basically two types. One is
6 the untitled letter which goes to the NAI
7 investigations and the voluntary action indicated
8 investigations. We'll write to the clinical
9 investigator or the sponsor and say this is what we
10 found at your site, how do you plan to correct it in
11 the future? And then we have titled letters. One is
12 a warning letter where we say this information, the
13 violations here are affected. What happened at your
14 site? You have 15 days to tell us how you're going to
15 correct this or tell us why we're wrong in our
16 assessment.

17 Then we have this Notice of Initiation of
18 Disqualification proceedings and the opportunity to
19 explain, commonly called the NINPO. By the way, it
20 took the Agency 14 months to come up with that name
21 and you know it's a good name because nobody likes it.

22 And this is where a clinical investigator
23 will get this notice once he meets the threshold of
24 deliberately violating the regulations repeatedly.
25 It's "or repeatedly violating the regulations or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 submitting fraudulent data to FDA or to the sponsors."
2 We'll initiate that disqualification procedure and of
3 course, they have the opportunity to explain and if we
4 accept their explanation the matter will be dropped.
5 If we don't, we go ahead. We proceed with a Part 16
6 hearing.

7 What can we do as far as administrative
8 actions? We can recommend that the data not be
9 accepted to support the application. We can recommend
10 that they refused to file the BLA or put the IND on
11 clinical hold or terminate the IND. In compliance, we
12 can't actually do those. We make recommendations
13 because it's the scientific review staff that makes
14 the determination of whether to place someone on
15 clinical hold or terminate the IND.

16 However, as far as disqualification goes,
17 we have the authority to go ahead, go forward with
18 disqualification or the application integrity policy
19 issues. But we do that in conjunction and the support
20 to the medical and scientific staff at our centers.
21 We don't go off on our own and do this. It's a joint
22 decision, it's just that we end up with the work of
23 doing it.

24 Okay. And now, the gene therapy
25 inspections. After the inspections of -- in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Philadelphia and in Boston, the Center was concerned
2 about the state of gene therapy investigations in the
3 United States. So what we decided to do was take a
4 randomized sample. At the time, there wre 211 active
5 INDs. We -- after consultation with our statisticians
6 we determined that a number, 30, would be appropriate
7 and so we selected 30 INDs in a randomized fashion and
8 we extracted every principal investigator doing a
9 study in each of those INDs. We ended up with 24
10 sponsors and 70 clinical investigators. So we
11 basically issued 70 assignments to look at how
12 clinical investigations were being done.

13 The breakdown is here. As you expect,
14 most of them are independent with only six commercial
15 sponsors and as you would expect the commercial
16 sponsors had the most clinical investigators
17 associated with their INDs at 46 and I thought you
18 might be interested in this. We asked the field to do
19 these inspections within 60 days. We didn't quite
20 meet that time frame, but the field spent over 4,000
21 hours doing these clinical investigations. That meant
22 they spent between three business days and 26 business
23 days in the clinical labs, in the doctor's office,
24 looking at their records with an average of 75 hours
25 and that's equivalent to four and a half -- what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 call full-time equivalents in the Agency.

2 And what we found was this. Washouts are
3 places where they hadn't started treating subjects
4 yet, so out of these 70 clinical sites, 11 of them
5 were washouts. The classifications are broken down
6 there which we're pleased to see that there are only
7 three really violative inspections and again, just so
8 you know, voluntary actions, we found some regulations
9 of the regulations, but they didn't reach a threshold
10 where we take an action. An official action indicated
11 where there was only three of those, again, where we
12 actually took administrative actions.

13 And for the commercial sponsors, this is
14 the breakdown of the left most column is the breakdown
15 within those four to six. The overall is within all
16 the gene therapy inspections. So as you can see,
17 there was most of them had some violations of the
18 regulations, but not enough to warrant an action.

19 The government, of course, we do a better
20 job.

21 (Laughter.)

22 Next. And the independent clinical
23 investigators which actually kind of surprised me,
24 they were doing very well. Our inspection, I guess I
25 should clarify. Our inspection just looked at how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 they performed their clinical trial. We didn't look
2 at monitoring. We just looked at this is your
3 protocol, did you follow it? Did you do all the
4 appropriate paperwork? Did you notify people of
5 adverse reactions? You kept count of your drugs and
6 your patients? That's all we did. We wanted a
7 snapshot to see what was going on.

8 And this is a comparison of what we find,
9 in general, as compared to -- with the gene therapy
10 inspections. As you can see, that's fiscal years.
11 Fiscal Year 2000 includes the gene therapy inspections
12 and the one below that is without the gene therapy
13 inspections and you can see that on average, even
14 though these were Phase 1 and Phase 2 studies, the
15 investigators were doing a fairly decent job on
16 following the protocol and taking care of the
17 patients' rights and welfare.

18 Next slide. And what we found the most
19 common violations that we found and the most popular
20 one was not to follow the protocol. That includes
21 things like enrolling subjects who didn't meet the
22 entrance criteria. Not giving the appropriate does or
23 at the appropriate time. Not doing appropriate lab
24 work, etcetera. And then there was problems with the
25 consent forms and lack of supporting data for the case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 report form entries, etcetera. As you can see, and
2 these are basically in line with what we find in our
3 normal course of business. They're no different than
4 anybody else. There's no surprises. The surprise for
5 me was that they were so good, actually and that was
6 pleasant.

7 I think that's it. Do you have any
8 questions?

9 DR. SALOMON: Joe, just two quick things.
10 What's a washout?

11 MR. SALEWSKI: A washout is when they
12 hadn't started treated subjects.

13 DR. SALOMON: Okay, and then the last
14 thing, I just want to make sure I understood this
15 right. Under GT inspections, a comparison, I think
16 your third to the last slide.

17 MR. SALEWSKI: Okay.

18 DR. SALOMON: So GT was gene therapy and
19 2000 total was just all of your bio actions?

20 MR. SALEWSKI: Yes.

21 DR. SALOMON: In the year 2000?

22 MR. SALEWSKI: Yes.

23 DR. SALOMON: Good. I understand. Okay,
24 I think we better delve into this before -- there are
25 a series of three questions that I've been given to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 generate discussion on what is clearly a very
2 important issue.

3 Before I bring up the questions which take
4 the group's discussion in specific directions that I'm
5 going to try and hold you to, is there anyone who
6 feels that they just have to make a brief, underline
7 the word brief, comment overall? I mean I've
8 certainly taken the liberty and I won't deny anyone
9 else on the committee to do that. But if -- so I know
10 that -- do you want to --

11 MS. LAWTON: I actually had one question
12 for the presenter and that was I was interested to
13 know with this comparison for the gene therapy trials
14 that were audited compared to the other trials, do you
15 have a feel for the ratio of kind of Phase 1-2 trials
16 that you looked at compared to Phase 3 trials
17 normally?

18 MR. SALEWSKI: Normally, that comparison
19 was what we usually look at are Phase 3 trials. So
20 these being Phase 1, Phase 2, they turn out very well
21 compared to what we see.

22 DR. SALOMON: Okay, any other questions?
23 All right. So I'd like to go on record as saying that
24 it is really, the message is reassuring as I hear it
25 based on the data today that after all the publicity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 on -- and concerns by the public that the rate of
2 serious violations and conduct done during what's
3 clearly a very rigorous review with hours spent at
4 each center is actually half or less the general
5 violation rate, depending on how you did it. And I
6 think that's pretty remarkable.

7 MR. SALEWSKI: I just want to add that the
8 Philadelphia sites and the Boston sites weren't
9 included in the gene therapy results. It was totally
10 different.

11 DR. SALOMON: Right, well, that certainly
12 wouldn't have been random either.

13 (Laughter.)

14 In fact, if they had been I think we'd
15 have to start all over with the idea of how you
16 randomize this which we've let you go on. Okay.

17 So the questions, the first question is
18 really a critical one and it's going to take a little
19 bit of reading to set the stage for, so forgive me.
20 So the regulations acknowledge that the sponsor of an
21 IND may also be the clinical investigator. In that
22 case, they're referred to as a sponsor investigator.
23 The FDA wants us to consider, however, that it's
24 difficult to understand how a sponsor investigator is
25 capable of performing certain required tasks and it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 evident that the experience recently in gene therapy
2 has had everybody take a much harder look at this.

3 Specifically, the regulations impose that
4 an IND sponsor or sponsor investigator who discovers
5 that an investigator is not complying with the signed
6 agreement, that the general investigational plan or
7 the requirements of this part are applicable to parts,
8 blah, blah, blah -- that this investigator now should
9 promptly secure compliance or discontinue shipments of
10 the investigational new drug to that investigator and
11 end the investigator's participation in the
12 investigation.

13 Well, the obvious point here is is that if
14 you're the investigator, it's kind of a discussion in
15 the mirror.

16 (Laughter.)

17 And that's obviously an issue of major
18 concern.

19 Secondly, a sponsor shall select a monitor
20 qualified by training and experience to monitor the
21 progress of the investigation. Now here we realize
22 that in practice that has meant that that monitor is
23 typically a research nurse or a research technician
24 employed fully by the investigators or
25 sponsor/investigator and we've already begun -- Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 O'Fallon, for example, pointed out to us the obvious
2 problem with that. These people work for us. They
3 want to please us and in fact, something that -- no,
4 it was Joe mentioned I thought was really, really
5 critical and that was that an increasing number of
6 complaints are from research nurses or monitors who
7 had left the employ of the investigators and now are
8 complaining to the FDA. I think that was definitely
9 something worth repeating.

10 So please discuss the relative merits of
11 various approaches to the oversight monitoring. So
12 given the potential concerns with monitoring programs
13 in which the monitors directly report to the
14 sponsor/ investigator, I think that's what I've just
15 articulated, should these be discouraged?

16 If such a program is utilized, we should
17 discuss what, if any, additional elements or
18 safeguards could be employed to ensure adequate
19 oversight and minimize conflicts of interest issues,
20 etcetera.

21 There's a second part of this, but let's
22 start with that.

23 DR. SAUSVILLE: So I think this is really
24 a proverbial fox and henhouse sort of question and I
25 think that one approach that might bear some thinking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is that the institutions, either universities or
2 hospitals or what have you which are the sites at
3 which these studies are conducted, might be in the
4 position of serving that bridging or intermediary
5 role. My own view is that to have a research nurse
6 work for the investigator who's studying the entity
7 that the research nurse is monitoring and if that's
8 the closed loop is that that needs to be strongly
9 discouraged, if not actually made -- I hesitate to use
10 the word illegal, that's not our role, but I mean at
11 least in some way made not a normative procedure. I
12 think that the institution which is at one level
13 another type of sponsor of the research should be
14 charged with putting in place a monitoring system for
15 the studies that it undertakes by its investigators,
16 that the cost of that is going to be figured into the
17 indirect costs, either for grants or for other funding
18 arrangements and that the monitoring service, in
19 essence, report to the institution. The institution
20 is then in the position of serving as an ultimate
21 watchdog who would hopefully balance the fox and
22 henhouse relationship.

23 I don't know that that actually has been
24 put into practice, but that strikes me as one model in
25 which we might get around some of these issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. SALOMON: That was very nicely stated,
2 so let me just make sure that -- so one possible
3 reaction here that Ed has articulated very nicely is
4 that we just advise the FDA that this is not an
5 acceptable relationship in the future and then -- and
6 that's one thing we should just decide. That doesn't
7 necessarily mean then what it is we should suggest in
8 its place, but we should parse this out that one
9 comment is this is not an acceptable thing.

10 Now the second thing, also well
11 articulated, is that we should allow the institution
12 to use indirect funds and other resources within the
13 institution to provide that service for investigators
14 within that institution. I see those as two different
15 things, both very important for us to discuss.

16 Dick?

17 DR. CHAMPLIN: Just one thing, the obvious
18 thing here. The research nurse job actually isn't
19 monitoring and the research nurse's fundamental job is
20 to be conducting the research, generally screening
21 patients, eligibility, etcetera, collecting data,
22 making sure that the samples are collected and that
23 the credence given according to the protocol.

24 DR. SALOMON: That's monitoring.

25 DR. CHAMPLIN: Well, that is actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 doing the study and collecting the data. Now
2 monitoring is a second function that is -- it is the
3 oversight function that that role is being done
4 correctly. So I think it's a misinterpretation to say
5 that the research nurse shouldn't actually work for
6 the investigator. There should be a second layer
7 where someone else who is not primarily involved in
8 the protocol is, in fact, monitoring and I don't
9 disagree with the concept that it should be an
10 institutional function because the institution, of
11 course, does take responsibility for the conduct of
12 research activities carried on within its
13 jurisdiction.

14 DR. SALOMON: Okay, so that's fair. What
15 Dick's clarifying is it's not that there's something
16 wrong with the research nurse. There should be
17 research nurses, but as long as they're identified
18 with actually the conduct and perhaps supervision of
19 materials flowing around, that's all a good function,
20 but the monitor. There has to be a position now that
21 we refer to as a monitor which actually is an
22 important point here.

23 DR. CHAMPLIN: A fundamental --

24 DR. SALOMON: That person can't work for
25 the sponsor investigator.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. CHAMPLIN: A fundamental principal of
2 quality assurance is you don't inspect yourself or
3 monitor yourself, that there has to be an independent
4 entity and that serves that function, so the people
5 conducting the trial shouldn't be monitoring
6 themselves, but some other individual within the
7 organization should have that function.

8 DR. SALOMON: That's good. That's a
9 refinement.

10 DR. PATTERSON: You actually started to
11 talk about the issue that I wanted to bring up. I
12 think it would be helpful if the committee came to a
13 common understanding of what is meant by independence.
14 Are we talking about independence from a reporting
15 relationship? Independence of financial ties? And
16 harkening back, actually, there's a good analogy I
17 think from Mary Malarkey's presentation this morning,
18 the independence of the QC, the testing unit, from the
19 production unit and the QC unit has -- although it may
20 be employed by the sponsor, it has an authority to
21 override in some instances their decision may trump.
22 And I think trying to figure out in terms of clinical
23 trial oversight what those relationships are or are
24 not. Even in the situation that Ed described, one
25 could argue that there may be some institutional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 conflicts of interest and ultimately the people
2 reporting to the institution are employed by them. So
3 I'm having some difficulty understanding what it means
4 by independence.

5 DR. SAUSVILLE: I mean I guess my view
6 about that is that the unit that does monitoring might
7 work for and actually obviously might be employed to
8 a certain extent either -- certainly at least in a
9 contractual sense by the university or by the
10 institution. But I think that the nature of the
11 relationship should be that they are empowered to make
12 their decisions quite independently from the decision
13 making structure that runs the clinical trial and now
14 how one exactly sets that up I guess would obviously
15 bear some thought, but the general principle would
16 harken exactly to what you said. This needs to be
17 viewed almost as an Inspector General or some type of
18 function that is quite independent from the actual
19 operation of the trial.

20 DR. SALOMON: The problem here though is
21 what follows and that is what -- as Amy points out,
22 what is independent? So an institution, how
23 independent is an institution of its investigators?
24 Now an institution will often hold the patent on the
25 product that the institutional investigator is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 testing, so there already there's a -- it's very
2 common these days and that's a major thing. They may
3 even hold stock in the company that the investigator
4 started to run to do these trials and we have examples
5 of that right now.

6 DR. SAUSVILLE: But that's exactly why, I
7 think that they would be vested in, as it were,
8 getting this right. Because I think that if the
9 monitoring agent were actually independent in the
10 sense that I mean in the limit case they were actually
11 a company that was hired for this purpose. And at one
12 level they're going to get paid whether or not there's
13 a patent ultimately resulting in a product or not. I
14 mean the nature of their relationship is that they are
15 contracted for it.

16 DR. SALOMON: Right, but one of the recent
17 cases, I believe the facts are correct, at least as I
18 know them from the newspapers is that one of the CROs
19 that was contracted had a stock position, an ownership
20 position in the company.

21 DR. SAUSVILLE: That clearly then fits
22 into what was brought up before. That's the -- that
23 type of CRO should be intrinsically disqualified from
24 this role.

25 DR. SALOMON: So how do you generate a CRO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in a university or in an institution, a research
2 institution that can do that?

3 DR. CHAMPLIN: For example, the University
4 of Pennsylvania has a vested interest to be sure that
5 they don't have regularities in future gene therapy or
6 other clinical research studies. The institution's
7 interest is to remain in business as a clinical
8 research center and it is clearly in their best
9 interest to avoid these kind of events, so that they
10 have a natural interest, to be sure that the clinical
11 research is done appropriately, far exceeding any
12 gains that they have from any individual product being
13 successful or not, so I think that there's much more
14 confidence there at least in my mind than perhaps a
15 small biotech company in monitoring their own clinical
16 trial where they have a much greater financial
17 interest in its success or failure.

18 DR. SIEGEL: It's worth nothing that
19 although closely related, there is a distinction to be
20 made and I think Amy is right. The issue of what
21 independence plays is very complex, but there is a
22 distinction to be made between the independence,
23 vis-a-vis functional independence and reporting
24 responsibility versus the issue of financial conflict
25 of interest. They're both very important. It's worth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 knowing that in the long history of drug development
2 that clinical trials are monitored by the sponsors
3 which are usually pharmaceutical companies and which
4 have a tremendous financial interest in that trial and
5 I think for the most part, but not always that
6 financial interest points toward their ensuring that
7 they get the best, highest quality data and the
8 highest quality trial and good patient protection, but
9 not always, but -- and that -- but what differed from
10 some of the cases we're talking about, well, the
11 levels of financial conflict of interest differ, but
12 another thing that does differ is this issue that
13 those monitors are not working for or with the
14 investigator and the FDA actually has had to tighten
15 up its regulations in this area, but the sponsor has
16 an obligation and is expected to dismiss the -- to act
17 independently and to dismiss the investigator when
18 he's not acting well or to correct those actions or
19 dismiss them as it says in our regulation.

20 So conceivably, a university such as
21 you're suggesting Dr. Sausville, there may be some
22 financial interest. I imagine there's always some
23 level of financial interest, sometimes more if they
24 own stock in the company, but it's not -- but on the
25 other hand it might well be very different if you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 study nurse monitors who are reporting to and hired by
2 and working for the dean's office than if you had
3 study nurse monitors who are reporting to working for
4 and hired by the principal investigator that are
5 actually monitoring what the investigator does. And
6 as to your question, just one quick comment, you did
7 ask has this been done, is this being done. We're
8 seeing a growing number of institutions, particularly
9 those institutions that either by OHRP or FDA, or the
10 press, have had some bad publicity about their
11 clinical trials, but a growing number of institutions
12 building clinical trial oversight programs, we've got
13 report a number of them are occurring in gene therapy
14 and in your handouts, there are some concerns about
15 are they intensive enough, trained enough and so
16 forth. We think it's an interesting direction to look
17 in. We're all in agreement with I think the original
18 sound advice, the first thing this committee said, you
19 can't very well monitor what you're doing yourself.

20 I should say one more thing to put this in
21 context. All of these issues are being broadly
22 discussed throughout the country, academia, throughout
23 the department, throughout the agency. There's new
24 policy under development. It's a bigger question than
25 gene therapy, but it has -- a lot of the questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 arose from gene therapy and I say that as a matter of
2 context because on the one hand, this committee and
3 it's advice isn't going to directly lead to a decisive
4 decision, but on the other hand, I think we recognize
5 very importantly that decisions that we make in this
6 area and I and others in the room are quite involved
7 in the committees that will be making decisions in
8 this area, are -- can only be made with really a lot
9 with feedback from the patient and scientific
10 communities. We can come up with all sorts of rules
11 about what universities and researchers can do and I
12 assure you from past experience that we're quite
13 capable of coming up with roles that don't work. And
14 so -- we really are interested in this discussion.

15 DR. SALOMON: So, so far what I think
16 we've already -- just the way the discussion goes,
17 then unless someone ants to stop here, let me just
18 capture one thought that's clear, that we are advising
19 you that the sponsor should not employ the monitor,
20 the investigator sponsor should not work -- well,
21 that's actually interesting. The monitor shouldn't
22 work for the investigators if there's a sponsor and
23 let's say six institutions under that sponsor, nor
24 should a sponsor/investigator at a single institution,
25 either that an academic or biotech, in either case a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 monitor should never work for the investigator or the
2 investigator/sponsor. I think we've all said that.
3 So that's good. We've got that settled.

4 Then the discussion is going toward who
5 then is far enough away or independent enough to equal
6 -- noble enough to take on the responsibilities of
7 monitoring it, right, and trying to be practical here.

8 MS. MEYERS: It's supposed to be the IRB.
9 And the IRB's responsibility is not just to approve
10 protocols, but to monitor the conduct of the research.

11 DR. SAUSVILLE: That's not correct. I
12 mean -- right. IRBs certainly receive reports about
13 adverse events. They judge protocol consents and are
14 very active in human protection aspect, but IRBS, at
15 least in the places that I have been have not involved
16 themselves with the shall we say the technical
17 management, how the clinical trial is being conducted.
18 That's just not their role.

19 MS. MEYERS: Then they're not obeying the
20 common rule.

21 DR. SIEGEL: IRBs are charged with
22 monitoring the progress of a trial.

23 MS. MEYERS: It's HHS.

24 DR. SIEGEL: I think there's a broad range
25 of interpretations as to what that means. What we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 talking about here and the problem -- part of the
2 problem here is the use of the word monitoring.
3 Because we talk about trials being monitored by data
4 safety monitoring boards or monitoring committees and
5 there's been -- who often, you know, in most cases are
6 in no position to know whether the data they're
7 looking at are exactly the same as what's in the
8 patient's chart or whether the -- for a consent form
9 to sign. They're monitoring, but they're not doing
10 site monitoring. It's an unfortunate duality of the
11 use of the terms. IRBs are responsible for monitoring
12 either because of interpretation or because of
13 staffing. Most IRBs practice that by at least once a
14 year, reviewing the safety reports and adverse events.
15 Most IRBs do not, but they certainly are authorized
16 to. I doubt many at all are staffed to and I'm not
17 even sure that -- they are one of the options, but to
18 actually do what we're talking about, going out and
19 actually looking at what's going on.

20 DR. SALOMON: I think what we have to
21 realize here is the reality. The reality is that over
22 the last several years, because of the concerns that
23 have been raised, there's just been an explosion of
24 awareness, followed by a near explosion of
25 requirements. And there's no IRB that I know of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 that's in any type of position to do this. They'll
2 look at the trial initially. They'll look at the
3 consensus initially. They do not have people that go
4 out and monitor 25 percent of my consents. They will
5 get my -- if I have a series of adverse events, they
6 get reported immediately.

7 MS. MEYERS: But if you don't report your
8 adverse event, they don't know about it, do they.

9 DR. SALOMON: That's right.

10 MS. MEYERS: That's why they have to do
11 the monitoring.

12 DR. SALOMON: That's why what IRBS now are
13 demanding.

14 MS. MEYERS: They don't have the money to
15 do it and if HHS understands this, they would put the
16 extra money in the grant funds to --

17 DR. SALOMON: We're getting there Abbey.
18 What we're saying is that the conventional IRB set up
19 in reality is not set up to do this. That's all we're
20 saying. We're not saying that an IRB or an arm of the
21 IRB that we now might name a monitoring group or an
22 institutional data safety monitoring board for trials
23 isn't appropriate. I think that's where the group is
24 going actually or is trying to get us there.

25 MS. MEYERS: But it would be appropriate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 if the funds were there.

2 MS. LAWTON: But surely one alternative
3 that we're talking about is if the IRBs are able to
4 see that there's an independent monitor assigned to a
5 study that would give them that competence in the same
6 way as we're talking about.

7 DR. SALOMON: That's right and that
8 monitor would report to the IRB and that's a very
9 appropriate -- the IRB then would be linked integrally
10 with the whole system.

11 MS. MEYERS: But when we say independent
12 that again gets back to the thing what happens when
13 the institution owns the company or the stock in the
14 company or a patent on the product?

15 DR. SIEGEL: Of course, the IRB also is an
16 arm of the institution so it's no more independent
17 than an institutional monitoring group that isn't part
18 of the IRB.

19 MS. LAWTON: Well, Greg Koski is
20 suggesting that IRBs should not be from an
21 institution, but they should be regional.

22 DR. NOGUCHI: Dan, I would like to just
23 make one correction. Actually, for this area, other
24 than the product requirements, these are not new
25 requirements. There's not an explosion on new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 requirements. There is a vast understanding that
2 there are a lot of requirements that a lot of people
3 didn't realize were there.

4 (Laughter.)

5 DR. SALOMON: The correction is
6 accepted.

7 DR. NOGUCHI: As part of the government,
8 we will add requirements when necessary, but what
9 amounts to what we're talking about is not new ones.

10 MS. MEYERS: They've been there since
11 1960.

12 DR. SAUSVILLE: But that illustrates the
13 education and outreach function that was alluded to
14 this morning. I mean the idea that many -- to me, the
15 statistics were certainly encouraging, as you say,
16 that things weren't worse than they were. The other
17 way of looking at this is 50 percent of the trials had
18 a problem.

19 DR. CHAMPLIN: My institution has actually
20 such a body, an opposite protocol research that is
21 linked with the IRB and they have, in fact, taken on
22 the job of monitoring INDs that don't have another
23 sponsor in terms of an outside pharmaceutical company
24 or what have you. And in our past experience we
25 found, in fact, the most egregious errors did occur in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the unmonitored single investigator type of projects
2 where there was no one supervising that activity. And
3 clearly this type of approach gives a second look to
4 the conduct of all studies and it's certainly been
5 positive and I think that that model is probably the
6 most reasonable one.

7 There is realistically no way you can get
8 beyond the institution and have some outside entity
9 now monitoring things without really getting into a
10 very complex logistics that's probably not at all
11 realistic. And I think that as long as there's
12 conflict of interest observation within an
13 institution, those people monitoring and the IRB have
14 no vested interest in the product or the company
15 that's being monitored, I don't really view that
16 there's a problem there. I really don't see any large
17 institutions looking to push something inappropriately
18 for their own financial gain.

19 DR. SALOMON: Okay, so let's take what's
20 Dick saying and explore this a little bit because it
21 still is how much distance do we have to go that stays
22 reasonable, it can be done practically and yet is done
23 properly. Now Abbey mentioned something that's very
24 interesting, the new head of -- is it OBA? OHRP,
25 right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The new head, he came out to La Jolla and
2 we met with him and then he gave a talk and in his
3 talk he specifically mentioned something Abbey raised
4 and that was he is suggesting that there be
5 professional paid regional IRBs so that in our area
6 where we have Scripps, UCSD and Salk, for example, and
7 a couple other smaller programs, that we would all
8 have one IRB and that could fulfill this sort of --
9 just as a counterpoint, there is some discussion going
10 on and I don't think that we necessarily need to
11 settle that, but I think that the committee has spoken
12 pretty clearly here that it can't be someone who works
13 directly for the investigator and/or directly linked
14 back to the sponsor and it could be done -- right now
15 most of us feel it could be reasonably be done in the
16 institution. That's good, you disagree. That it
17 could be done within the institution if there was a
18 data safety monitoring board study monitoring group
19 that answered to the traditional IRB.

20 Now if someone doesn't agree with that,
21 tell me.

22 MS. LAWTON: So if I can comment on that
23 you said that the monitor cannot be directly linked
24 with the sponsor and I disagree with that because as
25 long as it's not an investigator/sponsor IND, clearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the sponsor --

2 DR. SALOMON: I didn't mean to imply that,
3 right. If the sponsor is a company and they have six
4 investigators and hire a sponsor at the company -- a
5 monitor at the company to go around and see -- that's
6 okay.

7 MS. LAWTON: Maybe if I can also just
8 comment, based on -- we had discussions this morning
9 about quality control of operations and clearly the
10 reporting structure and the independence of that
11 quality control group on the operations side, this is
12 exactly the same issue for clinical and I would say
13 that you can set up, just like all of the drug
14 companies, biotech companies have had to do, you
15 should be able to set that up in an institution as
16 long as you have the right processes and
17 accountability, etcetera for that to work, but it's
18 how that's done. But there is a model there for it to
19 work.

20 DR. SALOMON: Okay.

21 DR. SIEGEL: Well, yes. Part of that
22 appears to be the most problem working -- whether it's
23 sponsor investigator or not is -- when you're talking
24 about working is having a reporting system where the
25 monitoring is to someone in the company independent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the investigator, but unfortunately one of the areas
2 we've run into problems where the investigator is, in
3 fact, the CEO or the principal stockholder of the
4 company and he's investigating his own product and
5 then it is probably pretty hard for somebody within
6 that company to have the level of independence needed.

7 DR. SALOMON: Jay, that's an interesting
8 question. If I'm -- the word "sponsor" how is that
9 defined? If I'm the CEO of the company and the
10 investigator, is that a sponsor investigator? A lot
11 of times I'm not the CEO, right? The cute thing is
12 I'm on the scientific board and I tell everyone I
13 don't get any money from the company which is, of
14 course, baloney, but that's how we play it.

15 DR. SIEGEL: It's probably fair to say
16 that most of the pertinent FDA regulations were
17 written at a time when some of the sorts of
18 arrangements, product development and research were
19 not fully considered and so that's why you would read
20 in the regulation that you're responsible for
21 monitoring your own activities and taking actions
22 against yourself if you don't do them well. Doesn't
23 sort of make a lot of sense in that context. But it
24 was really written with a view to other contexts.

25 Technically, the sponsor who signs as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 sponsor when they file with the FDA and takes on,
2 therefore, the requirements under regulations and
3 guidance and responsibilities of the sponsor, but
4 frankly in some sense that's almost a non-answer.
5 That is the true answer but we can see the same trial
6 with the same monitoring or what appears to be
7 identical trials monitored where the sponsor is the
8 National Cancer Institute, the Director of the
9 National Cancer Institute, the lab chief in the
10 National Cancer Institute or the principal
11 investigator, but they may well have the same
12 oversight mechanisms and the same thing in business.
13 You could see out of the same group where the sponsor
14 might be the university, an institute within a
15 university, the head of that institute. So in some
16 sense, although we talk about it as the sponsor
17 investigator, more to the point is what Pat was
18 getting at was really what the structures and where
19 the true responsibility lies and that's where we're
20 trying to grow our understanding of is figuring out
21 how to address this.

22 DR. SALOMON: So trying to grapple with
23 what you were saying and what Jay is saying, in the
24 spirit of the discussion, we don't want a monitor who
25 works for any broad sense of that term, works for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 investigator. And therefore, if the investigator is
2 a stakeholder in the company which is the sponsor,
3 that is also a violation.

4 MS. LAWTON: Isn't there two separate
5 issues here? On the one hand we're talking financial
6 involvement and I think that's one way you could look
7 at how independent do they need to be because for most
8 of us in industry now, there's the guidance on
9 financial disclosure of investigators and we have
10 standard procedures on how we would check that and how
11 we'd make a decision on using investigators. So that
12 would be one thing. But then the other one is the
13 example that you gave, Jay, where you have all of the
14 different levels, the investigator, the institution,
15 etcetera, all reporting into the same place, not
16 necessarily the financial issue of the investigator
17 themselves.

18 DR. SALOMON: Richard, do you want to make
19 a comment?

20 DR. MULLIGAN: Yes, I thought maybe if we
21 kept it to the industry issue, it actually may be more
22 helpful. I think it's getting more complicated with
23 -- the industry has a history and I think it might be
24 helpful to analyze. They have a monitoring system.
25 What are the strengths and weaknesses of that system

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and what is the perceived level of independence of
2 that monitoring system. I think the answer is it's
3 complicated and if you looked at it from the academic
4 point of view you'd say this sort of relationship
5 would be unacceptable, but if you looked at it from an
6 industry point of view, this is the standard by which
7 monitoring occurs. And that being the case, you're
8 really talking about almost simply an organizational
9 distinction between the two. It's not really who
10 works for who or whatever, but it's an organization,
11 a safety board or monitoring board. It's almost a
12 title. I think at the end of the day as far as you're
13 going to get from the point of view of truly
14 conceptually what's independent. I'd like someone to
15 comment on the industry standard, maybe Jay, how you
16 look at that because I think you've really got to
17 resolve the industry standard before you go to
18 academic.

19 DR. SALOMON: But Richard, can I make a
20 comment. To me, the problem with this analogy to the
21 industry standard, maybe I don't have it quite right,
22 but what I'm listening is, see, in industry the
23 monitors are paid for, work for, work within the
24 industry within the business, right? Drug Company XYZ
25 has a monitoring group. The critical thing though is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that they are not working for the person doing the
2 study in the clinic, the investigator.

3 To me, the problem here that we've been
4 dealing with isn't a problem with the sponsor having
5 the monitors work for them, it's the problem of the
6 investigator having the monitors work for them.

7 DR. MULLIGAN: I'm not sure that I would
8 agree with that, but I think that the issues of
9 independence and separateness are comparable issues,
10 however you want to look at it. That is, the monitors
11 are within the company. They have all the interest in
12 seeing things move ahead.

13 I still agree with what you say, but I
14 think that at the end of the day in the academic
15 context, all you really are going to end up being able
16 to do is to have a separate organization and name, a
17 name, a body and I think the issue of who they report
18 to, obviously they should report directly to the
19 principal investigator, but they're going to work for
20 the IRB or they're going to work for the Dean's Office
21 or something. I don't think that that distinction is
22 going to be all that keen.

23 DR. SALOMON: That was fine and the
24 weakness that got brought up that I was trying to
25 address in exception was the situation in which we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 said no, we don't need a separate institutional group
2 because the sponsor hired the monitor. But in the
3 case in which the investigator in the academic
4 institution has a relationship with the company, i.e.,
5 on their scientific advisory board, the inventor, the
6 starting scientist, whatever, then in that case, the
7 fact that the monitor was hired by the sponsor could
8 be perceived by the public as getting around our
9 recommendations that there be an independent --

10 DR. MULLIGAN: I agree. I think there
11 probably then is a consensus that if you don't have
12 the monitor hired by the investigator, if you have it
13 institutionally, however that would be, that's clear
14 what we want to have, right?

15 DR. SAUSVILLE: I actually would like to
16 pursue the thought -- I think there is two different
17 sorts of model, at least two, implicit in this, in
18 that when you look at the industrial model where the
19 company that's conducting even the early phase trials
20 is going to be the company that ultimately hopes to
21 file a BLA. There, it's in the company's interest to
22 have a very rigorous review and reporting on its
23 investigators because ultimately as we just heard
24 there's going to be an inspection process that they're
25 going to have to run as a gauntlet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 In contrast, that what we call academic
2 investigator or the investigator/sponsor, however we
3 want to call this, it's very unusual for that
4 incident. I don't think it's ever happened that those
5 individuals then would actually go for the BLA. The
6 initial start off is generally designed to hand off at
7 some level these initial observations to somebody
8 else. It's a big company, small company, some other
9 company. And that's where, I think, there really is
10 a difference because at one level the responsibility
11 at that point is going to be out of their hands. And
12 so what we're talking about is these very early Phase
13 1 and Phase 2 endeavors of ensuring that the
14 investigator to monitoring relationship on every level
15 doesn't compromise obviously safety, but also produces
16 a coherent body of data that then is actually, if
17 there's value to it, able to be moved to an actual
18 production orientation. So I do think there are a
19 couple of different levels, as it were, which
20 investigators related to so-called sponsors in this
21 process. And it is unique to gene therapy, different
22 than what we call drug role.

23 DR. SALOMON: Dick?

24 DR. CHAMPLIN: I don't know how uniform
25 this now is around the country, but most institutions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 have evolved conflict of interest policies that would
2 preclude principal investigators on a protocol of
3 having an equity or large-scale interest in the
4 sponsoring company and that that clearly is a healthy
5 thing in terms of that potential conflict of interest
6 in that often in the Phase 1 phase is that had
7 indicated there is no company and at that point, you
8 might perceive the investigator having potential
9 conflicts, but at least once a company is involved, I
10 think that that policy of precluding equity and
11 interest by the investigator is a prudent one.

12 DR. SAUSVILLE: You have evolved. I mean
13 obviously it's been a reactive process and I think
14 part of the reason we're here, actually, is the events
15 that those changes have evolved, as you say.

16 DR. SALOMON: Abbey.

17 MS. MEYERS: There was a two-day
18 conference on conflict of interest last summer. It
19 was co-sponsored by FDA and NIH and Secretary Shalala
20 was very, very interested in what it said. But
21 basically I think that everybody agreed with that
22 conclusion, that if somebody, an investigator has an
23 equity interest in a product or a patent, that
24 investigator should not be involved in the clinical
25 trials because it would have the appearance of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 possible bias in the data.

2 DR. SIEGEL: There was such a conference
3 and Greg Koski is leading a departmental group that is
4 following up on that.

5 The American Society for Gene Therapy
6 issued, I'm not sure you'd call it a policy, I guess
7 it's a policy, but it's not enforceable in any real
8 sense, but saying you shouldn't do this. The FDA has
9 regulations. They're more focused on assuring data
10 quality and so they focused really on Phase III
11 clinical trials and they don't outlaw such agreements,
12 but what they do is indicate that all such agreements
13 have to be reported in detail to the FDA and that we
14 can toss out the data on that basis, so at the time of
15 a license application. So for those efficacy trials,
16 they probably have had a chilling effect on using
17 investigators with financial conflicts.

18 I'm not sure though, in the type of
19 discovery phases of research that we're talking about,
20 Dr. Champlin, I'm not sure that there's that much
21 consistency across academic centers. I think there
22 are, while there are some that have been those sorts
23 of relationships, there are others that, in fact, as
24 best I can tell, encourage their investigators to have
25 cooperative agreements with industry and at least so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 rumors go. And I'm not sure there is yet a consensus
2 on this issue in the academic community.

3 DR. CHAMPLIN: I think certainly in the
4 Phase 1, as Ed indicated, the goal is to establish
5 preliminary data that would then justify an outside
6 corporation from licensing a developing technology.
7 So in the context of generating that preliminary data,
8 obviously, the investigator, the inventor has an
9 incentive to make that product as successful.

10 But I don't see the institution at that
11 point having a major bias that they're going to
12 support in any way anything other than the highest
13 quality research and so having the oversight at the
14 level of the Dean's Office or the IRB, Office of
15 Protocol Research or what have you on an institutional
16 level, I don't see as any major conflict, and I can
17 see as the most practical way to deal with this issue.

18 DR. SALOMON: Michael.

19 DR. O'FALLON: I think we've always had a
20 situation where highly successful and therefore
21 influential investigators, whether they had
22 connections with industries, they had a lot more
23 influence than the institution than normal RO1 kinds
24 of guys and so we can't solve that problem. The
25 problem is a personal problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I think we have to make a suggestion that
2 some administrative, some process through the
3 administration of the institution perhaps through the
4 IRB which is already in existence, clearly would have
5 to be enhanced. I agree, our IRB is absolutely
6 swamped and all of the people are volunteers, quote
7 unquote.

8 I think we're starting to micromanage the
9 situation here.

10 DR. SALOMON: And again, I think that's
11 now -- we don't have to solve all these issues.

12 DR. NOGUCHI: You're right. You don't
13 want to solve them all, but I bring everybody back to
14 the basic finding that is really driving us here.
15 Although we've discussed about what we did since the
16 University of Pennsylvania incident, what that clearly
17 indicated is that the regulations that the FDA has is,
18 in most part good, but there are situations that need
19 to be dealt with regarding human subject protection,
20 period.

21 There are models from both the industry
22 side, from the academic side. There are newer models
23 that are being tried. All of them have strengths and
24 all of them have weaknesses, but the fact of the
25 matter is if we agree that many of the innovations in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 gene therapy come from the academic situation, what is
2 their piratical approach that we can really take
3 toward that and I think that while we certainly all
4 feel differently about whether one has a better or
5 less advantage, I would just encourage people to try
6 to look to the fact that FDA, in fact, is not making
7 any specific requirements. We have suggested that
8 this may be a useful area for CROs, but as you've
9 noted, CROs are not without their own problems. We've
10 noted that academics have their own set of problems in
11 terms of who reports to who, and yet there are
12 strengths in the situation as well in terms of vigor
13 and energy and other academic freedoms that are useful
14 in the discussion.

15 Voicing all the advantage and
16 disadvantages is an absolute requirement, what you've
17 been doing, but then the real challenge is going to be
18 everybody's opinion aside, depending on where they
19 come from, that this might be better or worse. For
20 the current situation how can we move ahead?

21 DR. SALOMON: Okay, so let me stop and try
22 again to summarize what I think the committee is
23 telling you today, with the same idea, step in and
24 tell me you disagree. So I think what we all seem to
25 be agreeing on is that there has to be a monitor for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 any study. There has to be no relationship, there can
2 be no direct relationship between that monitor and the
3 investigator or investigators. And that should extend
4 back as far as the sponsor, so a sponsor could hire a
5 monitor and monitor trials by investigators with the
6 exception if an investigator is part of the company
7 that that would be considered a violation of the basic
8 understanding. That the monitoring in an academic
9 institution should be done by a separate group within
10 the institution, acknowledging the limitations that
11 we've discussed in detail that yes, at an
12 institutional level there is a potential conflict of
13 interest with institutional holding of patents,
14 etcetera, but that the nobility of the institution is
15 great enough vis-a-vis the monitoring obligations,
16 particularly with federal oversight, RAC and FDA that
17 it's acceptable and pragmatic, and that that
18 organization should report to the IRB or be the IRB in
19 some new iteration of what an RIB is. But I think
20 frankly, to get people's heads around in academia,
21 you're better off talking about it as a separate
22 organization because if you try and say the IRB can do
23 it everyone is going to get hysterical.

24 And I think that's pretty much specific.

25 And I should just say from personal experience when we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 submitted our grant in March for our retroviral gene
2 therapy program, I set up a DSMB within Scripps that
3 none of whom obviously, they're independent, and we
4 brought in several people from UCSD, so it's not even
5 just institutional. I set up a super DSMB at the City
6 of Hope, so that they were totally non-institutional
7 and they report to the DSMB that reports to the IRB
8 that reports to the three IRBs that reports to the
9 GCRC which has an Executive Advisory Board and an IRB.
10 So I mean -- I think that's what's happening in
11 academia. I think we're getting the message.

12 MS. LAWTON: If I can just say a couple of
13 things to that. First of all, I still want to come
14 back to a DSMB as separate from what we're talking
15 about currently on monitoring, so I don't think we
16 should make that comparison. It's very different
17 activities that we're talking about here.

18 I think there is one additional level that
19 you could add on if you wanted to to add some level of
20 kind of comfort around the independence of the
21 monitoring group reporting separately to the
22 institution and under GCPs which is basically what
23 we're talking about here, you also have the need to
24 audit and you could have a totally independent
25 auditing group that that institution also has to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 ensure because to monitor the independence, if you
2 like, of their monitoring group. I mean it sounds
3 complicated, but this is basic GCPs that we're talking
4 about. It's just how you set it up for those
5 institutions.

6 DR. MULLIGAN: I was just going to say
7 that I think you did summarize things very well. I
8 think the CRO that Phil mentioned is something you
9 didn't add, that that could be an alternative approach
10 to it, right?

11 DR. SALOMON: I agree. A CRO could be
12 done. I guess I'm sort of nervous about saying
13 anything about CROs. I'd hate it to get all the way
14 turned around, that now every academician has to hire
15 a CRO because I can just see that being terrible.

16 DR. SAUSVILLE: You can just add that to
17 the part of the different --

18 DR. SALOMON: I agree completely.

19 DR. SAUSVILLE: It relates to the size of
20 the place. I'm sure, M.D. Anderson is large enough,
21 so to speak, that it could empower some panel to do
22 this. I can imagine smaller places that might
23 actually need to look outside themselves. The general
24 principal is the end result. How you get there, there
25 are different solutions to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SALOMON: Fair enough, but that CRO
2 could report to your IRB and that could be the
3 institutional link in that case.

4 DR. SIEGEL: See there, the reporting
5 issue, I'm glad you've commented on that because we
6 have at various times hypothesized that perhaps
7 sponsor/investigators who hired CROs are getting more
8 independent feedback than those who hire their study
9 nurse to do the monitoring, but in fact, if the CRO is
10 reporting back only to the investigator, and in fact,
11 we've seen a problem related to that sort of
12 structure, some rather serious problem, so --

13 DR. SALOMON: But on that face, you've
14 violated it.

15 DR. SIEGEL: They could hire a CRO who
16 then could report to somebody who has independent
17 authority such as an IRB.

18 DR. SALOMON: No. The point here is that
19 again, the CRO, just to keep it simple, Jay, the CRO
20 should not be hired by the investigator. Just like
21 the -- in the concept that we've given you, the
22 monitor should not be hired by or work for the
23 investigator.

24 DR. SIEGEL: I'm sorry, we're discussing
25 solutions for the sponsor/investigator trial and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 there's nobody to hire the CRO but for the sponsor.

2 DR. SALOMON: No, the IRB can hire the
3 CRO.

4 DR. SAUSVILLE: I mean the -- I'm going to
5 return to the point that the institution is the
6 platform on which all this is occurring and we've
7 certainly seen the institution does get tarred by the
8 brush of whatever difficulties emerge. So it would
9 seem to me that they should be, the institution should
10 be and I used the word before, empowered, to really
11 step in here and -- I mean it's true that the CRO
12 could be hired by the sponsor/investigator, if you
13 want to use that term, but the reporting goes back to
14 the institution which ultimately gives the
15 investigator the license to proceed.

16 DR. SIEGEL: Right, so you're not
17 suggesting then, if you're talking about within the
18 institution that -- you're not suggesting a preference
19 as to whether an institution has its own internal
20 employees who are an independent monitoring office or
21 IRB employees or whether they hire a CRO?

22 DR. SAUSVILLE: How they do it, one can
23 imagine different solutions.

24 DR. SALOMON: That was the point Richard
25 was making to me and I thought it was well taken. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the point again should be if I'm the investigator, I
2 don't hire the CRO directly. The CRO should be hired
3 by the IRB or the institutional group, what you want
4 to call it, you know, the monitoring -- institutional
5 monitoring board, the IMB. Great.

6 (Laughter.)

7 DR. CHAMPLIN: One plea to try to make
8 this as simple as one can do it. This is an unfunded
9 mandate at the moment, another hurdle that the Phase
10 1 investigator has to cope with to get an idea off the
11 ground and this is becoming an increasingly onerous
12 task and so to not pile on anything other than trying
13 to empower the institutional IRB or monitoring board
14 I think is probably where we should draw the line
15 today.

16 DR. SALOMON: Abbey and then Amy.

17 MS. MEYERS: I just want to make the
18 comment because somebody mentioned FDA's regulations
19 for conflict of interest. I want to say it's the most
20 ridiculous thing I have ever read. I read it about a
21 month ago and it's about a paragraph long and it says
22 that the investigator has to report any kind of
23 financial stake he has in the product or something, so
24 and then the sponsor puts that information into a file
25 and keeps it in his file until the drug or the product

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is going through the approval process and then FDA has
2 the right to say we'd like to see that file. So the
3 investigator says I own \$100,000 of stock in your
4 company and they put it into a file, you see. The
5 patient never finds out. Nobody knows about it unless
6 after the product is going through the approval
7 process, then you ask about it. It's a ridiculous
8 rule and it should be up front and it should be in the
9 informed consent document.

10 DR. SALOMON: Actually, all our informed
11 consent documents have that very specifically
12 addressed, Abbey in that you -- item 16 of the Scripps
13 informed consent is the investigator does or does not
14 and if the answer is yes to this question, explain the
15 financial interest.

16 MS. MEYERS: That's wonderful that your
17 institution says that. I have never seen an informed
18 consent document with a paragraph about that.

19 DR. SIEGEL: Let me comment on that and I
20 don't want to stand here as a defender or an attacker
21 of the rule in its entirety. I'm sure that each and
22 every one of us could design a different rule that
23 we'd like better.

24 It's important to understand in viewing
25 that rule that its intent was not, which isn't to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it shouldn't have been, but its intent was not and
2 it's clearly -- its outcome is not to optimize or
3 ensure protection of patients from financial conflicts
4 of interest. The design of the rule reflected desire
5 to ensure the integrity and quality of the data that
6 support determinations of safety and efficacy for
7 marketing. That's why and -- which isn't to say that
8 the first isn't as important a goal, but however,
9 there's a resource issue, of course, in what the FDA
10 does in terms of conflict of interest and of course,
11 as I know you understand very well, the oversight of
12 patient protection is a complex interaction that
13 involves, of course, IRBs, FDA, NIH, so I will agree
14 with you 100 percent that that rule doesn't do what
15 needs to be done in terms of consent and patient
16 protection, whether that should be a different FDA
17 rule or whether in fact we need something that has a
18 scope well beyond the FDA is, I think, is an important
19 issue that of course, we're not going to discuss here.
20 But I do want to say viewed from the perspective of
21 how can you protect patient rights, yes, you can say
22 that's a ridiculous rule, but the rule is there for a
23 purpose and it does appear to have had some
24 significant roles in achieving that purpose in the
25 sense that even though we don't check until after the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Phase 3 trial is done, we have some rather consistent
2 response from industry that before -- when they learn
3 of these conflicts of interest, before they start the
4 Phase III trial, the vast majority of them will select
5 another investigator or ask for divestiture because
6 they realize that they're placing themselves at great
7 risk if they use that investigator.

8 MS. MEYERS: Don't you think FDA should
9 know about this in advance, if not after the fact, but
10 in advance?

11 DR. SALOMON: What I want to do just
12 because of time issues stay on track here. The second
13 of the two parts here, I think we've really pretty
14 much discussed. There is a little bit of a twist and
15 sometimes I'm accused of missing the twist and going
16 on, one of the twists you could put here is should we
17 advise the FDA specifically on what they should do in
18 terms of monitoring the institutional monitoring
19 board, the IMB?

20 (Laughter.)

21 Now I don't know whether that twist was
22 there, maybe I've just gotten paranoid over the years,
23 but Dick?

24 DR. CHAMPLIN: I think for an institution
25 like say the University of Pennsylvania, their role,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 their job is to teach students, to generate knowledge
2 and academic activity. Clearly -- and to advance any
3 sort of pharmaceutical or gene therapy product is a
4 very minor consideration for them relative to their
5 reputation for honesty, integrity and their overall
6 value to the community.

7 And so that I say there's real incentive
8 for an institution to do anything other than do the
9 best possible job of monitoring the quality of their
10 clinical research because that's what their reputation
11 depends upon. And so I see them as the white knights,
12 perhaps, in dealing with this issue in the future.

13 DR. CHAMPLIN: I think that we've come to
14 an agreement on the committee with what -- the premise
15 of what you're saying is that the institution is noble
16 enough to do this right and that's the premise of the
17 institutional monitoring board. The question, I guess
18 I was just trying to make sure we didn't leave and go
19 on to the next one without making sure you guys didn't
20 want -- is that in a way, that could be a whole lot of
21 stuff could go on and then you could find out you had
22 an incompetent, not an ignoble institutional
23 monitoring board. And so I guess the question about
24 be probably the FDA does want to have some sort of
25 program in practice that does review the institutional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 monitoring boards, not every year, but on some sort of
2 a basis.

3 DR. CHAMPLIN: Actually, the FDA inspected
4 us this week. Spent a week at M.D. Anderson,
5 reviewing our IRB. And we passed, I'm happy to say,
6 but there is a process already in place for just that
7 function.

8 DR. SALOMON: And if that's considered
9 adequate, then we can move on.

10 MS. LAWTON: Yes, the only comment that I
11 would have on that is that it's my understanding, yes,
12 we've just been through these inspections because of
13 gene therapy, but there is not the resources at FDA to
14 routinely do those types of auditing. So what we're
15 saying is that we're actually -- we are relying on the
16 institutions to do that, to play that role
17 appropriately. And that's fine if that's what we
18 leave it at, but I don't think we should assume,
19 especially for Phase 1-2 trials, you also heard it's
20 more common to do audits of Phase 3 trials and so it's
21 very unlikely that these institutions will be reviewed
22 and audited for that role that we're now saying they
23 should play.

24 DR. SIEGEL: Well, that's right, but what
25 I heard Dr. Champlin say and I think it's right, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not exactly that the institutions are noble, but that
2 it's, in fact, in their self-interest to do this
3 right. And I think my perspective of the experience
4 of the last couple of years with academic institutions
5 is that while that's clearly true, some have not
6 realized that and some -- which is to say they don't
7 have well functional IRBs or well functional clinical
8 monitoring or oversight and may not realize how much
9 that's in their disinterest until they go through
10 experiences such as five or six major academic medical
11 centers have gone through in the past year or two and
12 I won't name names, but we all know who they are
13 anyhow, at which time and I've talked to a number of
14 university deans and presidents and they all seem to
15 think that, in fact, it is in their interest to do
16 these oversight programs much better, that the harm to
17 prestige and the financial harms as well can be huge.

18 So I think that a lot of what is needed is
19 also education and discussion and networking and
20 university-sharing experiences and learning from each
21 other and learning from industry and from professional
22 groups and whatever and --

23 DR. SALOMON: My point, Jay, in follow up
24 to what Dick was saying is if tomorrow we now
25 institute a guidance that institutional monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 boards need to be set up at all the institutions
2 around the country, which is kind of what we're
3 advising, something like that or these different
4 alternatives, all I'm trying to say is that if you
5 then think you've got the problem solved, I just
6 question that and there should be some sort of a
7 process then that monitors these institutional
8 monitoring boards. That's all I'm saying.

9 DR. SIEGEL: No more than having
10 commercial sponsors do the monitoring, solves the
11 problem, there has to be some sort of oversight
12 function.

13 DR. SALOMON: Right, particularly while
14 it's new.

15 MS. LAWTON: Sorry, can I just ask a
16 question because one way you say you're checking now
17 is new INDs and annual reports, etcetera, that it's a
18 requirement to document for you how the monitoring
19 will be done and the organizational structure involved
20 in that, so that's one way that you could actually
21 look very easily to see what is in place from these
22 institutions when an IND is filed. And you could go
23 back and do that retrospectively as well, if you
24 needed to.

25 DR. SIEGEL: Right, indeed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVENUE, N.W.
WASHINGTON, D.C. 20005

1 DR. CHAMPLIN: I was just saying that,
2 thinking that this isn't unlike other things that the
3 FDA does in terms of setting standards and
4 expectations. You don't inspect every blood bank
5 every year, but you set standards that blood banks
6 need to comply to and you would inspect some to ensure
7 that, in fact, those things are being carried out.
8 This would be the same principle. You set standards
9 on what institutional review should be and then
10 institutions are held to that standard when they're
11 occasionally inspected.

12 DR. SALOMON: Amy and then Abbey.

13 MS. MEYERS: I have to say that people --
14 that's the way so many people got HIV and hepatitis.
15 All right, we can't allow this to happen anymore,
16 with gene therapy especially because it's going to go
17 right down the tubes if there are more deaths and more
18 abuses of the system. And we have to do something
19 more carefully because the institutions are not the
20 white knights. The University of Pennsylvania was not
21 a white knight and OHRP has gone in and closed down
22 university after university for all of their clinical
23 trials because the abuses were so bad. So the
24 government has got to step in and it has got to be
25 much stronger than it's ever been in the past.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SALOMON: Amy?

2 DR. PATTERSON: My comment sounds awfully
3 mundane after that. I was going to perhaps offer a
4 segue to Question 2. I think Dan gave an excellent
5 summary about 15 minutes ago, but I think that Alison
6 Lawton's comment about keeping in mind that there's a
7 clear distinction between a clinical trial monitor and
8 a monitoring board and I think the dialogue is
9 continue to muddy those different roles and
10 responsibilities and I want to put in a plea to the
11 committee when you're answering Question 2 to make
12 sure you're very clear about what you're referring to
13 when you're using the term monitoring because I think
14 it will have a big impact on the utility of your
15 advice to FDA, to distinguish a clinical trial monitor
16 from a DSMB.

17 DR. SALOMON: Good. Abbey, does anybody
18 want to comment specifically on -- you did, I know, I
19 know.

20 I think then we can move on to Question 2
21 which Amy has done a good job of sort of setting the
22 stage for. So the regulations and guidance indicate
23 monitoring should be adequate to ensure data integrity
24 and protection of patients' rights and welfare, but
25 they don't describe either the frequency of monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 or the extent, the proportion of the patients
2 enrolled, sampling, for example. In some
3 institutional monitoring programs, a randomly selected
4 sampling of active studies are monitored during the
5 year. It's conceivable that over several years, some
6 studies might never be monitored during the conduct of
7 the trial and only I guess retrospectively.

8 In those programs where selection of
9 studies for monitoring occurs annually such that a
10 study could accrue patients up to one year before the
11 first monitoring study.

12 I guess what they're asking us is if we've
13 agreed in the first part that we have to have an
14 institutional monitoring board, how -- what kind of a
15 guideline, what do we expect from that institutional
16 monitoring board which of course is the same thing as
17 if our institutional review board hires a CRO, it's
18 still the CRO is becoming our institutional monitoring
19 board. So if everyone is okay with the concept of an
20 IMB, just so we have the right -- we're all talking
21 about the same thing.

22 MS. LAWTON: I guess I'm not because now
23 I'm getting confused as to whether you're looking at
24 the IMB as more of a DSMB type or is the IMB
25 overseeing --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SALOMON: IMBC is what we've been
2 talking about all along. It is a monitor. It's not
3 a data safety monitoring board.

4 MS. LAWTON: Okay.

5 DR. SALOMON: It's monitoring the trials.
6 It's -- I mean maybe we should define, if you want,
7 exactly what monitoring means. Why don't you start,
8 Alison?

9 MS. LAWTON: I can go there. I just think
10 maybe we shouldn't use the phrase an IMB because I
11 think that's what's confusing it. I think what we all
12 are in agreement, that we're talking about monitoring
13 and that's separate from an DSMB. Monitoring is going
14 in and checking source verification of the data that's
15 put in the case report forms. We routinely do that
16 100 percent, source verification, you know, making
17 sure adverse events reported, etcetera, that type of
18 monitoring.

19 DR. SALOMON: What do you call the group
20 in your company that does that?

21 MS. LAWTON: That is part of the clinical
22 operations group, that's from the company that would
23 go in. We would have clinical monitors for every
24 single study assigned every site that's involved in
25 that study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. CHAMPLIN: This was usually done for
2 a licensing trial, but not necessarily every trial
3 that's being done with a new product.

4 MS. LAWTON: I disagree with that very
5 strongly. We monitor every single study regardless of
6 what phase of development.

7 DR. SAUSVILLE: I just -- maybe this is in
8 the spirit of what was being stated, I mean we've used
9 this term IMB or monitoring board. I actually think
10 that's being more complicated than it has to be.
11 Studies, as was stated, are monitored routinely in a
12 Phase 1 and Phase 2 context, at least by what, for
13 example, studies of NCI sponsors.

14 And one could imagine that an institution,
15 if the reporting structure, and this gets back to what
16 we said before of the people who are doing the
17 monitoring is separate from the investigator, it
18 doesn't need to be dressed up as a board or anything.
19 I think there are well established ways of source
20 verifying adverse events, reporting, etcetera.

21 If you feel that we want to layer on this
22 notion that there would be an auditing function or a
23 monitoring function, that's going in, I think, a
24 potentially difficult direction. I think that as long
25 as the general principles are stated, how -- either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 companies or institutions solve this, I think, to use
2 the analogy that Dick made before, FDA should set the
3 standards and obviously when these things are called
4 into question in the normal following up of things
5 then if things aren't being done, then it will make
6 itself apparent. And that's where it would stop.

7 DR. SALOMON: Yes, I have no problem with
8 any of that stuff. I guess I was -- remember, I
9 initially came up with the IMB just to have a word and
10 we congratulated me initially for having quickly -- it
11 just shows you why you can never come quickly with a
12 word because it doesn't work that way.

13 I like the idea now of the OCM, the Office
14 of Clinical Monitoring.

15 (Laughter.)

16 Just kidding. Anyway, the bottom line
17 here is that it's not -- I just wanted to stop us from
18 talking about that being an invisible add-on tomorrow
19 to the IRB, that's all I was trying to get across, but
20 it could be just two or three individuals given some
21 space somewhere who are in charge of monitoring all
22 these programs.

23 So if we do that, how often should these
24 people be monitoring? Are we talking about weekly,
25 every single patient enrolled, some sort of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 guideline for if it's a 100-patient trial or a
2 10-patient trial that it would be different?

3 MS. MEYERS: In my mind, I'm thinking this
4 is going to be the people who go in there and check
5 that the adverse events have been reported to the IRB
6 and to NIH, the RAC, and to FDA, just to make sure
7 that the paperwork is right and that nothing is being
8 kept secret. So I don't think they'd be needed more
9 than twice a year to go in and check and make sure
10 that all those adverse events have been --

11 DR. SAUSVILLE: To me, it's an
12 accrual-based issue. I mean if you have a very active
13 trial, they're going to have to be working all the
14 time. If you have relatively infrequent accrual they
15 don't have to be doing things all the time. So I mean
16 that's going to be -- generally, there's a percentage
17 type basis, 10 percent, 20 percent of the charts get
18 looked at, that's on the high end. Two percent is on
19 the low end. And people probably sort themselves out
20 somewhere in between.

21 DR. SALOMON: There's certainly -- there's
22 one more question and there's more discussion that we
23 could have. We're at a point here and particularly
24 because of some issues that need to be done today,
25 cannot be done tomorrow, and particularly with Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Sausville who needs to leave some time around 6, so
2 I'm going to end this discussion here. I think that
3 I've summarized it more than once. I don't think you
4 need to hear me do this again. I'm sure you're all
5 relieved. If we haven't solved everything, I'm
6 willing to at the discretion of my colleagues here
7 bring this up again tomorrow and I'd like to end here
8 for the moment and go on to the end here which is we
9 need to present the CBER intramural research programs
10 and then have -- we need to do that quickly enough to
11 have some time to close the session and have some
12 discussion with Dr. Sausville who chaired that.

13 DR. SIEGEL: You needn't feel badly about
14 not solving everything, let me just say that. That
15 wasn't the goal, as I indicated. This is an
16 intensive, but on-going and not overnight process of
17 relooking. The whole structures of oversight of
18 clinical research and patient protection and I think
19 the perspectives of this committee are a very
20 important part of that and we appreciate the
21 discussion and I'm sure we'll be talking with you more
22 about it in the future.

23 DR. SALOMON: This part is still public.
24 It represents the on-going FDA process of site
25 visiting and review of internal research programs and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we have --

2 DR. SIEGEL: Yes. I am on the agenda,
3 that is, in lieu of Katy Stein, the Director of the
4 Division of Monoclonal Antibodies who is unable to be
5 here today.

6 And in the interest of time and also
7 because it's really not terribly essential to the
8 process, I'll keep my remarks very brief. As we're
9 entering into the overview of Dr. Marjorie Shapiro,
10 the role of the division director and my role is just
11 to provide a little bit of framework. The Division of
12 Monoclonal Antibodies is one of the three
13 product-oriented divisions in my office, along with
14 Phil's Division of Cell and Gene Therapy and Division
15 of Therapeutic Proteins and then we have a Clinical
16 Trials Division that Karen directs and an Applications
17 Review and Policy Division. And it has as its name
18 would imply oversight of monoclonal antibodies, both
19 for diagnostic and therapeutic use, as well as some
20 closely related products built in monoclonal antibody
21 backgrounds. The science in this field and the
22 technology in this field have been expanding and
23 burgeoning rapidly as many of you know with tremendous
24 advances and the technologies for engineering these
25 antibodies, designing them, selecting them and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 producing them and applying them to various diseases
2 and as such they've represented as many as half of the
3 new products that we've reviewed and this division
4 plays a very important role, both in review of those
5 products and in setting the policies and procedures
6 for that class of products.

7 Dr. Shapiro works within the Laboratory of
8 Molecular and Developmental Immunology in that
9 division and is one of our investigator-reviewers and
10 I'll leave it at that.

11 DR. SALOMON: It's my understanding now
12 that we'll get a brief presentation.

13 DR. SHAPIRO: Good afternoon. I'm going
14 to try to shorten my remarks, so if things don't go as
15 smoothly as they might have, it's in the interest of
16 time.

17 My interest has been in my lab has been in
18 studying the contribution of individual germ line
19 light changings to the diversity of the antibody
20 repertoire and we've shown that genes that are fully
21 functional in terms of their ability to recombine
22 don't always get used in a pre-immune repertoire. And
23 from this observation, we then went on to start
24 another project because we're beginning to see
25 antibodies derived from new and exciting technologies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in the field such as the humanized mass and fate
2 displaced library* (T6S1-beg.) And they have a vast
3 potential to produce both safer and perhaps more
4 efficacious antibodies. But there may be potential
5 implications that we don't understand about these
6 products, such as they don't undergo the normal
7 selection process that an antibody that's produced in
8 a human or a mouse might go through.

9 I'm going to briefly skip through this.
10 This is my slide of B cell development which I hope
11 you all are aware of.

12 Next slide, please. Basically, B cell
13 development hinges on the rearrangement of heavy chain
14 and light chain genes, expression of various forms of
15 the B cell receptor on the cell surface, lead to a
16 variety of processes including allelic exclusion in
17 the pre-B cell, receptor editing, apoptosis and so on
18 as you go on through development.

19 Next slide, please. This is a picture
20 taken from a paper from Hans Zackov's group which
21 mapped the entire three megabase murine light chain
22 region. There are 141 individual genes which are
23 represented by the mice. Mice here of the same color
24 are within the same light chain family. We've been
25 particularly interested in the three gene family which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is shown there in the oval, the Vk10C family. Two
2 members of this family are seen in a variety of immune
3 responses to both T development and independent
4 antigens in several different kinds of inbred mice,
5 but the Vk10C gene has never been seen in a mature
6 antibody and we've been investigating why.

7 So the next two slides show the results of
8 our studies. Next slide, please.

9 The first paper we published on this we
10 showed that the Vk10C is structurally functional and
11 is capable of recombination, that messenger RNA is
12 present in the spleen at 100 to 1,000 fold lower
13 levels than that of the utilized genes Vk10A and B,
14 and an in vitro model using a reporter gene assay, we
15 show that the Vk10C promoter is less efficient in
16 pre-B cells than the Vk10A promoter.

17 Now we've done some site-directed
18 mutagenesis of the three nucleotides that are
19 different between the A and C promoters and we show
20 that if you change one nucleotide that would be near
21 the transcription initiation site, in the Vk10C gene
22 and change that to the Vk10A nucleotide, we can
23 restore the efficiency.

24 We then went on and tried some EMSA,
25 electromobility shift assays and those results were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 inconclusive, so we're sort of at a dead end for now
2 with this aspects.

3 Next slide, please. A more recent paper
4 we published we showed that the Vk10C gene is
5 completely accessible to the recombination machinery.
6 It's equally accessible or even more accessible than
7 the Vk10B gene based on a readout of germ line
8 transcripts, that the gene recombines at the same
9 frequency as other family members and the most
10 interesting observation was that as a B cell matures
11 from a pre-B cell through the mature B cell stage in
12 the periphery, you selectively lose productive Vk10C
13 rearrangements.

14 So the next slide shows some possible
15 reasons for Vk10C expression. The first is that the
16 promoter is inefficient in pre-B cells and because of
17 this you may not get enough light chain protein
18 expressed to pair with heavy chain to put a mature
19 immunoglobulin on the cell surface.

20 Another possibility is the light chain
21 protein doesn't pair well with heavy chains and again,
22 you wouldn't get immunoglobulin expressed on the
23 surface. In both cases, this cell would remain
24 functionally a pre-B cell because it wouldn't have any
25 mature immunoglobulin on the surface, so light chain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 would continue to recombine until it made a good light
2 chain of some other gene from some other family and
3 that would be a reason for losing a Vk10C
4 rearrangement.

5 The third possibility is that Vk10C can
6 pair with heavy chain, but when it gets put on the
7 surface it undergoes a negative selection event. In
8 such a case, again, the immature B cell which is still
9 in the bone marrow, a negative selection event would
10 either lead to apoptosis or again receptor editing
11 where a light chain recombination would continue and
12 again you would lose the light chain gene.

13 So next slide. At the time of the site
14 visit last October, I had these slides about future
15 directions and I want to spend a little bit of time
16 discussing what we've done with these proposed
17 experiments at that time.

18 The first experiment, again, is to get
19 back to this inefficiency of the Vk10C promoter. So
20 we thought rather than trying to stick with the in
21 vitro assay and the gel shifts, we would try to do a
22 real time PCR in freshly isolated pre-B cells. And an
23 outline of this experiment is shown on the next slide.
24 All the nucleotides we had used which were specific
25 for the Vk10A, B and C genes in all our other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 experiments were not appropriate or the constraints of
2 a real time PCR assay, so we had to go back and
3 develop new primers and probes to do this. We've
4 developed a five prime primer and two different three
5 prime primers which are shown up there on the white
6 that would give us amplicons of 163 and 177 base
7 pairs. And we have three probes each specific for the
8 Vk10A, B and C genes, all contained from within the
9 CDR1 region. And the Vk10C probe differs from A by
10 two nucleotides. It differs from B by 4 and A and B
11 differ from each other by two nucleotides. The other
12 thing we had to do was generate appropriate plasmid to
13 use as controls to work out the conditions. So we now
14 have done all this and we're starting to do the
15 experiments to work out the right PCR cycle conditions
16 and temperatures and everything. So once we work that
17 out we'll go and we'll sort for pre-B cells and do the
18 experiment and hopefully we'll get an informative
19 answer.

20 Next slide, please. The second future
21 direction was to look, to examine this question of can
22 Vk10C pair with heavy chains. And the way we propose
23 to do this is to put a Vk10CJk1 rearrangement in phage
24 display vector and then clone in PCR of polyclonal
25 heavy chain rearrangements from LPS stimulated spleen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cells. We haven't started this yet. It depends on
2 our ability to make a phage display library and I'll
3 get to that with the second project.

4 Next slide, please. The third question
5 that we wanted to explore is maybe Vk10C is negatively
6 selected. The experiment design on the bottom, right
7 now it looks like we may not end up doing it based on
8 results we've gotten from the first experiment. The
9 second experiment and I'll discuss the outline of it
10 in a minute, but in the next slide, I'm going to show
11 you results of, we've examined the usage of the Vk10C
12 in autoimmune mice. The reason for doing this is we
13 thought because autoimmune mice are deficient in
14 getting rid of heavy light chain pairs that would be
15 negatively selected in a normal background, perhaps if
16 this was the case we would see increased expression of
17 this gene in mice of autoimmune backgrounds. But as
18 you can see the top 6 mouse strains have the
19 autoimmune background and the last row there is the
20 Vk10 frequency of Vk10C rearrangements in the spleen
21 and you really don't see a significant difference from
22 C57BL/6 and BALB/c mice which have normal backgrounds.
23 So from this experiment it's looking like Vk10C is not
24 negatively selected.

25 Next slide, please. The second experiment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to address is to look at another kind of recombination
2 called RS recombination. The top line depicts a germ
3 line kappa locus. The greenish box would be the
4 constant region and downstream of that, the black and
5 white box is something called an RS element. It's 10s
6 of KBs downstream. And also in the middle that little
7 black triangle is an isolated heptomer which is part
8 of the recombination signal sequence of antibodies.

9 Now what can happen is two kinds of
10 recombination here, either a germine V gene, the green
11 gene on the left can recombine through its
12 recombination signal sequence directly with the RS
13 element downstream of Ck in which case you would
14 delete the constant region and any VJ join which would
15 have occurred. And this is a way to inactivate a
16 kappa allele which may have had a nonproductive
17 rearrangement or may be negatively selected for some
18 reason and might prepare the cell to go on and
19 arrangement the lambda locus which usually occurs
20 after kappa rearrangement, but not all the time.

21 A second kind of recombination would
22 recombine the isolated heptomer in the entron to the
23 RS element downstream of the constant region. Again,
24 this would inactivate this locus, but it would leave
25 a VJ join intact. Both of these kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 recombinations are seen in 74 percent of lambda
2 positive cells and 12 percent of kappa positive cells.
3 Twenty-five percent of these rearrangements of the
4 Type B which leaves the VJ join intact. And in
5 earlier studies, people have shown that about 47
6 percent of these VJ joins are in frame which would
7 indicate -- they took that to indicate that these good
8 rearrangements perhaps were eliminated because of the
9 negative selection process.

10 So we've designed primers and are working
11 out the conditions now in the lab that would amplify
12 specifically Vk10 rearrangements to this RS element
13 and again, we have the primers that we've used in the
14 past that are specific for the three genes. And we
15 would like to ask the question, do we see a higher
16 frequency, a significantly higher frequency of Vk10C
17 in frame or productive rearrangements in this kind of
18 recombination than the others. If it's higher, then
19 this could be taken as evidence of negative selection.
20 If it's not higher, then it would be consistent with
21 our studies in autoimmune mice in that Vk10C is not
22 negatively selected.

23 Next slide, please. This slide, long term
24 future directions for continuing this study. There
25 are 20 other genes that are functional in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that they don't have obvious mutations that would
2 preclude them from recombining or undergoing splicing
3 or being expressed in any other way, but there have
4 also been no antibodies seen that have used these
5 genes. So we're interested in seeing if the
6 phenomenon of Vk10C is specific to that or if we can
7 find a common reason for why these 20 genes that have
8 been maintained in the repertoire over the years are
9 still available.

10 And we'd also very much like to get to the
11 level of studying the accessibility of this locus at
12 the level of chromatin. Hopefully that will come in
13 the near future.

14 So we'll skip the next couple of slides in
15 the interest of time. This is my -- we're going
16 directly to the next project. No, go back one slide,
17 please.

18 I mentioned before that we have these two
19 new technologies that have vast potential to make
20 antibodies, especially phage display, to make
21 antibodies against antigens that are not good
22 immunogens in vivo. So you can target a lot more
23 things and we see a lot of potential there. But phage
24 display libraries do not undergo any kind of normal
25 selection process. It's totally in vitro. So you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 could get heavy light chain pairs that would never
2 come out in a human. So we asked the question is the
3 phage display repertoire normal. And so what we did
4 was we immunized the mouse with tetanus toxoid. We
5 made hybridomas from half the spleen and we made
6 messenger RNA from the other half of the spleen to
7 generate a phage display library. Now as I said, last
8 October, we would like to be as good as regulated
9 industry at making a phage display library, but we're
10 not there yet. We initially had some trouble with the
11 initial vectors that we chose. We have since gotten
12 a new vector. We were having problems with both
13 having high background levels and low efficiencies.
14 When I get back into the lab next week, hopefully we
15 will find out that we've solved those problems and we
16 can generate the library because that is a main goal
17 of ours.

18 Actually, one of these slides I skipped.
19 Maybe we could just go back one slide, please. What
20 I wanted to say is antibodies are inherently
21 immunogenic. We do have a lot of experience now with
22 licensed products. Our murine products, the whole
23 antibodies, you can see that 55 to greater than 80
24 percent of patients make an immune response to it.
25 When you remove the constant region, that drops down

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to a pretty good level, similar to what you see for
2 chimeric and humanized antibodies. While we don't
3 have a lot of experience with phage display
4 antibodies, there are some hints that they may be more
5 immunogenic than one would have predicted.

6 Can we go forward two slides, please? So
7 while we haven't made the phage display library yet,
8 we have analyzed our hybridomas and most of our
9 hybridomas bind to the fragment C portion of tetanus
10 toxin and so this summary slide is a little bit more
11 complicated than when I presented it in the fall
12 because we've done some more studies and we're still
13 trying to sort them out. But what we did was we
14 generated 11 fragment C specific antibodies and two
15 other antibodies, the 18.2.12.6 and the 18.1.7 were
16 generated at CBER in the 1980s and we included those
17 in our analysis. So we grouped them by the VHVL pairs
18 that they express and then we did ELISAs, cross-
19 blocking ELISAs to show that they recognize four
20 unique epitopes on fragment C. We then set up an
21 ELISA to show if these monoclonals could block
22 fragment C from binding gangliocyte which is how
23 tetanus binds to neurons and gets inside cells. And
24 the 18.2.12.6 had been previously shown to enhance
25 binding. In our hands, it did the same. All the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 other antibodies blocked binding except for the one on
2 the bottom, 72B9.

3 Then last summer we started a
4 collaboration with Elaine Neale and Karen Bateman of
5 the National Institute for Child Health. They have a
6 spinal cord neuron assay and so we put our antibodies
7 in our system to see if they could block the activity
8 of tetanus toxin on spinal cord neurons. And
9 everything worked the same as in our GT1B binding
10 ELISA except for the second antibody, 35F7 and the
11 last one, 72B9, where in the spinal cord neuron assay
12 the results were the opposite with what we saw in our
13 GT1B binding ELISA. So we wanted to explore why this
14 happened and we looked at the buffer components and it
15 turns out that the pH has an influence on our GT1B
16 binding ELISA. It didn't change the results of the
17 other antibodies, but for those two that didn't get
18 consistent results, when we started out with our
19 antibodies and a lower pH buffer, then the results of
20 our ELISA were more consistent with the spinal cord
21 neuron assay. And we still don't understand this
22 completely, but that's the data that we have so far.

23 So next slide, for our future directions,
24 obviously, the phage display library is on the top of
25 our list.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Next slide. We wanted to do in vivo
2 protection assays with our antibodies and affinity
3 measurement. Before we start these those we realize
4 that even though we grew our antibodies in reduced
5 serum medium, bovine serum, bovine IGG has an
6 antitetanus component to it. So we went back and we
7 rederived all our hybridomas in serum-free medium and
8 we're purifying them now. And so we'll get to doing
9 these studies.

10 But we've spent a lot of time in the last
11 year trying to map the epitopes which we thought would
12 be straight forward and that's also been a problem for
13 us.

14 Conventional wisdom has it that if your
15 antibodies recognize an antigen on Western Blot, then
16 they recognize linear epitopes. So we contracted with
17 a company -- next slide -- which would map our
18 antibodies on a series of overlapping peptides and
19 these are the profiles. The top two rows and then the
20 panel on the bottom right show the profiles after the
21 isotope controls have been subtracted out. The panel
22 on the bottom left is a gamma 1 control. I didn't
23 have room for the gamma 2 control here. And you can
24 see that we really don't have any good binding. The
25 peaks you see in the middle two panels, all the way on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the right, were also the major peaks in all the
2 antibodies before the back-on was subtracted and if
3 you look -- actually, next slide, please.

4 Okay, if you look here that peptide falls
5 in this little cavity here in between these two loops,
6 so you wouldn't think that that peptide, that area
7 would be available for binding to antibodies. And
8 indeed, when we had the peptide made, we couldn't show
9 direct binding of the antibodies to that peptide. So
10 this -- these data weren't informative to us other
11 than to tell us perhaps that the conventional wisdom
12 didn't hold true here and perhaps we have
13 confirmational epitopes.

14 So that we have some other colleagues in
15 the Office of Vaccines that also study tetanus and
16 they have made a series of amino acid substitution
17 mutants and a deletion mutant in this part of fragment
18 C and in the next slide, this is data that we just
19 generated in the last week. I see all my symbols
20 didn't translate.

21 I didn't name the mutants, didn't specify
22 the mutants because they haven't been published yet,
23 but what we did was we compared their binding relative
24 to wild type fragment C. And in all cases, we didn't
25 have any antibody where it bound fragment C and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the mutant, it didn't bind at all. It either increased
2 the binding or decreased the binding or what here is
3 shown as the squares. It just stayed the same. So
4 like I said, we just got this data in the last week
5 and we needed to sit down and look at it. Maybe it
6 will be informative, but at a first glance, we may not
7 be able to figure out what the epitopes of these
8 antibodies are. There is one more thing we could try,
9 but we've been trying for a year, so I don't know.

10 Next slide, please. In our future
11 directions, we have about half a dozen or so
12 antibodies that don't bind fragment C that we want to
13 do similar assays with. We've also rederived these in
14 ceoprime medium and are purifying them. So we'll get
15 those experiments done.

16 The last slide is our long-term future
17 directions which at this point we haven't begun to
18 even think about yet. And I'd like to acknowledge on
19 the next slide, I have two people in my lab, Sean
20 Fitzsimmons and Kathy Clark who have done all the
21 work, our collaborators at the Institute for Child
22 Health who did the spinal cord neuron assays, Heather
23 Louch and Willie Vanno of OVRP who provided us with
24 mutants.

25 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005