

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

Friday,  
April 6, 2001

The Committee met in the Second Floor Ballroom at 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:30 a.m., Daniel R. Salomon, M.D. Chairman, presiding.

PRESENT:

DANIEL R. SALOMON	Chairman
RICHARD E. CHAMPLIN	Member
RICHARD C. MULLIGAN	Member
EDWARD A. SAUSVILLE	Member
ALISON F. LAWTON	Guest Industry Representative
GAIL DAPOLITO	Executive Secretary
ROSANNA L. HARVEY	Committee Management Specialist

*OPEN*  
This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1546 '01 APR 20 49:24

ALSO PRESENT:

ABBEY MEYERS  
W. MICHAEL O'FALLON  
MARY D. ELLISON  
BERKELEY M. KECK  
AMY PATTERSON  
PHILIPPE BISHOP  
SUZANNE EPSTEIN  
PATRICIA KEEGAN  
PHILIP D. NOGUCHI  
JAY P. SIEGEL  
KAREN D. WEISS  
CAROLYN A. WILSON  
KATHRYN C. ZOON  
SALLY SEAVER  
MICHAEL WERNER  
MALCOM MCKAY  
ELLIOTT GROSSBARD  
JANET CHRISTENSEN

This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

AGENDA

**Presentation of Certificate of Appreciation  
for Committee Service . . . . . 4**

**SESSION III**

**FDA Introduction**

**Long-Term Follow-Up of Gene Therapy Patients . . . 8**  
Philippe Bishop, M.D.  
Division of Clinical Trial Design  
and Analysis, CBER

**UNOS Presentation**

Mary Ellison, Ph.D . . . . . 11  
Berkeley Keck . . . . . 14

**FDA Presentation**

Philippe Bishop . . . . . 42

**Open Public Hearing . . . . . 137**

Dr. Sally Seaver, Seaver Associates . . . 138  
Michael Werner, BIO . . . . . 144  
Malcolm McKay, Vice President of Quality and  
Regulatory Affairs for Cell Genesis 147

**Introduction - FDA Proposed Rule**

Dr. Philip Noguchi . . . . . 149

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

8:30 a.m.

1  
2  
3 CHAIRMAN SALOMON: Welcome, everyone, to  
4 the second day of the BRMAC meeting. And what we'd  
5 like to do to start off with is introduce Kathy Zoon,  
6 the Director of CBER and Jay Siegel, the Director of  
7 OTRR in CBER to present a certificate of appreciation  
8 for Committee service.

9 MS. ZOON: Good morning. And it's a great  
10 pleasure always to attend our advisory committee  
11 meetings and take the time to recognize the service  
12 that our advisory members give us, and to recognize  
13 how important the advice that these members provide to  
14 the Agency on many difficult and important issues.  
15 And today I have the pleasure of giving Dr. O'Fallon  
16 official recognition for his service on the BRMAC. He  
17 has been one of our outstanding members, and I want to  
18 thank you from the Center's perspective, not only for  
19 your contributions on the BRMAC, but your  
20 participation as a consultant on some of our other  
21 advisory committees. And we look forward to working  
22 with you in the future.

23 I always say this in gist, because it  
24 seems once you're on an FDA advisory committee you're  
25 asked to call back to service for important issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the Agency faces. And I'm sure, Dr. O'Fallon, we  
2 will be asking for your help along the way.

3 But as a special recognition, we have a  
4 plaque and a certificate we would like to give you and  
5 to then say thank you very, very much.

6 DR. O'FALLON: Thank you very much.

7 DR. SIEGEL: You know, we never rehearse  
8 this and it always seems awkward. And I think the  
9 reason is because it really only takes one person to  
10 do an introduction, but I always feel like, you know,  
11 after years of working together and receiving the  
12 donations of time -- well, they're not donations. I  
13 guess we pay you well with the Government per diem

14 DR. O'FALLON: That's called a donation.

15 DR. SIEGEL: But I do feel like I have to  
16 express my gratitude. Dr. O'Fallon, your  
17 contributions I guess in the first two or three years  
18 you were on our committee as we dealt with many  
19 clinical trials and drug approvals were  
20 extraordinarily insightful and valuable. And we had  
21 a talk, you know, a couple of talks over the last  
22 couple of years as we moved to other equally critical  
23 but very different sorts of issues in product  
24 development for cell and gene therapies as to just  
25 viewing together and assessing what your role would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be. And I have been endlessly impressed with the  
2 value of your approach to data in general and  
3 insightful comments about how to look at data and how  
4 to assess what we're looking at, and how to collect  
5 data and how to evaluate the data that we've  
6 collected. They've been extremely helpful and we're  
7 most appreciative and I think most fortunate to have  
8 such a distinguished scholar, scientist and  
9 statistician in our group. And so thank you very  
10 much.

11 DR. O'FALLON: Thank you.

12 CHAIRMAN SALOMON: Well, given the 45  
13 second rule that we had, Kathy gets the television.  
14 My wife made we watch that.

15 I also just would like to say that  
16 transplinters like myself are a dime a dozen, and  
17 aren't missed when we roll of the committees. But  
18 Michael really represents and has continued to  
19 represent for me a really unique perspective. And I'm  
20 always looking forward to his contributions. There's  
21 no way we're going to replace you, Michael.

22 So in the beginning of this Session III of  
23 this meeting we're going to pick up the theme of long-  
24 term follow-up in gene therapy. And this is an issue  
25 that came up in the last meeting in November and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generated quite a lot of concern by many of us that  
2 transcended issues regarding sponsors and their  
3 responsibilities and issues regarding investigators  
4 and their responsibilities. Because the issues here,  
5 in my opinion, are extremely high.

6 On one hand these therapies, and hand-in-  
7 hand the other area that I'm interested in  
8 xenotransplantation, I mean in both these areas the  
9 public is appropriately aware of issues that we're  
10 manipulating genes and other tissues in such a way  
11 that you can't look at two and three year follow-ups  
12 with any sort of surety that you're covering all that  
13 might happen later. And everyone in the room here is  
14 well aware of that.

15 However, we're also well aware of the fact  
16 that this transition in our thinking is easy to talk  
17 about, but when one deals with the practical reality  
18 of taking four or five year grants, for example, and  
19 talking about 20 year follow-up, that these have just  
20 profound implications on institutions,  
21 responsibilities of individual investigators as well  
22 as small biotech companies and large PHeMA. So this  
23 is really a really serious set of discussions.

24 It's easy to talk, but we really need to  
25 make sure that whatever decisions we make, make sense,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can be pragmatically administered in all these  
2 different institutions and not cause such a situation  
3 that it would be a damper on investigation,  
4 particularly in academic institutions.

5 So, with that background, these were  
6 issues that we grappled with already and certainly  
7 have much more to talk about. And so I'd like to  
8 introduce Philippe Bishop. Dr. Bishop's in the  
9 Division of Clinical Trial Design and Analysis at  
10 CBER. And he's going to introduce the whole issue.  
11 Then we're going to have a presentation from UNOS,  
12 which Philippe will introduce. And then we'll go on  
13 to an FDA presentation. And then at that point we'll  
14 end our discussion.

15 DR. BISHOP: Well, thank you very much,  
16 Dr. Salomon. Good morning, members of the Committee.

17 Last November Carolyn Wilson and I  
18 presented issues pertaining to the long-term follow-up  
19 of subjects involved in gene transfer studies. And  
20 what I would like to do today is to resume this  
21 discussion.

22 Dr. Wilson presented issues pertaining to  
23 vector classes with potentials for long-term risks.  
24 And in our discussion last November she outlined a  
25 number of factors that can influence long-term risk,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 including two vector characteristics; namely  
2 integration and replication, but as well as other  
3 factors such as the route of administration for these  
4 vectors. And then yesterday in addition to Carolyn's  
5 talk, we heard from Dr. Chanock that the immune status  
6 of recipients is also very important in considering  
7 potential risk.

8 My discussion last November focused on  
9 barriers to long-term follow-up as expressed by some  
10 of the current sponsors of gene therapy trials. And  
11 what we heard from our sponsors is that essentially  
12 long-term or life long monitoring is very burdensome.  
13 It requires an awful lot of resources to implement.  
14 The clinical follow-up is not always practical,  
15 especially for participants in these trials whose life  
16 expectancy is measures in decades rather than months.

17 In addition, we heard that it is very  
18 difficult to obtain autopsies for a number of reasons.  
19 Something else that we heard is that unless there is  
20 a clear reason that is obvious to all of the  
21 investigators and the individuals who are collecting  
22 these data, that it is very difficult to collect  
23 complete data sets or to get people motivated to  
24 collect these complete data sets. And, therefore, the  
25 clinical relevance currently is not always obvious to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 those individuals who are charged with collecting this  
2 data.

3 And then we heard from our sponsors that  
4 it is also an unusual commitment.

5 Your Committee, Dr. Salomon, considered  
6 all of these points and made some recommendations to  
7 CBER to consider in formulating new policies and maybe  
8 new guidance with long-term monitoring of individuals  
9 in gene therapy trials. And let me try to summarize  
10 in just one slide. Certainly there was a lot more  
11 discussion that ensued around each one of these  
12 points.

13 But I think there was overwhelming  
14 consensus from your Committee that long-term clinical  
15 follow-up is indeed needed in order to determine the  
16 true risk to participants. There is public concern in  
17 addition to scientific need to really document what  
18 those risks might be.

19 In addition, your Committee pointed out to  
20 us that rather than focusing on vector classes, we  
21 should really consider principles that would be  
22 governed by the biological properties of gene transfer  
23 vectors when considering changes in long-term follow-  
24 up.

25 In addition, the practical barriers that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we had enumerated were very important consideration  
2 for future guidance.

3 Your Committee pointed out to us that  
4 there are existing models and successful organizations  
5 that have been able to collect valuable information.  
6 And you pointed out to us that the United Network  
7 Organ Sharing organization, as well as the  
8 International Bone Marrow Transplant Registries would  
9 be examples that maybe we could learn from them when  
10 formulating new guidance.

11 What I would like to do today is to turn  
12 over the podium to two distinguished representatives  
13 from UNOS, Dr. Mary Ellison, who is Director of  
14 Research and Mr. Berkeley Keck, who is Director of  
15 Information Technology to actually come up and share  
16 with us some of their experience at UNOS.

17 After their presentation, I will come back  
18 and resume my discussion about long-term monitoring.

19 Dr. Ellison, Mr. Keck.

20 DR. ELLISON: Thank you very much.

21 Berkeley and I were asked to come today to  
22 share with the Committee how it is that UNOS came to  
23 collect follow-up data on transplant recipients and  
24 what our experience has been with the collection of  
25 these data.

1           The story of transplant follow-up data  
2 collection began with the National Organ Transplant  
3 Act in 1984 which provided for the Secretary of Health  
4 and Human Services to establish and maintain a  
5 scientific registry by grant or contract. The  
6 registry would include such information regarding  
7 patients and procedures as the Secretary deemed  
8 necessary in order to evaluate the ongoing success of  
9 organ transplantation.

10           Further, the Act provided for the  
11 Secretary by contract to establish an organ procedure  
12 and transplantation network, which a lot of people  
13 know as the OPTN. And in 1986 the first OPTN contract  
14 was awarded to UNOS. And in '87 that was followed up  
15 by a contract to establish the scientific registry  
16 through which data, the follow-up -- more than follow-  
17 up data were to be collected. We collect data not  
18 only on recipients after transplant, but also on  
19 donors, demographic and clinical characteristics,  
20 candidates on the waiting list, information about the  
21 transplant procedure itself, and then follow-up data  
22 thereafter.

23           In 1986 Section 1138 of the Social  
24 Security Act stated that in order to be a Medicare or  
25 Medicaid provider transplant hospitals and organ

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 procurement organizations must be members of and abide  
2 by the rules and requirements of the OPTN. However,  
3 the authority for establishing conditions of  
4 participation in Medicare and Medicaid reside only  
5 with the Secretary and cannot be exercised by another  
6 party, including UNOS, without Secretarial oversight.

7 Therefore, in 1989 a Notice of Proposed  
8 Rulemaking was published stating that No OPTN policies  
9 could be legally binding without a mechanism for  
10 Secretarial oversight. And subsequently the UNOS  
11 contract with HRSA was amended to indicate that OPTN  
12 policies, including those governing data submission,  
13 were voluntary. And UNOS adopted its own private  
14 corporate policies requiring data submission, policy  
15 compliance as a condition of UNOS the company  
16 membership, but no strings could be attached to OPTN  
17 membership if hospitals did not submit data according  
18 to UNOS policy.

19 The OPTN final rule was finally  
20 implemented in March of 2000, having been originally  
21 published in '98 and subsequently amended. And this  
22 rule does lay out the structure for Secretarial  
23 oversight mentioned in the NPRM of '89. And it  
24 stipulates that the OPTN Board of Directors with at  
25 least 60 days notice shall provide proposed policies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that it recommends become enforceable, and that the  
2 policies will not be enforceable until approved by the  
3 Secretary.

4 Therefore, until and unless data  
5 submission policies become enforceable, as approved by  
6 the Secretary, UNOS can only do what it has always  
7 done in order to get follow-up, which is to hound,  
8 cajole, threaten, entice and use peer pressure and a  
9 corporate "member not in good standing" status as  
10 determined by the UNOS Membership and Policy  
11 Committee.

12 To date, as a peer, consensus-based  
13 organization, we think that UNOS has been relatively  
14 effective in achieving data submission compliance  
15 through its voluntary system. And to give you more  
16 information about the factors related to compliance  
17 and about the success that we have had in this, I'm  
18 going to turn this over to Berkeley Keck, our Director  
19 of Information Technology.

20 MR. KECK: The current iteration of the  
21 UNOS data collection system was implemented in October  
22 of 1999. It is completely Internet based through a  
23 secure private site that we administer in Richmond at  
24 UNOS. We currently have over 6,000 users for that  
25 system that are imputing data into a rather large

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 database of 16,000 data elements.

2 We collect information from the time of  
3 wait listing throughout the listing period, the  
4 transplant event and then follow-up for the lifetime  
5 of the transplant patients.

6 For the follow-up system, it is basically  
7 event driven in that the event being organs have been  
8 procured from a cadaveric or living donor, and the OPO  
9 or transplant center comes into our system and lets us  
10 know that those organs have been procured.

11 We collect data about those organs which  
12 then triggers us to get transplant centers to remove  
13 patients from the list. And we collect standardized  
14 data on all of those. That allows us to classify the  
15 event being, more or less, the organ type. We follow  
16 the organs from that point on, which determines our  
17 future data needs. And the computer automatically  
18 will generate records based on those needs that we  
19 determine.

20 We are currently generating between 500  
21 and 600,000 records a year of various types. We have  
22 -- I lose count sometimes, but I think right now  
23 somewhere between 25 and 30 different data collection  
24 systems or form types.

25 We collect data specific to the event at

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the time of the event; clinical information,  
2 demographic information and use that to kickoff our  
3 follow-up for the rest of the lifetime of the patient.  
4 The computer will automatically generate those records  
5 on the transplant anniversary date. They are inserted  
6 into the database and those centers that are  
7 responsible for the follow-up are notified of the fact  
8 that those records have been generated and to come in  
9 and please fill them out.

10 Achieving compliances, Dr. Ellison  
11 mentioned that is a challenge and there have been  
12 several barriers to compliance and some solutions that  
13 we've implemented that I think have been fairly  
14 successful over the years to help us achieve  
15 compliance rates that we do have.

16 Obviously, barriers are a burden of  
17 reporting and, you know, there are human resource  
18 factors time and financial implementations to  
19 supplying the level of data that we require. The  
20 centers are not paid to provide this data to us. It  
21 is voluntary, as Dr. Ellison said. And as health care  
22 has changed and there has been less and less money for  
23 this kind of thing in the transplant centers, the  
24 people that have been available to provide and fill  
25 out the forms have decreased. And so that creates

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time constraints on the people that are there, as well  
2 as cost factors for the transplant centers.

3 The volume of data that we collect has  
4 grown tremendously over the years. I first became  
5 involved with the UNOS database nearly 10 years ago.  
6 At that time there were 3,000 data elements total that  
7 we collected. Now there are over 16,000 and it grows  
8 every time we have a Board meeting. So, it's  
9 constantly changing.

10 And that, I think, is one of the barriers  
11 that committees need to look at and begin to control.  
12 As technology advances there is a push to collect more  
13 and more, and more data. But as more data needs to be  
14 collected, compliance tends to drop because of the  
15 burden of reporting factors that we've already  
16 discussed.

17 One of the issues that we've seen is  
18 mobility of the patients. We are a much more mobile  
19 society than we've ever been and loss to follow-up  
20 does become a problem over time.

21 Along with that is managed care, and that  
22 has become an increasingly big issue with follow-up of  
23 patients in that managed care corporations, insurance  
24 corporations are not allowing patients to be followed  
25 at the transplant centers following the initial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transplant surgery. They will go back to their  
2 nephrologist or their cardiologist who, you know, can  
3 be anywhere and centers tend to lose track of them  
4 because of that. And I think that is probably the  
5 most significant issue that we face today.

6 Some solutions that we have implemented  
7 are allowing -- we have developed import schemes for  
8 people that have large databases in their own centers  
9 to create files and import them directly over the  
10 Internet into our system as long as they meet the  
11 standards that we have developed for all of the data  
12 that enter.

13 We do have computer generated reminders  
14 that we email out to those people responsible for  
15 providing the information. And that has helped. We  
16 send compliance reports to program directors, to the  
17 transplant administrators and that provides them with  
18 a method of evaluating those people that are  
19 responsible for imputing the data.

20 And electronic submission, we now are  
21 getting 98 to 99 percent of all of our data through  
22 the Internet system. Very, very, very few paper forms  
23 are coming in at this point in time. And we've had  
24 the system up, you know, for about a year and a half  
25 now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1           While electronic submission may seem to  
2 help compliance, I will say that that alone does not.  
3 What it does help is improvement in the quality and  
4 completeness of information that you get in terms of  
5 online editing, rejection of information that does  
6 meet the standards at the time of entry. You know,  
7 those people entering the data know it doesn't meet  
8 the standard and need to, you know, provide complete,  
9 concise information based on the standards and edits  
10 you've built into your system.

11           The other thing it does is increase the  
12 timeliness a little bit because you are not getting  
13 pieces of paper that get shuffled around your  
14 organization, potentially get lost and then have to be  
15 hand entered. Once it's in, it's in and it's there  
16 available for research immediately. So the timeliness  
17 factor, I think, is helpful. But, we have found that  
18 centers that were noncompliant on paper or slow to  
19 comply on paper are still slow on comply on electronic  
20 submission.

21           I thought you might be interested in some  
22 of our experience with achieving compliance over the  
23 years. For follow-ups that we have generated in 1998  
24 and 1999 these indicate percentages of that data that  
25 were received within 3 months all the way through 12

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 months. And to date, for both of those, we have  
2 received 96 and 91 percent.

3 Loss to follow-up, also as I indicated, is  
4 a problem for many patients and many centers. And to  
5 give you an idea of the longer term, I have '90 and  
6 '91 follow-ups that were generated. Of those  
7 transplants that occurred in '90 and '91, these are  
8 the percent that are lost in our system.

9 As you can see, the largest area is  
10 kidney, and that is because those patients tend to go  
11 out and be followed locally as time goes by.

12 And then for short term loss to follow-up,  
13 I think from '98 and '99 transplants those figures are  
14 fairly low.

15 So that gives you an idea of the  
16 effectiveness of what we're doing.

17 And that's all I have. Thank you.

18 MS. MEYERS: Before you go, could you just  
19 say what do you do with the data? Who studies it?  
20 What kind of reports do you get?

21 MR. KECK: What do we do with the data,  
22 how do we use it, what research is done?

23 DR. ELLISON: The data are used in a  
24 variety of ways. One of the primary uses is for  
25 transplant policy development, the OPTN committee and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Board use the data in their deliberations over how to  
2 rank patients on the waiting list, and various other  
3 policies.

4 We have a public data request system.  
5 Anyone; transplant, profession, scientist, patients,  
6 Boy Scouts, anybody can call a request data and  
7 analysis. We provide data sets for researchers.

8 A primary use are deliverable reports  
9 required under contract, our HRSA contract: hospital  
10 specific outcomes reporting; the annual data reports  
11 that UNOS publishes; specific studies that the  
12 government is interested in having done or that the  
13 transplant community is interested in having done or  
14 specific individuals doing research.

15 CHAIRMAN SALOMON: I would give you an  
16 example. Actually, let me do one thing.

17 Dr. Ellison, if you could join us here,  
18 I'd like you to join us at the table for the  
19 discussion that follows, just because we really value  
20 the kind of input. And I think that some of the  
21 members have some more questions for you.

22 DR. CHAMPLIN: Yes. Can you continue to  
23 get organs through the network if you don't report?

24 MR. KECK: Yes.

25 DR. CHAMPLIN: The National Marrow Donor

1 Program for bone marrow transplants has a policy where  
2 you need to submit data, and if you're delinquent or  
3 deficient in your data submission, both in quality as  
4 well as timeliness, they will stop you from receiving  
5 further bone marrow transplants through the system,  
6 which is a powerful incentive.

7 DR. ELLISON: Well, the OPTN does not  
8 operate that way, because the data submission policies  
9 are voluntary. And if you don't submit your data, you  
10 can't be excluded from participation in the OPTN.

11 CHAIRMAN SALOMON: I mean, just to show  
12 you kind of how it works, I was writing a review for  
13 the FASEB Federation, Federation of American Society  
14 for Experimental Biology, and I had a question about  
15 needy and waiting times because I was trying to make  
16 a dramatic point about the waiting list. And I went  
17 to the UNOS website and there was able to review a  
18 number of different online reports, but I still had a  
19 question. And given the quality of what I was trying  
20 to write, I wanted the perfect data. And I actually  
21 emailed them, within 3 days had gotten a spreadsheet  
22 with the specific answers to my question. And I  
23 thought that was really a remarkable testimony to the  
24 quality of the way that the system works.

25 DR. SAUSVILLE: Could you give some

1 general rough idea of the magnitude of the cost  
2 associated with running the contract and how many sort  
3 of dollars per deliverables from your point of view?

4 MR. KECK: I can tell you what my budget  
5 is from an IT perspective in terms of collection and  
6 implementation of the data system. And it's I would  
7 say around \$6 million a year for the personnel, the  
8 equipment, the maintenance of the databases. But it  
9 is a very large database and it is dynamic.

10 CHAIRMAN SALOMON: So I should add just so  
11 that everybody has an idea of what the size here.  
12 We're talking about there are 75,000, and that'll  
13 change, patients on the waiting list right now. There  
14 are about 20,000 transplants of 13,000 kidneys, about  
15 5,000 hearts and a distribution of the rest of lung  
16 and pancreas.

17 MR. KECK: Right now we're following  
18 around a quarter of a million patients that are alive  
19 at their last follow-up.

20 DR. CHAMPLIN: Now, this is going to --  
21 the National Marrow Donor Program probably is  
22 analogous organization for bone marrow transplantation  
23 and they have a system for reimbursement, which is  
24 important. And that there is a fee that they will pay  
25 per form that is submitted. And this is one of the

1 big issues in follow-up, of course, if you're going to  
2 have people dedicated to doing this work, they have to  
3 be paid somehow and that reimbursement from the  
4 organization is a logical process.

5 In gene therapy it's going to be more  
6 complex, because you don't have the opportunity, at  
7 least, to simply limit their access to genes or DNA  
8 because that can be obtained through a number of ways.  
9 But I would think that you really need to deal with  
10 the center of the academic center in some fashion and  
11 require assurance from them that they would be  
12 reporting over some length of time, because they're  
13 probably going to be the only stable player in this  
14 whole ball game.

15 And the investigators and the junior  
16 faculty move frequently. Their half life in any one  
17 institution is often short. Companies come and go.  
18 But the academic centers, by in large, are more stable  
19 than any of the other components of the system, and  
20 they're the ones that you can probably count on for  
21 some long term follow-up. But they need to have some  
22 budget for this, and so somehow that has to be built  
23 into what ultimate system is provided.

24 CHAIRMAN SALOMON: If we're going to  
25 continue discussion, at this point it should only be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just some questions toward UNOS in terms of their  
2 practical stuff. Then what I'd like is Philippe to  
3 complete the process by kind of focusing on what he  
4 wants to, and then pick up these sort of discussion  
5 points again.

6 Yes?

7 DR. SIEGEL: So you showed data on lost to  
8 follow-up from 10 or 12 years ago -- from patients  
9 over a 10 or 12 period that was in the neighborhood of  
10 80 percent, I guess, in pancreas and maybe 90 percent  
11 or better for other organs. My question is could you  
12 summarize what is the extent of follow-up information  
13 that you attempt to get on those patients and how  
14 often? How much data are you collecting on patients  
15 that were transplanted 10 years ago?

16 MR. KECK: We collect, I would say, the  
17 follow-up forms in general on each organ is around 35  
18 data elements. And they are collected annual at the  
19 time of the transplant anniversary. And we have  
20 follow-ups. We're now generating our 13th year of  
21 follow-ups. The UNOS follow-up system originally  
22 began in 1988. But we inherited around 20,000 kidney  
23 transplant patients in 1994 from the ESRD Networks,  
24 and they have follow-ups that are over 20 years now.

25 DR. SIEGEL: That's the same elements then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 annually for the remainder of the life of the patient?

2 MR. KECK: Yes.

3 DR. CHAMPLIN: I think one of the other  
4 points you illustrated that's going to apply here as  
5 well is that the patients come back if they feel they  
6 need to come back. Now, if the center has some  
7 special expertise that they really require, and then  
8 over time there's more and more fall off as people go  
9 elsewhere for their care, they move around the country  
10 and they feel no obligation to stay in touch with you.  
11 And if one is particularly treating diseases where  
12 perhaps the gene therapy didn't do anything or that no  
13 ongoing care is necessary, it's going to be very  
14 difficult to keep tabs on those people beyond the  
15 first several years. And when you talk about 20  
16 years, I would suspect it's going to be very difficult  
17 to keep track of those individuals.

18 CHAIRMAN SALOMON: Michael, Karen and then  
19 Amy.

20 DR. O'FALLON: 16,000 was the number that  
21 I heard up there, 16,000 data elements per patient --  
22 per organ, I mean.

23 MR. KECK: That's in the entire database  
24 there's 16,000 different data elements. And we have  
25 6 organ types that we follow. Some of the data are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 common amongst them, but on any given patient I would  
2 say that we collect 500 or 600 different data elements  
3 throughout their span of collection. And that changes  
4 because the information we collect at the time of  
5 listing through the time of transplant changes  
6 frequently.

7 DR. O'FALLON: So how do you define  
8 compliance? You had 90 plus percent compliance in one  
9 year. It's certainly not with respect to everyone of  
10 those data elements?

11 MR. KECK: It is compliance with the  
12 follow-up forms only, those 35 that we collect on an  
13 ongoing basis.

14 DR. WEISS: Are there any procedures that  
15 you put in place additionally when you get a report  
16 back of lost follow-up? And much sort of control and  
17 ability do you have to dig deeper to try to really  
18 retrieve information when you get a lost to follow-up  
19 type of report?

20 MR. KECK: Not a lot. You know, that  
21 would require a fair amount of staff to do that, and  
22 traditionally we have not done much of that. However,  
23 I will say that that is one thing that the electronic  
24 system has changed, because for the first time centers  
25 were able to see, view, manipulate and modify all the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information they have ever sent to UNOS. So every  
2 patient they ever had, they could then all of a sudden  
3 follow-up, you know pull up on the screen and see  
4 what we had in our database about that patient.

5 And some of those patients that we had  
6 lost have now been resurrected, so to speak. And  
7 that's actually an issue that we have on our plate to  
8 discuss back home next week is how are we going to  
9 handle those situations.

10 DR. PATTERSON: I was wondering if you  
11 could address three issues? One is access to the data  
12 in the registry both to the public in general and the  
13 scientific community.

14 Secondly, if you could discuss the type of  
15 clinical outcome information that you collect on  
16 patients.

17 And thirdly, and this reflects on the  
18 second point, outcomes. How do you handle information  
19 from transplant studies that are conducted when  
20 there's an investigation on new drug application  
21 underway and an investigational agent that's being  
22 studied, and therefore there may be commercial  
23 interests involved and some of the outcome data may be  
24 viewed as commercial confidential information? And  
25 answer that question with a mind to who has access to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the data and what type of outcome data you collect.

2 So, three points: access, outcome and  
3 when you have a commercial sponsor or an  
4 investigational study underway.

5 DR. ELLISON: Virtually no one has access  
6 to patient identified information.

7 DR. PATTERSON: I should just interject,  
8 I don't even want to go down patients' identifiable  
9 information. I just want to talk about clinical  
10 outcomes of the transplant work, what were the adverse  
11 events or complications not traceable to an individual  
12 patient.

13 DR. ELLISON: We produce data sets upon  
14 request by anyone wishing to look at data. Hospitals,  
15 of course, have access to everything that they have  
16 provided. But researchers wanting more detailed  
17 information can get data sets that have identifiers  
18 encrypted. And they can see the specific data  
19 elements so that they can associate any of the  
20 variables that we collect with regional  
21 characteristics, status, organ type, procedure type,  
22 that kind of thing.

23 CHAIRMAN SALOMON: Mary, I think that is  
24 not clear to Amy is if I'm at a center, like  
25 University of X, and I'm doing a study, there's no way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to get that kind of data -- I'm not talking about  
2 patient identifiers -- out of that system. You can  
3 find out like how many kidney transplants and what  
4 their survival was in region 5, which is the region  
5 we're in. But not a subset of patients within  
6 University X.

7 DR. PATTERSON: If I may just try to  
8 clarify. What I'm trying to get at because this whole  
9 discussion is aimed at shedding light on how this  
10 system is applicable and may provide insights into  
11 data collection for gene transfer research and  
12 clinical trials. And one of the concerns and  
13 questions among investigators and commercial sponsors  
14 in gene transfer for the types of data that both FDA  
15 and NIH are proposing to collect, is who has access to  
16 this information. And is information about outcomes,  
17 adverse events, things working, things not working;  
18 similar to the types of data you collected. How long  
19 were survivals? Did the organ function properly?  
20 What rates of rejection did you have?

21 Are those types of information that in the  
22 transplant community, and especially when a commercial  
23 sponsor is involved, are viewed as commercial  
24 confidential information? And I'm not talking about  
25 things that are traceable to an individual patient,

1 per se, but I'm talking about data that may be  
2 traceable to a particular drug regiment, a particular  
3 investigational agent, a particular organ that drugs  
4 are administered through a particular route. Because  
5 I think this is a very critical question for the  
6 development of the database in gene transfer and what  
7 types of data are collected long-term and certainly  
8 types of data that are shared with the scientific  
9 community in gene transfer.

10 DR. ELLISON: I don't think that  
11 historically we've been involved in much of that. The  
12 hospital specific data have been considered  
13 confidential commercial information, and there is on  
14 the horizon a discussion of broadening the data  
15 release policy such that hospital identifiers are  
16 available.

17 We don't do much analysis for  
18 pharmaceutical companies for FDA approval. We have  
19 done some of it for them. We provide them the analysis  
20 and the data sets.

21 CHAIRMAN SALOMON: So the first question  
22 is you can't even now breakout hospital specific data.  
23 So if you know that at this hospital we're doing a  
24 trial with such-and-such a drug, you can't get that  
25 data. The hospital can, but then that's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 investigator.

2 But the question, Mary, if we do a kidney  
3 transplant and we use an investigational drug; it's  
4 listed on the data entry form for that kidney  
5 transplant patient or that heart transplant patient  
6 that they got cyclosporin, prednisone, celsept  
7 investigational drug, right?

8 DR. ELLISON: Right.

9 CHAIRMAN SALOMON: Okay. So that's what  
10 Amy's concerned about. Can anybody get at --

11 DR. ELLISON: The participants in the  
12 study --

13 CHAIRMAN SALOMON: Can anybody get to your  
14 system and say the 10 kidney transplantations done  
15 with investigational X, what was their one year  
16 outcome, instance of rehospitalization and rejection  
17 rate?

18 DR. ELLISON: Not now, and the new  
19 regulations stipulate that such data be made available  
20 to bona fide researchers. And the next step is for  
21 the data release policies to be reviewed in light of  
22 that.

23 MS. LAWTON: So currently as a member of  
24 the public if I came to you and said "I want to know  
25 that data," you couldn't give me that information, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that correct?

2 DR. ELLISON: Not with hospital  
3 identifiers.

4 MS. LAWTON: No, I'm not interested in  
5 hospital identifiers. I'm interested in generally if  
6 I come to you and say "I would like to see for all  
7 kidney transplant patients that were given  
8 cyclosporin, what was the outcome in those patients.

9 DR. ELLISON: Yes. Yes.

10 MS. LAWTON: You can give me that  
11 information?

12 DR. ELLISON: Yes, we can do the analysis  
13 an we can provide the data.

14 CHAIRMAN SALOMON: But she's asking not  
15 for cyclosporin, which is an FDA approved drug. She's  
16 talking about for let's say rapamycin when it was  
17 being studied. Could you have gone in during the rapa  
18 studies before it was FDA approved and track all the  
19 kidney transplantations on rapa?

20 DR. ELLISON: No.

21 CHAIRMAN SALOMON: And the answer is no, I  
22 don't believe so.

23 MR. KECK: Well, it's yes and no. We  
24 collect information on all of the FDA approved  
25 immunosuppressants. We start putting them on the form

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 when they're in phase three trials or we start seeing  
2 a lot of centers wanting to write them in in a report  
3 that they're giving this drug.

4 We do not release to anyone other than the  
5 manufacturer of the phase three drug the data or  
6 information about that phase three drug.

7 Now, we do analysis on the FDA approved  
8 drugs, and that information is readily available to  
9 anyone that wants it. But other than that, we do not  
10 release it and I would think that the Committee would  
11 say that if it's not approved, we're not going to  
12 release that information. Although they may, I don't  
13 know.

14 MS. MEYERS: Can I just clarify? In other  
15 words, if I wanted to find out what the long term  
16 survival of somebody on cyclosporin is compared to  
17 SK506, you would be able to tell me?

18 MR. KECK: Yes.

19 DR. SAUSVILLE: Actually then I'm  
20 confused. I mean, SK506 when it was investigational,  
21 I mean, you could break that out at that point in time  
22 in comparison but you couldn't --

23 CHAIRMAN SALOMON: Only when it's FDA  
24 approved.

25 DR. CHAMPLIN: The data, presumably, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there it's your policy presumably not to release data  
2 on investigational projects that are ongoing?

3 MR. KECK: Yes.

4 DR. O'FALLON: You've been saying you do  
5 the analysis and then send the reports. Is that what  
6 happens?

7 MR. KECK: Yes.

8 DR. O'FALLON: So you don't send the data  
9 if Abbey requested it, you'd send some sort of a  
10 summary. Is that a standardized -- do you have a  
11 standardized sort of way that you do that or does she  
12 get to tell you how she wants to receive this  
13 comparison?

14 DR. ELLISON: We do it both ways. I mean,  
15 we can do it either way.

16 DR. O'FALLON: I'm amazed your budget  
17 isn't higher than you said it was.

18 DR. ELLISON: Well, his budget is separate  
19 from my budget. I mean, I have the analytical staff,  
20 so the programmers and the statisticians.

21 DR. SAUSVILLE: So he said \$6 million.  
22 What approximately is your budget?

23 DR. ELLISON: About 1.8.

24 DR. SAUSVILLE: That's pretty good.

25 DR. CHAMPLIN: The International Bone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Marrow Transplant Registry does a lot of the same  
2 things and depending on the nature of the request, if  
3 it's a simple thing, how many transplants have been  
4 done for this diagnoses and what's their crude  
5 survival; they can spit that out instantly. There are  
6 other questions, of course, that are a research  
7 project to try to ascertain is cyclosporin better than  
8 techrolimus. You know, that you need to do a risk  
9 adjusted analysis, which is complex, and there is a  
10 several month project. So, those kind of things have  
11 to be done accurately and scientifically and so  
12 depending on the nature of their request, you may or  
13 may not be able to get a straightforward answer.

14 DR. SAUSVILLE: So, Dr. Champlin, an  
15 organizational question. The Bone Marrow Transplant  
16 Registry is different than the organ transplant  
17 registry. Why isn't the bone marrow considered an  
18 organ?

19 DR. CHAMPLIN: It's a tissue. But it's  
20 fundamentally, you know, there's different problems  
21 involved with bone marrow transplants than with solid  
22 organ transplants, and the field just naturally  
23 evolved to have a sort of separate system for analysis  
24 of the data.

25 The IBMTR is a voluntary registry, about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 half of the bone marrow transplants and blood stem  
2 cell transports are reported to that organization.  
3 And they do pay a reimbursement fee, which is sort of  
4 minimal for the data being collected. When they  
5 didn't pay the fee, there was a lot less data  
6 submitted. So that was actually an important  
7 component to actually getting participation.

8 And they serve much of the same role as  
9 has been described for UNOS in terms of providing  
10 information to the public regarding bone marrow  
11 transplants.

12 DR. SAUSVILLE: Presumably this contract  
13 has been running for about 12 years or so, is that the  
14 -- right.

15 CHAIRMAN SALOMON: Actually islet  
16 transplantation is also not at UNOS, unless it was  
17 transferred there recently, wasn't it? Yes. Okay.  
18 So for a long time, though, you know UNOS had took a  
19 position that it was organs which had a lot of  
20 historical reasons for it, not cell transplant.

21 DR. ELLISON: I felt we sort of got bogged  
22 done in the investigational studies question, and I  
23 didn't address Dr. Patterson's questions about what  
24 exactly we collect in the way of clinical information  
25 post transplant. It's basically graft survival,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient survival, what immunosuppression they're  
2 taking, whether there have been any rejection episodes  
3 since the last follow-up, whether they've been  
4 hospitalized since the last follow-up, whether they're  
5 working. And we've recently added questions about  
6 development of cancer.

7 DR. PATTERSON: May I just ask one follow-  
8 up question? How do you handle the instance when  
9 someone is on a combination regiment. They may be on  
10 an investigational agent as well as an FDA approved  
11 drug and Abbey calls you and asks for the information  
12 of how many people with kidney transplants are in  
13 shape or form taking cyclosporin, what is the survival  
14 rate on them? How do you give that data, particularly  
15 since there's a significant subset of those patients  
16 may in fact be on investigational agents as well? How  
17 do you provide data that's comprehensible to those who  
18 ask for it?

19 DR. ELLISON: Well, we provide a checklist  
20 of immunosuppressant agents and they put cyclosporin,  
21 yes, steroids, yes. And it's a little tricky. There's  
22 not much of -- the granularity of the  
23 immunosuppression data is not -- we don't collect  
24 dosages, we don't collect information about regiments.  
25 We know what they've been taking since the last

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follow-up, but we don't know exactly in what  
2 combination. The immunosuppression data have  
3 limitations.

4 DR. KEEGAN: Could you possibly expand on  
5 your cajoling process in terms of those people who are  
6 compliant; how many people comply on the first  
7 attempt, how many attempts will you make, is it only  
8 electronic at this point or do you send additional  
9 types of requests like letters and things like that?

10 MR. KECK: It's every month we send a  
11 paper report to the person at each program that we  
12 call the data coordinator, and sometimes data  
13 coordinators will service multiple programs, of all  
14 the forms that are what we call outstanding. And it's  
15 broken out by groups of days outstanding in H,  
16 analyzed, and that kind of thing.

17 Every other month we send that very same  
18 list to transplant administrators who are more or less  
19 like hospital administrators for the transplant  
20 programs. And often times they are tasked with  
21 ensuring that all the requirements for the transplant  
22 program were met, data being one of them.

23 And once a quarter we send this compliance  
24 report of percent compliant to their program director  
25 of the program to let them know what their compliance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is with submission of data to UNOS with the incentive  
2 being that most programs are going to want their  
3 information up to date because it is used for center  
4 specific survival rate reporting.

5 In the past we would send printouts and  
6 say "Here's all your data we're going to use for this  
7 report, please verify it." And that gave them a  
8 chance to sort of get things cleaned up. That did not  
9 prove cost or time effective in terms of getting the  
10 information out.

11 We don't do that anymore, so now we are  
12 beginning to see people keep up with their data a  
13 little better.

14 We do call centers that are extremely  
15 noncompliant and ask them is there a problem. What's  
16 going on? Is there something we can help you with?  
17 And often we find that the person that did the forms,  
18 you know, quit and they haven't replaced them or the  
19 person that does the forms is on maturity leave and  
20 she'll get them caught up when she comes back, and  
21 that kind of thing.

22 But people that don't meet the standard of  
23 what we consider 99 percent of your forms within 12  
24 months of their due date, get referred to the  
25 Membership Committee and go through a deprocess there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CHAMPLIN: Yes, there's some parallel  
2 and nonparallel things, as I would see it, with gene  
3 therapy. Part of the compliance is related to the  
4 fact that they need to collect this same information  
5 for their own institution. Often they need to provide  
6 that for insurance contracts. And they also want to  
7 remain a good member in standing in the transplant  
8 community and the colleagues that they're working  
9 with.

10 It's not totally clear that this will  
11 apply to gene therapy where there could be a sort of  
12 startup group that succeeds or doesn't. And the big  
13 issue is going to be the ones that don't succeed. Now  
14 the ones that fail and breakup and go away, they have  
15 no incentive because they're not continuing to  
16 participate in any program to maintain their long-term  
17 follow-up. So the successful ones, of course, will be  
18 part of the team and the community and be leaders,  
19 even, but the failures are the ones that are going to  
20 be hard to keep track of.

21 CHAIRMAN SALOMON: I think that's an  
22 excellent segue into Philippe's presentation.

23 MS. ZOON: Just a brief question. Can you  
24 talk a little bit about the security of the data and  
25 do you have any problems with people inadvertently

1 getting into your database?

2 MR. KECK: No. Yes, it is an Internet  
3 based system, it is a private site. It's password and  
4 user name protected, behind firewall -- behind two  
5 firewalls, actually. All the sending through, back  
6 and forth on the Internet, it's 128 encrypted. And we  
7 have been certified by HIRSA to be safe.

8 CHAIRMAN SALOMON: Philippe?

9 DR. BISHOP: Thank you Dr. Ellison and Mr.  
10 Keck for your valuable input.

11 Now to resume the simple task ahead of us,  
12 which is the problem of long-term follow-up of subject  
13 and gene transfer protocols.

14 Before I go on to resuming the comments  
15 from the Committee back in November and then uplining  
16 a sketch of what may be a system for discussion for  
17 long-term follow-up, I would like to revisit the  
18 guidance for retroviral gene vector studies. And the  
19 purpose for doing this is twofold.

20 Number one is to refresh everybody's mind  
21 in terms of what it is that is currently recommended,  
22 but also to clarify one point. Since the last BRMAC  
23 meeting a number of our sponsors were under the  
24 impression that maybe they didn't need to meet and  
25 spirit the current recommendations. And I think it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important to stress that reviewers at CBER are  
2 currently when they look at retroviral gene vector  
3 studies are applying the current guidance document.  
4 And it is the expectation of those reviewers that  
5 there is some attempt at meeting those  
6 recommendations.

7 So very quickly, the guidance document,  
8 the current guidance document was finalized on October  
9 18, 2000. It is available on our website.

10 The document talks about methods for  
11 testing for RCR and also defines some time points that  
12 should be obtained for blood sampling of patients or  
13 individual participants in gene vector studies. And  
14 namely being at baseline are 3 months, 6 months, at  
15 one year. If followed those results are negative for  
16 RCR, then yearly thereafter archival of those  
17 specimens is sufficient.

18 In addition to the laboratory monitoring  
19 there is also provisions for clinical follow-up, and  
20 it recommended that an individual be seen at least on  
21 a yearly basis for clinical evaluation. And as part  
22 of this clinical evaluation there should be an attempt  
23 at least eliciting some clinical history pertaining to  
24 denovo cancers, to neurologic disorders, hematologic  
25 disorders. In addition, the document recommends that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 autopsies be obtained on individuals who may have  
2 expired and that tissue sampling be performed and RCR  
3 testing done on those tissues.

4           Currently there are two mechanisms for  
5 reporting those results. The laboratory and clinical  
6 results that would be positive, either for RCR or  
7 there was a significant clinical finding, it is  
8 currently the expectation of CBER that these be  
9 reported as expedited reports. And then all of the  
10 data should be provided to us in an annual summary.

11           Now to go back to our discussion, last  
12 November the Committee felt that life long monitoring  
13 might be a pretty big task for sponsors of gene  
14 therapy trials and rather than life long monitoring,  
15 it was suggested that we consider long-term follow-up  
16 monitoring. So let me try to summarize what your  
17 recommendations were. And essentially when we talked  
18 about long-term monitoring we implied that this would  
19 be monitoring that would take place approximately a  
20 year following the registration of a participant  
21 recognizing that monitoring that takes place during  
22 that first year would be probably specific to  
23 protocols and well described in each individual  
24 protocol.

25           So, for the purpose of this discussion we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will try to focus on long-term monitoring from one  
2 year and beyond.

3 The Committee had envisioned in an attempt  
4 to alleviate some of the burdens of the sponsors of  
5 gene therapy a bi-phasic long-term monitoring plan,  
6 one that might be intensive for up to 5 years. This,  
7 by intensive, we mean both a laboratory component as  
8 well as a clinical component in terms of the  
9 information that would be derived. And then  
10 subsequent to this the recommendations that your  
11 Committee put forth would be that we would focus on  
12 clinical information looking for rare events above the  
13 general population. And in order to do this, that  
14 this information needed to be collected and somehow be  
15 put into a centralized place in order to be visited  
16 periodically and analyzed for trends.

17 Once again, your Committee outlined to us  
18 general principles that revolved around the properties  
19 of gene transfer vectors. It was your recommendation  
20 that these properties govern our future  
21 recommendations for long-term monitoring; these  
22 including replication or the potential to generate  
23 replicating virus, integration and then also with  
24 looking at vectors with altered tropisms or vectors  
25 with long latencies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           What we have done at CBER, we've taken the  
2 summary of your recommendation and try devise an  
3 outline, a sketch for discussion of a proposal that  
4 could maybe be the basis for formulating future  
5 guidance with long-term monitoring. And what we have  
6 done is envision a three tier system. And what I  
7 would like to do now is take you through each one of  
8 those tiers; tier 1 being the least demanding category  
9 and tier 3 being the most demanding category.

10           So let's consider tier 1. It was the  
11 recommendation of your Committee that we have some  
12 provisions for studies that would involve vectors or  
13 studies that would involve essentially ex vivo gene  
14 transfer in non-replicating vectors that was put into  
15 cells with limited survival, probably less than 2  
16 weeks demonstrated in vivo. And that the  
17 recommendation was that these types of studies  
18 probably could be exempted from long-term follow-up as  
19 they did not represent a comparative significant risk  
20 compared to the other types of studies that may be  
21 going.

22           So tier 1 would essentially encompass the  
23 types of studies that could be exempted from long-term  
24 follow-up.

25           For the purpose of discussion let me take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you now to the other extreme, which is the most  
2 demanding tier, tier 3.

3 And essentially tier 3 would be all the  
4 types of studies that would employ the types of  
5 vectors that had the characteristics that we outlined:  
6 replication; potential to generate replicating virus;  
7 integration; altered tropism of factors with  
8 latencies. And you had envisioned that this is the  
9 type of studies that would fall into this bi-phasic  
10 long-term monitoring plan that would encompass both an  
11 intensive laboratory and clinical follow-up for up to  
12 5 years and then move on to a focus that is primarily  
13 tried to derive meaningful clinical information maybe  
14 through a clinical questionnaire.

15 As part of this kind of program also it  
16 was recognized that an essential component should be  
17 patient education in order to ensure participation.

18 The intermediate tier, or tier 2, is  
19 essentially a category that would encompass all other  
20 gene transfer products that were not in tier 1 or tier  
21 3. And essentially for this category the laboratory  
22 component, which can be very costly to obtain and also  
23 the archival can be quite costly to sponsors, would be  
24 essentially eliminated and the focus would be  
25 primarily on trying to derive clinical information for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 up to 20 years via a clinical questionnaire.

2 Within CBER currently we have essentially  
3 six vector types or vector classes that are commonly  
4 used in INDs of gene transfer studies; retroviral  
5 vectors, adenoviral vectors, plasmid, poxvirus, AAVs  
6 and the herpesvirus.

7 What I would like to do in the next series  
8 of slides is consider each one of these vector classes  
9 and see how they would be effected under the proposed  
10 system for discussion.

11 So retroviral vectors have a high  
12 potential for integration. They also have a potential  
13 for latency. Currently it is required that lifeline  
14 monitoring be implemented for subjects involved in  
15 these trials. Under the proposed system under  
16 discussion they would fall under tier 3, the intensive  
17 bi-phasic monitoring plan.

18 Herpesvirus by virtue of their high  
19 latency and the clinical implication there, and then  
20 also because they have the potential to replicate  
21 would also fall under tier 3. Currently there are no  
22 recommendations for long-term follow-up.

23 I will refer everybody to the March 7th  
24 FDA/NIH Gene Transfer Safety Symposium for details on  
25 the AAVs. There was much discussion there in terms of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the potential risk of this vector class, but for the  
2 purpose of this discussion I will focus just on the  
3 principles that we were asked to consider in trying to  
4 group those studies under a long-term follow-up  
5 system.

6 AAVs has variable integration potential.  
7 It has also the potential for latency. Currently  
8 because of the safety concerns that were outlined at  
9 the March 7 Symposium there is long-term follow-up  
10 that is required of some of the studies that are  
11 currently under consideration at the FDA. Under the  
12 proposed system they would fall under tier 3.

13 Plasmid vectors have a low potential for  
14 integration. They do not replicate, they do not have  
15 latency. Currently there are no long-term follow-up  
16 requirements. Under the proposed system they would  
17 fall under tier 2 where clinical information would be  
18 obtained through a questionnaire.

19 Poxvirus is one of those vector classes  
20 that we are going to ask you to consider in a  
21 discussion that will ensure following my presentation,  
22 but poxvirus by virtue of being capable of replicating  
23 would fall under the second tier. Currently there are  
24 no recommendations for long-term follow-up.

25 Adenovirus have the potential for

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 integration. Also have the potential for replication  
2 and currently long-term follow-up is not required.  
3 Under the proposed system they would fall under the  
4 category 2 or where clinical information would be  
5 obtained.

6 I would like to point out, actually just  
7 in passing, some information that is thought  
8 provocative that just appeared in *Journal of Virology*  
9 where essentially the traditional concept where you  
10 need to have sustained oncoproteins expression for  
11 adenovirus in order to have transformation is being --  
12 at least there is a system that is being reported  
13 where present expression with subsequent clearance of  
14 adenovirus vector was sufficient in order to  
15 eventually lead to oncogenesis. And the authors of  
16 that article proposed a "hit-and-run" mechanism  
17 leading one to believe that it might be theoretically  
18 possible to see malignancies appearing at a later  
19 date.

20 The proposal for discussion, we envision  
21 that data collection should be the responsibility of  
22 the sponsors. This was articulated at the last  
23 meeting and there are good reasons for thinking this.

24 Number one, it's consistent with current  
25 FDA regulations that the sponsor be responsible for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collecting this data, but also it provides an element  
2 of patient confidentiality.

3 And normally selected data would be  
4 submitted into a centralized database that could be  
5 queried periodically for trends.

6 The type of data that a sponsor might want  
7 to collect in order to allow an effective process  
8 would be, of course, keeping track of patient  
9 identifiers, addresses, demographics. Also keeping  
10 track to the study site so at least being able to link  
11 that patient to a study site. And then also trying to  
12 understand or having some concept of when was the last  
13 time that the patient was seen at the study site.

14 And because long-term follow-up would  
15 require clinical information that might not be  
16 necessarily derived from the study site, it might be  
17 in the interests of sponsors to consider maybe trying  
18 to keep track of who the current primary health care  
19 provider is in order to try to facilitate some of this  
20 information at a later date.

21 The type of data that we would envision or  
22 would at least might be useful in the database, as was  
23 suggested by your Committee last November, would be:  
24 Whether or not a participant is alive or dead, lost to  
25 follow-up; whether or not an autopsy had been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 performed and if an autopsy was performed, maybe  
2 having the results of the report.

3 The type of clinical information that  
4 could be included in this clinical survey would be the  
5 appearance of denovo malignancies, hematologic  
6 disorders, neurologic disorders, autoimmune diseases  
7 and vector reactivation being important with those  
8 vectors with latency. And then, of course, for all  
9 vector classes the potential for chronic infection.

10 So, in summary I have revisited the  
11 current guidance that are specific for retroviral  
12 vectors. We did not address other vector types or  
13 potentially new vectors that may be developed by  
14 industry. The current guidance is for long-term  
15 monitoring for both laboratory and clinical  
16 information, and a component of this is a requirement  
17 for specimen archival.

18 The new proposal that is outlined for you  
19 for discussion is essentially a three tier system that  
20 is based on principles that were outlined last  
21 November, namely relying on vector characteristics  
22 rather than vector classes, and therefore would allow  
23 some flexibility to encompass maybe new vectors that  
24 may be introduced at a later date into clinical  
25 trials.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We have tried to limit the laboratory  
2 monitoring and archival to up to five years in an  
3 attempt to address the cost that may be related to  
4 lifelong archival specimens. And we recognize the  
5 need to have some clinical information over an  
6 extended period of time for up to 20 years in order to  
7 try to detect rare events over background for the  
8 population being studied. And we envision that this  
9 information could be sent to a central database for  
10 periodic analyses.

11 This is my last slide. I will be happy to  
12 entertain any questions.

13 CHAIRMAN SALOMON: Philippe, why don't you  
14 join us and we'll sort of address questions to you and  
15 start the discussion as well.

16 Michael, do you have a --

17 DR. O'FALLON: I think several times you  
18 used the term "study." Certainly if the FDA gives  
19 approval these drugs or these cells would be  
20 implanted, not on study but we would still want the  
21 follow-up, right?

22 DR. BISHOP: That is correct. There could  
23 be some post-marketing commitments in order to  
24 continue providing this long-term follow-up.

25 DR. SAUSVILLE: So, Philippe, could you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 give your vision of how this would work logistically?  
2 I mean, in other words you mentioned at one point  
3 sponsors' responsibilities and then you talk about a  
4 centralized database. So what do you see as the  
5 interface there?

6 DR. BISHOP: Because these studies are  
7 done under INDs for the most part, and it is the  
8 sponsor's responsibility to collect the information  
9 that pertains to this IND, we would envision that the  
10 information very much like UNOS currently collects  
11 information from their transplant centers. This  
12 process equivalent here would be that the sponsors  
13 would be charged with collecting that information.

14 And then we would envision logistically  
15 that some of that information could be forwarded to  
16 the Agency for inclusion into a database that could be  
17 queried periodically for analysis.

18 DR. CHAMPLIN: But the precedent to this  
19 is just what we heard, you know, similar to the  
20 National Marrow Donor program where the government has  
21 enacted either legislation or the FDA, or NIH have had  
22 an RFP to develop a registry. And in this case the  
23 registry's goal is to collect toxicity data and  
24 adverse events information. And if you're looking to  
25 pick up increases in the rate of secondary

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 malignancies or autoimmune diseases, these are going  
2 to be rare events. So in one small study you're never  
3 going to be able to see these things; you need to be  
4 collecting all of the adenovirus trials and see among  
5 thousands of patients is there an increase among the  
6 expected rate of any of these major adverse  
7 complications, will that occur in that population.

8           And I would think that the logical thing  
9 is you would require that people who file an IND  
10 pledge to enter their data into that system and then  
11 require that the institutions that are supporting, not  
12 the pharmaceutical sponsor but the academic centers  
13 that are doing the clinical trial, commit to having  
14 providing long-term follow-up the clinical information  
15 for this registry as part of their agreement to  
16 participate in the IND.

17           DR. SIEGEL: Right. There are a lot of  
18 discussions. The Committee is aware that you've  
19 participated in some regarding the FDA -- with the FDA  
20 and the NIH and further database development. And I  
21 think that's exactly what we plan to do. Indeed,  
22 these data are currently databased, if you will, at  
23 the FDA. As safety data come in, long-term or short-  
24 term, on gene therapy it goes into our database.  
25 We're working in conjunction with the FDA to develop

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a more targeted database with enhance functionality  
2 specifically focusing on gene therapy.

3 In terms of sponsor responsibility, we're  
4 really talking about two different responsibilities.  
5 One is the responsibility for collecting the data from  
6 the patient, something the FDA is not involved in in  
7 any areas that I'm aware of. And the other is  
8 maintaining, storing and analyzing those data cross  
9 studies. And that later responsibility definitely FDA  
10 and NIH will be very much involved in.

11 On the data collection we have been quite  
12 cognizant of the comment you made now and earlier, and  
13 probably at our past meeting as well. And as you'll  
14 recall from our last meeting, Philippe talked about a  
15 survey, an informal survey of sponsors which raised  
16 some of those issues, too, about the role of the  
17 sponsor, the institution and the investigator.

18 Our current thinking along those lines is  
19 that we would, I think, pretty much along the lines of  
20 what you're saying. We do not have a direct  
21 regulatory relationship with an institution where we  
22 can go to an institution and require a commitment for  
23 long-term follow-up. But we have a legal opinion that  
24 says that it's well within our authorities when a  
25 sponsor proposes an IND gene therapy study to us to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 require that sponsor to have plans for long-term  
2 follow-up that might well include plans for how they  
3 will obtain long-term follow-up should the  
4 investigator leave or should the sponsor go out of  
5 business. And such plans we would envision would, in  
6 many cases, involve arrangements and commitments from  
7 the institution where the patients are being treated.

8 And, hopefully, as we can outline what the  
9 follow-up entails and put that in the protocol, then  
10 those things could be costed out in such a way that  
11 the sponsor proposing the study would be able to  
12 determine whether they can adequately fund the real  
13 cost of their study including follow-up.

14 CHAIRMAN SALOMON: Richard.

15 DR. MULLIGAN: You know, the premise of  
16 this is that it's definitely a safety concept. Is  
17 there any interest in collecting good news as opposed  
18 to bad news on these patients? Because if there is  
19 any interest in having success of a therapy or  
20 something, which there ought to be a mechanism for,  
21 then obviously the guidelines that we set up are not  
22 really appropriate because things that may be safe or  
23 less risky may be just as likely to be good things as  
24 bad things.

25 So, I'm just curious. I assume what we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talking is strictly a safety monitoring, but will  
2 there be anything in the database of interest to  
3 people that has to do with efficacy, anything like  
4 that?

5 DR. SIEGEL: Well, FDA collects those  
6 data. We're not sure at this point the time in the  
7 field that -- of a role, if any, of collecting that  
8 sort of data integrated across many different  
9 investigations which have different dosing routes,  
10 vectors, entry criteria one can logically say that  
11 there are safety concerns. Does a particular vector  
12 class cause cancer or latent neurologic syndromes.  
13 But when you get to the question of treating  
14 particular diseases, it's often very difficult to try  
15 to pool data from studies. At least at the current  
16 point in time the design of studies of gene therapy at  
17 these early stages is so diverse that that's not a  
18 current focus.

19 DR. CHAMPLIN: I would agree that as much  
20 as UNOS and the IBMTR and the National Marrow Donor  
21 program are looking at adverse events, they're also  
22 trying to look at efficacy issues. And right now the  
23 field is in its infancy. It would be difficult to do  
24 that in a major way. But as things develop, hopefully  
25 in a positive direction, that would be a natural

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 evolution of the database as well. And so the logical  
2 process is to work with the Gene Therapy Society and  
3 community to put together the leaders to define the  
4 fields in the database that could be used ultimately  
5 for efficacy analyses as well as the public need for  
6 toxicity monitoring.

7 DR. SAUSVILLE: There isn't a live column  
8 that they have.

9 DR. SIEGEL: I guess what you're  
10 suggesting is it's just that at this point in time you  
11 could say, okay, for kidney transplants there's many  
12 different variable ways of doing it and you can, in a  
13 large database, study and look at those. If we had  
14 one particular disease, let's say cystic fibrosis and  
15 many different investigations looking at fine tuning  
16 how to treat it, then you might want to be collecting  
17 outcome data for multi-study analysis of outcomes.

18 But, yes, as I said, I think we're not  
19 there but I think as we envision our systems, and I  
20 know the NIH has expressed interest in this in terms  
21 of the nature of data collection systems, you want to  
22 build systems that will be able to address those sorts  
23 of questions as they arise.

24 CHAIRMAN SALOMON: Richard, and then Amy.

25 DR. MULLIGAN: The other premise of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 difference between the classifications for the vectors  
2 is that essentially persistence of the genetic  
3 material is guiding us in terms of the way we do the  
4 long-term follow-up. And I just wonder whether  
5 everyone's comfortable with that; that is a short term  
6 procedure can have a long-term sequel even though the  
7 genetic information isn't persistent. And so you'll  
8 miss the kinds of toxic events that has to do with a  
9 accumulative effect of the treatment that may have a  
10 longer term effect. So if you have a CNS gene transfer  
11 that's even short term, like for brain tumor or  
12 something, you may well do some damage that doesn't  
13 manifest itself for a very long time.

14 And it just seems like the total way we've  
15 looked at this is from the point of view of just  
16 what's the likelihood that the vector DNA persists?

17 DR. SIEGEL: You want to specify the type  
18 of damage in that case that you're speaking of?

19 DR. MULLIGAN: Well, there could be --  
20 let's say there could be neurological damage due to an  
21 acute lytic infection that goes away. And, you know,  
22 I don't think that's particularly unlikely relative to  
23 other adverse events you might have. So it just makes  
24 the point that it's really the disease, first of all,  
25 and then the vector. But I'm not so sure that it's so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 key to be fixated on how long the DNA persists. That  
2 line of reasoning is a very specific line of reasoning  
3 that pretty much, I think, has to do with integration  
4 causing cancer or something. And there's many other  
5 kinds of things that could happen.

6 DR. SIEGEL: Well, let me, and I'll cede  
7 to Philippe to address this further, but what we're  
8 talking about here are kind of generalized long-term  
9 safety data collection systems. For every protocol in  
10 gene therapy or elsewhere we look specifically every  
11 experimental protocol at the risks of that protocol  
12 and what the data collection based on what the disease  
13 and the intervention are, what the specific data  
14 collection needs of that protocol are to be.

15 So one should not take this system to  
16 mean, even if you took a vector that might be type 1  
17 but you gave it in a way that raised or gave a  
18 treatment or a gene, or whatever, that raised a risk  
19 of a long-term outcome that you wouldn't have to study  
20 that long-term outcome.

21 Beyond that, it's my understanding of the  
22 system as discussed and based on earlier discussions  
23 with this Committee and others that it's not fully  
24 based on genetic, indeed on persistence of genetics  
25 and that there are other factors looked at.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BISHOP: A couple of comments. Number  
2 one, immediate adverse events that would occur under  
3 a protocol would probably be covered under the  
4 specific requirements of the study. The purpose for  
5 long-term follow-up was to detect rare events in the  
6 total population and, hence, the need to have that  
7 data collected in a centralized fashion and analyzed  
8 periodically.

9 The focus, if I could say, is on clinical  
10 information. And that is information that would be  
11 obtained through these clinical questionnaires for up  
12 to a period of 20 years, as was discussed last  
13 November. The laboratory information that would be  
14 derived would be limited for up to 5 years.

15 So the emphasis is on clinical data that  
16 would be imputed into the centralized database for the  
17 majority of these patients with long term survival.

18 DR. MULLIGAN: I think a good example  
19 might be autoimmune disease. You list that as one of  
20 the things you'd look at. And that's a very clear  
21 case where a short term gene transfer could well --  
22 and let's say it's a lytic kind and it goes away, and  
23 there's no trace of it, could well have long term  
24 effects. And that's not going to be dependent upon,  
25 really, very many characteristics of the vector.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILSON: If I might just clarify.  
2 Tier 2 is really designed with your points exactly in  
3 mind, and that captures all the other vectors that  
4 don't have those characteristics of integration and  
5 persistence. So, I think if you take into account tier  
6 2, we've taken into consideration your point.

7 DR. MULLIGAN: So really the only issue is  
8 the 5 years of actual specimen collection, was that  
9 right?

10 CHAIRMAN SALOMON: This is really where I  
11 want to go next, and then sort of letting everybody  
12 just kind of follow their natural thing here, which is  
13 good, but what I wanted to do in a minute after Amy  
14 and Dr. Lawton have given their point, to go back and  
15 like just look at tier 1, and look at tier 2 and then  
16 look at tier 3 and get into this in a little more  
17 detail. Because I think a couple of us have some  
18 questions about the specifics here, and I think that's  
19 what they need to hear.

20 Amy.

21 DR. PATTERSON: Well first, I just wanted  
22 to offer NIH's commendations to FDA and the Committee  
23 for taking this issue up. But I wanted to underscore  
24 a couple of points and then address a question that I  
25 think was raised by Dr. Champlin about scientific user

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 community input into the design of these studies.

2 First of all, I think it's an obvious  
3 thing that deserves being stated that this must be an  
4 evolving strategy and the level of follow-up that's  
5 required over time will have to be titrated according  
6 to the data received.

7 And I think at your last meeting you very  
8 amply covered the points that this is a tremendous  
9 investment. It's a tremendous investment by  
10 scientists, by the sponsors, by the patients who  
11 volunteer to be research participants that once the  
12 study's over, they still give time and part of their  
13 life to be followed. Therefore, I think there needs to  
14 be a tremendous amount of that and much broader expert  
15 analysis into the types of clinical follow-up, how  
16 those studies are designed. Because to follow-up on  
17 Philippe's point that the detection of denovo cancer,  
18 neurologic or hematologic disorders that may be very  
19 rare events, that the design of those studies is going  
20 to be so critical. And my concern is that we don't  
21 end up 10/15 years down the road with very  
22 heterogenous studies that really the results are  
23 comparable and a tremendous amount of resources have  
24 been invested by all and we have no data for medical  
25 or scientific utility.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And, therefore, I think that I would urge  
2                   that the process here be that these recommendations  
3                   move forward, and NIH is very willing. I've talked to  
4                   Dr. Siegel about this, we would like to hold a policy  
5                   conference and a series of intensive workshops on this  
6                   topic to get input from the scientific community, from  
7                   the epidemiology community on how to appropriate  
8                   structure studies that will give valid results.

9                   CHAIRMAN SALOMON: Alison.

10                  MS. LAWTON: Some of my comments kind of  
11                  go along the lines.

12                  I guess one of the things that I have a  
13                  question on is around the database establishment.  
14                  We've just heard from UNOS that basically we heard  
15                  it's about \$8 million worth to keep this database  
16                  maintained. And so I do have a question around if  
17                  we're going to ask the sponsors to make the commitment  
18                  to this long-term collection of this data, do you have  
19                  the funding, do you have that ready to be able to do  
20                  this database? And what kind of commitment are we  
21                  going to have on how that database is going to be put  
22                  together so that the data that's collected can be  
23                  analyzed and reviewed, and is useful? And as part of  
24                  that I would also emphasize I think it's very  
25                  important we always talk about risk benefit, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one thing we don't want to be just collecting safety  
2 information without understanding the different  
3 patient populations and some of the other aspects to  
4 put that into context for these gene therapies as far  
5 as the risk benefit.

6 I think that's it what I wanted to say.

7 CHAIRMAN SALOMON: I mean there's two  
8 directions that I think we need to go in in the next  
9 short period of time. One is to, of course, address  
10 a series of specific questions that Philippe and the  
11 FDA staff have put forward. And I thought a good way  
12 to begin to get at that, that at least I find easy to  
13 do, would be to sort of breakdown this tier system and  
14 look at tier 1, 2 and 3.

15 The other thing to do is to talk a little  
16 bit more about some of the practical implementation of  
17 a database system, which I understand that our UNOS  
18 colleagues have to leave at 10:30. So maybe just in  
19 interest to spend 10 or 15 minutes just sort of  
20 talking a little bit more about a specific  
21 implementation, and then if you will, I'll sort of  
22 take the Chairman's prerogative at some point to kind  
23 of cut that off and move toward a specific discussion  
24 of the questions and the tiers.

25 Is that reasonable for everybody?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, just to focus a little bit on  
2 implementation of a database system, though I might  
3 have chosen to this in the adverse direction. How  
4 large do we think a database system for gene therapy  
5 would be now and in the relatively foreseeable future  
6 relative to the size of this UNOS system, which has  
7 been around for 12 years. We're throwing money  
8 around, like \$8 million, as if that number is relevant  
9 to the cost of a gene therapy system. So I think we  
10 ought to start with considering how big do you think  
11 a gene therapy system would be? Would it be that big?  
12 Would it be bigger? Would it be smaller?

13           DR. NOGUCHI: Well, I think one of the  
14 considerations of that that would be good to hear is  
15 what about scaleability? Is there a concept that a  
16 smaller number of patients cost proportionately less?  
17 My guess is probably not depending on what you want to  
18 collect. I think for talking about 16,000 data  
19 elements, no matter how small or how big, part of the  
20 cost is going to be relatively constant. So that  
21 might be a good place to start.

22           MR. KECK: I think that the cost is  
23 directly related to, at least in the first year or so,  
24 the number of data elements you want to collect.  
25 We're currently actually building a system for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 private organization right now that is sort of similar  
2 to what you're talking about. It is for ventricular  
3 assist devices. And it has tiers of information.  
4 They are probably looking at anywhere from 1500 to  
5 2000 individual cases worldwide per year. This would  
6 be a worldwide data collection system.

7 And they have a basic set of information  
8 that they get on all their patients that are  
9 participating in the system. They have tier 2 which  
10 relates to adverse events that are driven off of  
11 follow-ups that are pregenerated all along, which  
12 kicks off another form that is specific to that  
13 particular complication and get more information on  
14 that.

15 There's also adverse event reporting and  
16 prompting people to remember to report their adverse  
17 events.

18 Over long-term there is integration of  
19 this system with other databases. This particular  
20 entity has another database that we manage that they  
21 want integrated with this system, as well as  
22 integrated with the UNOS system for follow-up of those  
23 patients that get transplanted.

24 And so all of that is, I would say, over  
25 the next year going to cost probably half a million

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dollars. And they're collecting right now 200 data  
2 elements throughout the whole system. The follow-up  
3 as time goes by is yet to be determined on how much  
4 that will cost because of the unsurety of the volume  
5 of patients. But to give you an idea of scaleability,  
6 that's the best example I can think of.

7 DR. CHAMPLIN: The toxicity collection is  
8 pretty straightforward. There is an NCI common  
9 toxicity criteria used for cancer treatment trials  
10 that's very detailed and could easily sort of be  
11 adopted to this purpose.

12 The efficacy fields they're a lot more  
13 controversial and complex and depend on the treatment  
14 and the objectives of a therapy. But the way the NMDP  
15 worked was to create the organization, get the  
16 relative investigators together to discuss just what  
17 fields do you want to collect both from an efficacy  
18 and toxicity perspective, and gradually build that  
19 database. And it was a big investment to get the  
20 computer system off the ground and work out the data  
21 entry system at the same time. But that's sort of the  
22 beginning of the program.

23 Since at this moment gene therapy is sort  
24 of a small field with relative small number of  
25 patients, UNOS is obviously dealing with a large

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ongoing activity that won't be there immediately with  
2 gene therapy, although you will anticipate that over  
3 time and with the continued survival of patients that  
4 the number of individuals being followed and data  
5 collected will mushroom, but at the beginning the  
6 numbers will be relatively small. But you need to  
7 basically go through that process of getting the  
8 relevant investigators together to identify the  
9 critical fields that need to be followed for both  
10 efficacy and toxicity. But the toxicity part of it is  
11 pretty much standardized.

12 CHAIRMAN SALOMON: Michael and then Amy.

13 DR. O'FALLON: We've been talking about  
14 your cost, but there's an awful lot of hidden costs  
15 out there that are not part of your budgets. You got  
16 any sense of the proportions?

17 MR. KECK: Are you speaking to cost at the  
18 center?

19 DR. O'FALLON: Of course.

20 MR. KECK: I would hesitate to guess. We  
21 have such a range of sizes of centers and the number  
22 of forms that they get. We have centers that get  
23 thousands of forms that have been in existence for a  
24 long time and have a staff of 4 or 5 people that do  
25 nothing but fill out UNOS forms. And then we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 centers that might get a 100 a year and the clinical  
2 nurse fills them out. It ranges very dramatically.

3 I will say that --

4 CHAIRMAN SALOMON: We have a relatively  
5 small program and we have one data coordinator whose  
6 full-time job is filling out UNOS stuff.

7 MR. KECK: Although I do think that in  
8 terms of development of data systems, and I have come  
9 to strongly believe that electronic is the only  
10 sensible way to go. Paperback systems are just too  
11 costly and people have come to want to give  
12 information electronically. We ran a dual based on  
13 two parallel system of paper and electronic for a  
14 number of years. And I can tell you, it was extremely  
15 costly to have both. And the ongoing magnets of the  
16 electronic system, I think, is going to be cheaper and  
17 easier to change as time goes by.

18 DR. PATTERSON: I just wanted to follow-up  
19 with Dr. Champlin's point and give you a little update  
20 on some of the progress on the FDA and NIH  
21 collaboration on a gene transfer database.

22 Over the past several months the agencies  
23 have been in an intensive dialogue and have developed  
24 and almost finalized the system's requirements, the  
25 data structure from the feds' point of view in terms

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the federal review staff at FDA and in terms of  
2 NIH's needs in terms of being stewardship over public  
3 funds in this area in the RAC review.

4 The next step, and we're in the process  
5 right now of setting up a series of user groups where  
6 we will be meeting sequentially with members,  
7 representatives of patient communities, the ASGT,  
8 other scientific groups and industry to discern what  
9 their information needs are and also to discern  
10 burdens of reporting and what from a scientific and  
11 practical perspective they think are important  
12 considerations.

13 So, just wanted to give you that insight  
14 into what the current process is.

15 CHAIRMAN SALOMON: Can I ask of Philippe  
16 or Carolyn, and maybe Amy you can comment as well, we  
17 still haven't gotten the answer to my question, and  
18 that is what is the size of this program? I mean, how  
19 many patients do you have per year on gene therapy  
20 INDs?

21 DR. NOGUCHI: I don't think we necessarily  
22 have an ongoing accurate count of that date, because  
23 studies are in various stages and the reporting  
24 numbers of patients is also quite variable. The best  
25 estimate overall I think does come from OBA, perhaps.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SALOMON: Well, let me make a  
2 guess here. I mean, from the data we saw yesterday,  
3 maybe being generous, 150 INDs were in that review?

4 DR. NOGUCHI: Well, it's about 200 active  
5 ones.

6 CHAIRMAN SALOMON: Okay. 200 active.  
7 Okay. And that's good. I mean, that's close.

8 So 200 INDs. But in a gene therapy trial,  
9 these days at least, is relatively small. I mean  
10 30/40 patients in a gene therapy trial is gigantic,  
11 right? I mean, most of these gene therapy trials are  
12 5/10 patients. Again, I'm just testing. If I'm  
13 wrong, tell me. I'm just trying to come up with a  
14 number here in a second.

15 DR. KEEGAN: Well, it's true that the  
16 majority actually -- unfortunately I don't think we  
17 can give you a specific number. It's true the  
18 majority of the INDs are phase 1 and 2 trials;  
19 however, there are several phase 3 ongoing trials.  
20 There are probably at least 10 INDs that have as many  
21 as 4 in it and 5 active protocols in them.

22 So there are some very active programs.  
23 It's hard to generalize without going back and looking  
24 at the specific numbers.

25 DR. SIEGEL: And you don't want to exclude

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those that are inactive or withdrawn. They have  
2 patients that require long-term follow-up. And there  
3 are certain types of things that we'd want to have in  
4 this database that were not within the scope of March  
5 6th.

6 So, it's going to be hard to come up with  
7 an exact number. But if you're looking at ball parks,  
8 you know, you're looking at several hundred trials and  
9 thousands to tens of thousands of people at the  
10 present point of time and with a potential for great  
11 growth or for no growth, which is a little hard to  
12 predict.

13 CHAIRMAN SALOMON: Yes. I was just going  
14 to make a ballpark figure of about 4,000 to 5,000  
15 patients, which is I think fairly generous. Because  
16 I mean that gives you a concept to what they're  
17 dealing with, which is a lot more. I mean, you've got  
18 75,000 plus listed, plus they're following what? You  
19 said 200,000 some patients? A quarter of a million.  
20 250,000..

21 So I just want to put some reality here.  
22 Now, Phil's point -- and that's not -- I think I'll  
23 stop there in the sense that the point of this  
24 Committee isn't to help you figure out how much it's  
25 going to cost. But I do want some reality check there

1 in terms of we can do this and it's not necessarily  
2 going to take \$8 million a year, but you can't make a  
3 calculation like that.

4 DR. SIEGEL: On the other hand, and it's  
5 worth noting, that unlike, say, transplanting a heart  
6 if you have a gene therapy that treats a lung cancer  
7 or a breast cancer, you may have several thousand  
8 people in the study period and tens or hundreds of  
9 thousands or millions of people in the post-marketing  
10 periods. So the potential is different than that for  
11 solid organs.

12 CHAIRMAN SALOMON: No, no. If gene  
13 therapy takes off, Jay, this will be much bigger than  
14 organ transplantation. There's no question about it.

15 MS. LAWTON: Can I just ask, we do have  
16 another example that I'd like to ask about, and this  
17 is the xeno registry database that we've established  
18 for long-term follow-up of patients. And given the  
19 relative time periods here, you know, how does that  
20 compare and how are we doing on that compared to what  
21 we're now talking about doing for gene therapy, which  
22 I assume is much larger than the xeno database?

23 DR. NOGUCHI: We are in the process of  
24 completing the pilot stage and hoping sometime within  
25 the next fiscal year to actually be entering data into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that on a real time basis. There are several major  
2 differences between this and the gene therapy.

3 For the xeno database we have elements  
4 that would not be required for the human gene therapy  
5 in terms of we have a very extensive extra set of data  
6 fields for the animal; the animal production  
7 facilities, where they come from and an ability to link  
8 the particular animals from which organs, tissues and  
9 cells are derived to each individual patient. So ,  
10 part of it is a little bit extra than what you'd see  
11 with gene therapy.

12 In terms of the safety data follow-up, we  
13 are restricting it at this point in time to those  
14 adverse events that we feel would be more related to  
15 infectious disease cause because that is our major  
16 concern with xeno transplantation. Above and beyond  
17 the usual adverse events in a clinical trial, it's the  
18 inadvertent spread of infectious diseases. So the  
19 scope of the adverse event reporting at this point in  
20 time is more limited and more specialized.

21 Some of the other aspects of it that have  
22 been put in are not implemented at this time are some  
23 of the ideas of being able to actually at a periodic  
24 time send out reminders to, in this case it could be  
25 either the physician or the organization or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sponsor. Because it's not implemented yet there would  
2 be quite a bit more to do, but that idea has been  
3 built into it.

4 The projected number of patients this  
5 would follow at this point in time would be much more  
6 restricted, perhaps being generous, in the low to mid  
7 hundreds of patients.

8 CHAIRMAN SALOMON: Okay. I think we've  
9 sort of covered up until as far as I think we ought to  
10 be going in terms of the actual physical  
11 implementation of the database. So it's not off the  
12 table, first of all, if anyone has any last comments  
13 before I sort of segue back into the tier system,  
14 you're welcome to make those now.

15 MS. LAWTON: I guess I would just  
16 emphasize what I said earlier; that you know if you're  
17 looking for the sponsor's commitment for this long-  
18 term follow-up I think it's an absolutely critical  
19 component that has to be addressed with some level of  
20 urgency on how that database is going to be built, who  
21 it's made available to, how you search it, how you  
22 analyze you, how you provide that information.

23 CHAIRMAN SALOMON: What I'd like to do now  
24 is segue into the specific questions that Philippe and  
25 Carolyn and the rest of the FDA staff have posed to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 us. But I'd like to do it is a little different than  
2 this question 1, 2, 3, 4, though I promise to get at  
3 them specifically.

4 I'd like to start with looking at table 1  
5 and table 2 of the handouts, which I'm assuming  
6 everybody has. And ask everyone one to sort of pickup  
7 where Richard started in terms of the discussion of do  
8 we agree with -- I mean, what I would like to hear  
9 from the group is comments on the overall strategy of  
10 the tier system, but specifically if you wish to start  
11 with tier 1. I mean, just actually looking at the  
12 vector characteristics and the fact that participant  
13 follow-up past the first year is listed as none.  
14 Because that was kind of one of the issues that  
15 Richard brought up.

16 I mean, my feeling here is that it's a  
17 very important to help the FDA come up with a system  
18 that is flexible enough that it can be used for a long  
19 time and that provides something that the Committee is  
20 comfortable with in sort of an over-arching theory  
21 that gives sponsors and new investigators some  
22 flexibility to kind of slide into these. And that's  
23 a challenge. I mean, if we don't feel that that's  
24 possible, we owe it to the FDA to say no. You know,  
25 this isn't an organizational principle and we can't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 use it. So, I think that's kind of where I'd like to  
2 end here with the idea of some sort of reassurance or  
3 not to the FDA that this is a good way of parsing it  
4 out.

5 Abbey.

6 MS. MEYERS: I don't understand the logic  
7 behind the stopping follow-up after one year on that  
8 lowest level. Can somebody explain it.

9 CHAIRMAN SALOMON: I mean, let's get to  
10 that. Let's talk about tier 1 then, unless there's  
11 anymore generic conversation, and then we'll get to  
12 your question, Abbey.

13 DR. SAUSVILLE: I think that to have this  
14 be based solely on the sort of modality or how it's  
15 delivered ex vivo as opposed to the nature of the gene  
16 deserves some thought. Because, I mean, it's well  
17 known that to pick up the oral immunity example, I  
18 mean a relatively brief strep throat can give a  
19 lifelong rheumatic fever and it's hypothesized that  
20 other elements can, for example, contribute to  
21 arthritis, etcetera.

22 So, one, I actually would feel  
23 uncomfortable about making this blanket exemption just  
24 in relation to how it's done. I think the  
25 investigator or the sponsor should justify why their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particular gene might be expected to not have this  
2 type of long-term consequences. And I can certainly  
3 imagine genes where that would be the case. But I can  
4 also imagine genes where it would not be the case.

5 CHAIRMAN SALOMON: I'd have to say that I  
6 agree completely, and I think that was what Richard  
7 was saying as well.

8 Again, if I'm not speaking for the  
9 Committee, but I really think that right now the  
10 public is not willing to accept any sort of gene  
11 therapy as having no responsibility to the sponsor for  
12 long-term follow-up after the first year.

13 DR. MULLIGAN: Yes. I think on the case  
14 that I would characterize as being the perfect case,  
15 one, would be an irradiated tumor vaccine. And  
16 definitely some of the thinking behind inducing an  
17 immune response is the risk of any autoimmune response  
18 because of a local concentration of something. And  
19 that is clearly the case where the cells will go away  
20 in a week or two and you'll note DNA persisting. So  
21 I would echo to have no follow-up of that as maybe not  
22 wise.

23 CHAIRMAN SALOMON: I think there's been  
24 a consensus on that.

25 DR. SIEGEL: To reiterate what I said

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before, we have a lot of traditional drugs and  
2 biologicals that as needed, depending on their  
3 mechanism of action, we require long-term follow-up,  
4 collections off and data registries that exist well  
5 into the post-marketing period. And I think what we  
6 were focused on here are the specific needs related to  
7 vector class type and properties for follow-up, not  
8 those needs that might be related to the specific  
9 therapy and specific patient population. But we'd  
10 certainly agree that with any type of vector or even  
11 outside the field of gene therapy there are going to  
12 be certain types of follow-up necessary for certain  
13 types of trials that in some cases may extend to  
14 extended periods of time.

15 CHAIRMAN SALOMON: One of the limitations  
16 we've talked about before in the generic discussion is  
17 the tendency that you look at this and you go "no  
18 follow-up," and you go no you can't allow that. So I  
19 think that's what you're getting from the Committee  
20 right now.

21 DR. SIEGEL: I can't imagine a tumor  
22 vaccine trial, for example, where if you're treating  
23 a tumor and the patient is still alive at one year,  
24 you're going to stop following him in that trial.  
25 That's just not the way we do cancer trials.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN SALOMON: Fine.

2 DR. SIEGEL: Or at least not the way we do  
3 most cancer trials.

4 CHAIRMAN SALOMON: So our only point is  
5 tier 1 has to have some element of long-term follow-  
6 up, that's all. That we don't think that right now in  
7 gene therapy the public's going to accept any tier --

8 DR. SIEGEL: As a routine, regardless of  
9 the nature of the vector or the trial, or whatever,  
10 that these patients -- regardless. And that being the  
11 20 years of clinical follow-up. So basically tier 1  
12 becomes tier 2.

13 MS. LAWTON: No. I understood that it's  
14 going to be based on all of the different components  
15 in that trial, that the patient population, the  
16 vector, everything else, the gene.

17 DR. SIEGEL: Right.

18 MS. LAWTON: And based on that you'll  
19 decide whether you need long-term follow-up. You're  
20 not automatically saying everybody has to have long-  
21 term follow-up, are you?

22 DR. SIEGEL: No, but I think that's what  
23 the Committee is saying, that everybody has to have  
24 that.

25 CHAIRMAN SALOMON: This is the problem I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have, again, with these generic discussions, and I've  
2 said this umpteen times. I mean if I can imagine that  
3 there should be -- if you can put some wording into it  
4 here, the problem I have is right now -- right now,  
5 not a few years from now with some experience under  
6 our belts. All we can deal with is right now. And  
7 right now I don't know under what circumstance any  
8 gene delivery experiment can be exempted from follow-  
9 up. And I don't know how I could defend not producing  
10 that sort of follow-up to the public.

11 Now, from a sponsor's point of view,  
12 whether as an investigator myself I know what I'm  
13 saying, you know it scars me -- we'll get into this --  
14 to be committing to 20 year follow-up. And we'll have  
15 to talk about that in a minute, but I don't want to  
16 contaminate this discussion with that yet.

17 That's my point. If someone disagrees  
18 with me, then we need to get that table. But I just  
19 don't think we have the kind of information that could  
20 be presented to a group of science experts in gene  
21 therapy or gene delivery that would convince me that  
22 you don't need follow-up.

23 DR. SIEGEL: That I think will be, but I'd  
24 ask my staff to confirm this, except for retrovirus  
25 and mediated gene therapy, that would be radical

1 departure from what's been done over the last 5 to 10  
2 years. And if that's in fact the recommendation of  
3 this Committee, we would be going back to large number  
4 of experiments. I'm not sure how much we can do that  
5 retrospectively. But I think -- well, I'll just leave  
6 it at that.

7 DR. NOGUCHI: Well, I think it may not be  
8 as radical as you think in the sense that what the  
9 proposal is on the table is that in addition to  
10 retroviral gene transfer studies, a large number of  
11 the others would have varying amounts of follow-up.  
12 And the specific --

13 DR. SIEGEL: Hey, that's the proposal we  
14 put on the table. Dr. Salomon, have you not said that  
15 for every patient receiving a gene therapy of any  
16 type, you think that 20 years of clinical follow-up  
17 should be obtained?

18 CHAIRMAN SALOMON: That's what I'm trying  
19 to say is the consensus of the Committee. And I've  
20 said that we should have discussion if that's not.  
21 I'm not trying to oversimplify it. That could be by  
22 postcards. And I'm not saying that they have to be in  
23 and have multiple tissue biopsies every year. But,  
24 yet.

25 DR. MULLIGAN: Yes. I think that what he

1 is saying was you want to separate the question of  
2 what the follow-up was from the discussion right now.  
3 So I don't think I would agree for a blanket 20 year  
4 follow-up for everything. But he was just saying  
5 let's defer the issue of the follow-up, but there  
6 should be.

7 MS. MEYERS: I think the main thing is  
8 that the patients have to know their responsibility  
9 when they sign that informed consent form. And that  
10 is that they are going to be expected to go back and  
11 see the same doctor, at least talk to him on the  
12 telephone or have his local GP cooperating with the  
13 investigator to give some type of follow-up, at least  
14 for the first 5 years. I mean, you've got to lay it  
15 out because the patient has to know what he's getting  
16 into.

17 Now, let's say 5 years down the road we  
18 have enough data to know that there isn't any problem  
19 from one type of vector, and you want to change the  
20 rules. That's fine, as long as the patient himself  
21 has committed to talking to the same doctor every year  
22 and giving his data.

23 DR. SAUSVILLE: Again, I reiterate my  
24 original point was that this as written here seems to  
25 be a modality sort of approach; ex vivo, plasmid, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have you. I think to make it a blanket thing that  
2 there should be or should not be follow-up just on  
3 that basis. It should be reconsidered and the matter  
4 addressed by, perhaps, the sponsor and the  
5 investigator based on the biology of what they're  
6 manipulating. And I think that should enter into it  
7 somehow.

8 CHAIRMAN SALOMON: That's why I kept  
9 saying, I mean if we had a specific in front of us and  
10 we could engage in a specific discussion and you could  
11 convince us -- I mean not you, Ed, but the sponsor  
12 could convince us that they had data that showed that  
13 there was no reason to follow-up for 2 years, 5 years,  
14 8 years, 10 years, I'm fine with that. I mean I'm  
15 science driven. But I was just saying, again, stuck  
16 with the generic I think that the message has to be  
17 that you can't exclude follow-up under tier 1, that  
18 there has to be some follow-up. That's all I'm trying  
19 to say.

20 DR. SIEGEL: I think you're missing the  
21 question here. The specific will always be the  
22 specific. Okay? We are discussing the generic.  
23 Okay? We're discussing saying if you're using this  
24 type of vector, you're going to do 5 years of specimen  
25 collection and 20 years; no matter what the specifics

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of your protocol are.

2 And if what the Committee is saying is the  
3 FDA should just decide on each protocol how long the  
4 follow-up should be, we could do that. I'm not sure  
5 that's really the optimal way to do this.

6 If you're saying that we should decide,  
7 they can show us "Well, we think that this particular  
8 vector is safe enough, we don't need follow-up," then  
9 we'll make a vector-by-vector decision, well that's an  
10 interesting approach.

11 We are talking about generics and what we  
12 heard you say, and I guess we heard wrong, in November  
13 that there were some sorts of experiments such as  
14 these that were so low -- that the specific gene  
15 therapy type of concerns were so low -- yes, there are  
16 tumor vaccine concerns. We require follow-up on all  
17 tumor vaccine trials. We're always going to require,  
18 depending on the specific issues of a specific vector,  
19 the appropriate safety, but the specific risks of gene  
20 transfer we were told last time that certain types of  
21 products are so low that we didn't routinely need to  
22 require long-term follow-up based on the risks  
23 directly related to gene transfer.

24 And I think you're saying something  
25 different now, although I want to make sure that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what you're saying.

2 CHAIRMAN SALOMON: I think we're saying  
3 something slightly different than -- I remember the  
4 discussion in November. It wasn't quite -- you know,  
5 you've come back again very appropriately now, and I  
6 congratulate Carolyn and Philippe for doing this. I  
7 mean, this is a really good way to focus discussion by  
8 offering something that I think would be very useful  
9 to the whole field, and that is when you're planning  
10 your studies you can say to yourself and your  
11 collaborators "Well, look, is this going to be tier 1,  
12 a tier 2, or a tier 3 sort of protocol or a vector, or  
13 whatever." And I think that's very useful. So we're  
14 trying to work with you.

15 But that's a little different than what we  
16 were talking about in November when we were just  
17 saying that in some instances where we didn't have any  
18 thought that there would be residual gene delivery  
19 detectable after several weeks, that that might not  
20 require the same degree of follow-up. And I think all  
21 we're doing is choking a little bit on the term none.

22 DR. CHAMPLIN: I don't think we had a tier  
23 1 vector presented this morning. Are there any tier  
24 1 products?

25 DR. SAUSVILLE: Well, you can imagine a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 plasma that encodes MDR. I mean, that sounds pretty  
2 non-enorganic, none persisting. In fact, it is  
3 nonpersisting when people have done these experiments.

4 So I could probably live quite comfortably  
5 with not having a lot of follow-up on that. I could  
6 also imagine a vector that has B7 in the context of  
7 some antigen or other, and then I'd be real concerned  
8 about that in relation to long-term follow-up.

9 CHAIRMAN SALOMON: Richard and then  
10 Michael.

11 DR. MULLIGAN: Jay, I'm now getting  
12 confused because when you talk about the tumor vaccine  
13 you say well, of course, you know the FDA is going to  
14 make sure there's the appropriate follow-up, and  
15 that's obvious that's going to be the case. So what  
16 we're talking about here in that context is whether or  
17 not in a way the information that you're asking for  
18 ends up in a database, isn't in fact? Isn't that a  
19 way to look at it?

20 DR. SIEGEL: No, I don't think so. Let me  
21 state this problem as I understand it.

22 We will look at a vector that contains B7  
23 and an antigen and determine the appropriate amount of  
24 follow-up for that or MDR, or whatever it is. Just as  
25 we look at a given drug and its potential adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 effects and determine the appropriate amount.

2 What we're focused on here is that there  
3 are certain issues that have been raised in gene  
4 therapy that have led to specific concerns about long-  
5 term follow-up. Those are largely issues related to  
6 the nature -- to viral infection, to insertional  
7 mutagenesis, to specific activities that relate to use  
8 of virologic vectors and to gene transfer mechanisms.  
9 Those concerns are the types of concerns that led us  
10 initially into this area in requiring long-term  
11 follow-up for retroviral vectors, a requirement that's  
12 evolved over the years and that hasn't always yielded  
13 the quality of data we'd like, as was discussed a few  
14 months ago.

15 Further, we've realized that some of those  
16 concerns extend well beyond retroviral. And so we  
17 came to a belief that for retroviral vectors we're  
18 probably not targeting the right information well  
19 enough, and for other areas we're not collecting  
20 enough information.

21 But I think where the Committee is getting  
22 a little bit bogged down is, you know, if you give a  
23 treatment to a child, you may want ten years follow-up  
24 on it to see if they have normal growth and  
25 development; what happens during puberty.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           If you treat cystic fibrosis or if you  
2           treat Alzheimer's Disease or cancer, the types of  
3           follow-up that are specific to that therapy and to  
4           that disease may vary tremendously. There's no notion  
5           here that patient follow-up is exempted. But I think  
6           if you're advising us that we should have patient  
7           follow-up that's suitable for the nature of what the  
8           gene product is going to be and that's suitable for  
9           what the patient population is going to be and for  
10          what their disease is, well of course. I think that  
11          we'll take that advice, that's what we do.

12                 So then the question is those things  
13          aside. So those things aside, should we because it  
14          involved gene therapy say regardless you've got 20  
15          years of clinical follow-up or 5 years of clinical  
16          follow-up?

17                 CHAIRMAN SALOMON: Jay -- yes. So my  
18          comment I don't the Committee is getting bogged down.  
19          We've told you already several times that our feeling  
20          is that right now at this point, being sensitive to  
21          the way the public is looking at gene manipulations of  
22          any kind, that we don't think -- and again, I look to  
23          my --

24                 DR. SIEGEL: Well, you've told me that  
25          several times. I think Ed said, for example, with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some inserts he wouldn't worry about that and with  
2 others he would; that's a different message I think.

3 CHAIRMAN SALOMON: Okay.

4 DR. CHAMPLIN: I think you might instead  
5 of saying the word exempted you could say protocol  
6 specific, which we all agree that pretty much what  
7 you're saying, that you need to do it on a case-by-  
8 case basis but it's hard to envision none ever  
9 applying.

10 DR. O'FALLON: Well, that's a great segue  
11 into my comment. The fact of the matter is I asked a  
12 question right after Philippe was done. Are we  
13 talking about what's happening while the protocols are  
14 underway or are we talking about what's happening in  
15 a general sense? And we keep getting them getting  
16 confused.

17 Protocols have to have follow-up in order  
18 to establish efficacy. Efficacy, not necessarily  
19 adverse effects, which is what we're primarily worried  
20 about here.

21 Every one of those protocols must be  
22 written in such a way that the FDA found them  
23 acceptable and when they come here for approval, they  
24 will have to have had adequate follow-up to have  
25 established efficacy that convinces us that the agent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is efficacious, or else it's not going to be approved.

2 So, we're not talking about the protocol  
3 specific follow-up that is necessary for the success  
4 of that protocol. We can't be.

5 DR. SIEGEL: We would include this safety  
6 follow-up within the protocol.

7 DR. O'FALLON: Well, that's fair enough.  
8 So we might say there is no safety follow-up  
9 necessary, but we certainly aren't saying there's no  
10 follow-up necessary to establish efficacy.

11 CHAIRMAN SALOMON: Michael, I just want to  
12 be clear. And in this case, you know, there has been  
13 times and it's okay, that the Committee doesn't have  
14 to have consensus. I actually think that's important.

15 I am saying that my opinion is that right  
16 now I don't believe that there's any gene delivery  
17 protocol that I can at least think of that I could do,  
18 give to a patient and then say that don't require any  
19 follow-up for safety. I'm just talking about  
20 efficacy.

21 DR. O'FALLON: Well, and how long is that  
22 minimal period of long-term follow-up that you're  
23 saying that they should require?

24 CHAIRMAN SALOMON: I was going to get to  
25 that next. But I thought it was -- we're having

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 enough trouble just getting across that. But I think  
2 that should be the next question: what we're talking  
3 about in follow-up.

4 DR. SIEGEL: What are you trying to  
5 follow-up for? Latent malignancies, latent diseases,  
6 latent neurologic, hematologic? Because we've tried  
7 to focus that follow-up on the particular risks, but  
8 you're saying there are particular risks you're  
9 concerned about that are there but just because it's  
10 gene therapy, independent of what cells and what  
11 vector and whatever, and so it's hard to know how long  
12 you follow-up for those --

13 CHAIRMAN SALOMON: We've got to take a  
14 biopsy of what the public is thinking about. I mean,  
15 this is a public who absolutely got hysterical when  
16 they found out that some genetically modified corn  
17 ended up in some tostitos, or whatever it was.

18 I mean, I respect that. I'm not making  
19 fun of it. That's the way the public is looking at  
20 this. And therefore, if we're trying to give you  
21 responsible advice, what's driving me is trying to  
22 sensitive to the way the public is looking at this  
23 field and looking to experts like myself to give them  
24 in terms of reassurance.

25 Personally I think that many of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 protocols after 5 or 10 years we'll look back and go  
2 "Boy, that was a lot of effort for nothing," and be  
3 thanking God that it was a lot of effort for nothing.  
4 But I think that that's what the public's expecting  
5 from us today, at least that's what's driving my  
6 comments right now.

7 DR. SAUSVILLE: I mean, obviously, you  
8 know we respect the interest, indeed, the demand of  
9 the public to have responsible follow-up.

10 I think what you're hearing here is some  
11 ambiguity in trying to chart a middle course between  
12 exactly that, because this is a gene therapy require,  
13 you know, follow-up ad infinitum, which I think plays  
14 more to the -- I would use the word -- hysterical  
15 aspects of worrying about a corn in tostitos versus--  
16 I mean, I was reacting to the word exempted. I mean,  
17 and one interpretation of that is that actually a  
18 sponsor could choose a particular vector vehicle for  
19 an outcome because it would exempt them. And that I  
20 think would be wrong, actually.

21 I think that one needs to, and I return  
22 to, have the nature of the follow-up driven by the  
23 biology. Now what seems to have done is run into yes  
24 you always make demands for follow-up based on the  
25 biology of what you're trying to accomplish, and we

1 respect that. Okay.

2 So let's take off the table the types of  
3 follow-up that you would impart based on the nature of  
4 the protocol and try and come up with what I would  
5 hope would be middle ground generic consensus.

6 I mean, I agree with Dan. I think we're  
7 clear. I don't think we're --

8 DR. SIEGEL: I do want to say to Dan that  
9 maybe things will change after today. But it is  
10 important to note that much or most of gene therapy  
11 research has not had long-term or lifelong follow-up  
12 commitments. Those that have had it, as we discussed  
13 in November, have failed to achieve it in any  
14 meaningful way. And perhaps there should be more of  
15 a public outcry. It isn't there. And I think that as  
16 scientists we also have a responsibility to determine  
17 what is scientifically appropriate and to educate the  
18 public about what is scientifically appropriate.  
19 Because there's always more money you can spend  
20 collecting more data to provide reassurances, but if  
21 it's not useful, then it's not -- you know, you don't  
22 do it just because you --

23 CHAIRMAN SALOMON: Yes, I totally agree,  
24 Jay. I totally agree with that.

25 If I could choose what we talk about, this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wouldn't be the topic I'd like to talk about because  
2 I find it very uncomfortable because of the  
3 implications of it.

4 But I've said this before, there are  
5 groups in the public who have taken a position no gene  
6 therapy, and there are groups that no animal research  
7 and no this, and no this, and no this. But that's a  
8 minority. The majority of the public is willing to  
9 give us quite a bit of latitude to do these sort of  
10 cutting edge technologies. And that is based on a  
11 trust in us that we are going to do the proper follow-  
12 up, and that's what's -- again, just trying to state  
13 it a different way. I feel that that is a  
14 responsibility that we have.

15 MS. MEYERS: Jay, over 80 percent, my list  
16 calculation, of all gene therapy experiments since day  
17 one have been on cancer. And most of those people, of  
18 course, are dead. They've been dead a long time  
19 because gene therapy hasn't worked. So if you start  
20 that database today, you're going to have practically  
21 nobody in it.

22 But my long-term memory of this whole  
23 thing was that first little girl who went through the  
24 severe combined immune deficiency experiment and when  
25 that family moved into a new neighborhood, this girl

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was known. Her family had gone public. Her face was  
2 in *Time* magazine. And the neighbors told their kids  
3 not to play with her because she had gene therapy and  
4 she might be contagious.

5 We've got to do something for the people  
6 who do survive to assure them that this whole thing is  
7 safe.

8 DR. SIEGEL: Well, I don't have any  
9 problem. Believe me. I mean, that's why I'm with the  
10 FDA. I believe in a public responsibility to ensure  
11 safety.

12 I'm simply saying that the amount of  
13 safety data we require should be based on scientific  
14 considerations. It should not be based on public  
15 expectations that we collect useless data. If we  
16 believe the data is not scientifically important to  
17 collect, we shouldn't say that we should collect it  
18 because the public expects us to collect it. That's  
19 the only point I want to make.

20 CHAIRMAN SALOMON: We agree with that,  
21 Jay.

22 MS. MEYERS: We understand. I think that  
23 public expectations are much more important before we  
24 lose this whole field.

25 CHAIRMAN SALOMON: We agree with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concept. And there we get back to that there has to  
2 be within any of these sort of things enough  
3 flexibility that you can do your job, which you guys  
4 do well that part. I mean, detailing the system for  
5 a specific protocol.

6 DR. SIEGEL: It will be easy for us to ask  
7 everybody to collect everything on everybody for long  
8 term. And if they don't, to put them on clinical  
9 hold. That'll be easy to do for us to do our job.

10 This is not an issue of FDA resources.  
11 It's an issue of what's reasonable in order for  
12 science to progress.

13 CHAIRMAN SALOMON: We agree. And I think  
14 there's just a lot that still needs to be understood  
15 about gene therapy before I'm willing to say I  
16 recommended that you could exempt whole groups of  
17 vectors from follow-up.

18 So that's tier 1. One of the things that  
19 I wanted to point out, just a practical thing, in tier  
20 1 is less than 2 weeks in vivo. I think that's  
21 probably a little bit too short. In fact, if you  
22 infuse T-cells, they can last a lot longer than 2  
23 weeks. So I might say something like 6 or 8 weeks  
24 just to be a little more flexible within that  
25 coverage. A lot of cells survive longer or can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 detected by real sensitive PCR techniques. And even  
2 that you may find eventually has to be changed because  
3 of the cell's persistence.

4 DR. SIEGEL: If you say that's tier 1, I  
5 think -- you said you were going to come around to  
6 this issue of time. But if in fact there is a  
7 consensus of the Committee that for all gene therapy  
8 there should be clinical follow-up of at least a  
9 certain duration, I'd like to find out what that  
10 duration is and what the nature of that follow-up is.

11 CHAIRMAN SALOMON: All right.

12 DR. MULLIGAN: I have a radical  
13 suggestion.

14 CHAIRMAN SALOMON: Yes?

15 DR. MULLIGAN: Which is we move to that  
16 issue of the time, because everyone's real nervous  
17 about this.

18 CHAIRMAN SALOMON: That's what I wanted to  
19 do. So, Richard, why don't you make a comment on  
20 that? Let's talk about that.

21 I just wanted to make sure I remember to  
22 tell you 2 weeks was too short. That was a practical  
23 thing.

24 DR. MULLIGAN: Well, I'm not by no means  
25 an expert on this part of things, but just reading the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701