

1 Let's see. Is that number one? Could you
2 go back one just to make sure I didn't hold it down 12
3 times? Yeah, okay.

4 So what I'd like to do initially is talk
5 about this basic premise about tumorigenicity as it
6 relates to cell substrates. I think that's an area
7 of concern when I read over the documentation. The
8 potential risk statement that Dr. Lewis has provided:
9 what are the determinants of experimental tumor
10 formation that are being used to characterize these
11 tissue culture cells used for vaccine production?

12 And then some general observations
13 thinking about experimental tumorigenicity as the data
14 that we understand from that relate to potential risk,
15 and then, as I mentioned, tell you something about E1A
16 induced sensitivity to apoptotic injury and E1A
17 induced rejection of cells expressing these proteins,
18 and then just a very little bit at the end about E1A
19 in humans.

20 So the focus here is on the E1A as the
21 immortalizing, enabling oncogene in the cells that are
22 being created, and I guess theoretically its potential
23 risk as a contaminating bit of DNA that would go
24 across in the vaccine.

25 So the potential risk statement cut down

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a bit says something like tumorigenic cells are more
2 risky than non-tumorigenic cells as vaccine
3 substrates, and that seems to be a focus of -- an
4 appropriate focus of concern, and taking that on
5 directly, I think, is an interesting thing to do, and
6 this comes, as Dr. Lewis mentioned, from this Armed
7 Forces Experimental Board in 1954 where there was a
8 preference for normal cells over human tumor cells,
9 not cells like 293 or PER.C6.

10 And so I think the question at least as I
11 think of it is the concern about the use of human
12 tumor cells, like HeLa or something of that sort, the
13 same thing as the concern about using human cells that
14 form tumors. Those things may seem to be similar, but
15 I think they're different, and that is form tumors in
16 nude mice.

17 So what I'm going to try to convince you
18 is that I think experimental tumorigenicity as we
19 measure it is not the same thing as primary tumor
20 development because I think this is an important
21 distinction. We're talking about the tumorigenicity
22 of E1 expressing cells or E1 immortalized cells, and
23 I don't think that's the same thing as tumor formation
24 from a single immortalized or mutated cell in vivo
25 that goes on to successfully form a tumor in us or in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 a mouse.

2 And it's important, I think, not to
3 confused these things.

4 So the variables that can be affected,
5 that can affect experimental tumor formation, that is,
6 the ability to form tumor in an animal like a nude
7 mouse with a set of tissue culture cells, the first
8 thing is the host that you select. That makes a big
9 difference.

10 And this shows you something about some
11 experiments we've done where you can see a huge host
12 difference in the apparent tumorigenicity of the
13 cells.

14 Let me crank this up.

15 So these are three sets of types of mice.
16 These are normal C57 Black 6 adult mice. These are
17 C57 Black 6 mice that are nude and, therefore, lack of
18 functional thymus and lack of functional T cells that
19 have an intact natural killer cell response, a
20 different kind of host defense.

21 And these are CD3-epsilon transgenic mice,
22 and it's not interesting to know what they are, except
23 for the fact that they lack both T cell and NK cell
24 responses.

25 And then what's been done here is to take

1 a mouse sarcoma that is a relatively non-immunogenic
2 mouse sarcoma, and this is collaborative study done
3 with Jack Routes, and these cells were either tested
4 in the three types of mice, either in their E1A
5 negative parental state or in the state that they
6 would be after being transfected with the E1A gene
7 alone, no E1B, not the whole E1 region, just the E1A
8 gene.

9 And the question was: did E1A expression
10 affect the tumorigenicity, and if so, did that relate
11 to the type of animal that you use to test tumor
12 development?

13 And the answer is obvious. All of the red
14 lines are the control cells not expressing E1A, and
15 all of the green lines are the efficiency of tumor
16 formation using the parameter that Dr. Lewis
17 mentioned, the TPD-50, the efficiency of tumor
18 formation by the cells in the different animals.

19 And the lower the TPD-50, the more
20 efficient tumor formation occurred. So you can ignore
21 all of the red lines because they're essentially all
22 the same, and that is the non-E1A expressing sarcoma
23 cells were very efficient at forming tumors in all
24 three types of animals. The E1A expressing cells were
25 essentially unable to form tumors in normal mice,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 formed tumors sort of in a medium fashion reasonably
2 well in nude mice, those that lacked a T cell
3 response, and formed tumors with equal efficiency as
4 the EIA negative cells in the animals that lacked both
5 types of cellular immune defenses.

6 And so the type of host you pick
7 determines a lot about the type of answer you get.
8 You can say that this cell is, quotes, non-
9 tumorigenic, whereas this cell is highly tumorigenic,
10 but it really just depends on the animal chosen. So
11 I think that's clearly an important parameter to
12 consider.

13 The next thing that's important is the
14 threshold effect. Dr. Lewis mentioned numbers of
15 cells used to challenge animals, and so the cell dose
16 you pick determines the result you get as well. So,
17 again, you could create a non-tumorigenic cell by just
18 picking too low a dose.

19 And here is an experiment from a study
20 that Dr. Lewis did with these Adeno. 12 transformed
21 mouse cells. These were Balb/c cells, and they were
22 inoculated into adult immunocompetent animals at
23 various doses over time. This is the number of cells
24 injected. This is the tumor incidence of these
25 animals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And what you can see is there are
2 essentially no tumors up to 100,000 cells. So if you
3 happen to get unlucky and pick 100,000 or ten to the
4 fifth cells as your number, you'd call these non-
5 tumorigenic cells.

6 On the other hand, if you picked 100
7 million or 10 million cells as your dose, you'd say
8 they're highly tumorigenic. So dose matters. Host
9 matters, dose matters.

10 The next thing is the rout of inoculation.
11 This is something that's not as well quantitated, but
12 there's no question in my mind that the route that is
13 used for a given challenge makes a difference, and in
14 our experience intraperitoneal inoculation results in
15 an apparently more efficient tumor positive result
16 than subcutaneous inoculation, and there are other
17 kinds of things you could do, like inoculate into the
18 immunologically privileged sites.

19 Another result is to think about the
20 observation period. How long do you wait before you
21 score tumor development versus non-tumor development
22 when you're doing an experimental tumor formation
23 assay?

24 This in our laboratory is a notorious
25 experiment because we did a collaboration with another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigator. He had published a paper saying that
2 human breast cancer cells expressing the E1A gene were
3 non-tumorigenic and E1A had, in fact, converted these
4 cells into non-tumorigenic cells. It had reversed
5 their tumorigenicity.

6 Well, the thing was he looked at these
7 cells only after about 14 to 18 days. We repeated the
8 experiment, and so what's shown here is the percentage
9 of animals that are tumor free over time after a
10 challenge, with the breast cancer cell itself. These
11 are all nude mice. So they lack T cell responses,
12 have some NK cell defenses. So this is the E1A
13 negative breast cancer cell. This is a ductile
14 epithelial cell carcinoma.

15 There was the E1A expressing cells, and
16 sure enough, if you look at about two to two and a
17 half weeks, you get zero tumor formation or 100
18 percent tumor free animals, whereas all the animals
19 that had been inoculated -- that's not true -- some of
20 the animals that had been inoculated with the E1A
21 negative cells developed tumors, and that tumor
22 incidence continued to increase over time or the tumor
23 free number decreased.

24 But if you waited a bit longer, then the
25 E1A positive cells begin to make tumors in up to 20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent of the animals over time. So if you get
2 unlucky and pick a very short observation period, you
3 might come up with the wrong conclusion and call a
4 cell nontumorigenic.

5 So the host matters. The cell dose
6 matters, and the route of inoculation matters, and how
7 long you wait to call the endpoint matters.

8 Well, then the last thing, I don't have
9 any data to show on this, but there are several other
10 things when you look at the literature that can make
11 a difference in the methodology chosen to determine
12 whether cells make tumors or don't.

13 One thing that I find interesting because
14 almost all of these experiments are done by sticking
15 a needle into a mouse or a hamster or a rat, injecting
16 some cells into that needle track, and then asking
17 whether they form tumors or not. That's not normal.
18 That's not what happens after a UV irradiation or
19 exposure to a carcinogen. This causes some kind of
20 trauma at which site the cells now have a chance to
21 grow.

22 And so I think there may be a wound
23 effect, and we were talking earlier about some of the
24 observations that have been made in Rous sarcoma virus
25 where tumors form at the site of wounds.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That is an artifact that can affect tumor
2 formation, I think. Foreign bodies can affect tumor
3 development as well. For example, you can attach
4 cells to plastic plates and put them into animals and
5 get tumors. These cells wouldn't form tumors at all
6 through any route of inoculation like 3T3 cells if you
7 didn't attach them onto these little disks, and so the
8 foreign body can make a difference in your tumor
9 assay.

10 So you have to be careful about how you
11 interpret those, and the other thing that can happen
12 is if you just provide fibroblast as a mixed culture
13 in with your putative tumor cells, you can get tumor
14 forming efficiency that is much greater. So there is
15 some feeding effect or something that goes on when you
16 can do certain kinds of mixed culture inoculations.

17 So my point is that tumorigenicity is not
18 all the same. It depends on how you rig the system,
19 how you set it up. All of these experiments can be
20 used quite effectively to ask questions about one cell
21 versus the other, but I don't think they are anything
22 like at least in my mind what goes on when you get a
23 spontaneous single transformation event and ask that
24 cell to grow into a tumor, especially when you think
25 about cell dose.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There are very few spontaneous
2 transformation events that result in the immediate
3 generation of 100 million cells or ten million cells.

4 So what I'd like you to think about as a
5 possibility is the fact that tumor formation is not
6 the result of a single activity at least as we look at
7 it in experimental tumorigenicity, but it's really an
8 orchestra of events, and if all of these things aren't
9 working right, you don't get a very good outcome or in
10 our case you don't get a tumor.

11 You need to have in most cases that we
12 know about continuous oncogene expression or
13 continuous in our case E1A expression. That probably
14 isn't always true, but almost always true.

15 That gene has to successfully cause cell
16 cycle disregulation, and it probably has to hit to
17 Achilles heel in the cell cycle that relates to what
18 some people call the p53 Rb pathway where something
19 has to occur to cause that to go out of whack.
20 Otherwise you don't get immortalization.

21 The cells gave to survive this, and it's
22 important to think about the fact that when you try to
23 over express E1A in normal mammalian cells it usually
24 kills them. So it is a proapoptotic event itself. So
25 it's not easy if you're trying to transfer a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 E1A and some contaminating DNA to get those cells to
2 survive because they would tend to die as a result of
3 E1A expression itself.

4 Then you have to have this magical
5 threshold cell number. I don't think single E1A
6 positive cells probably ever form tumors. I was
7 taught early in medicine never to say "never" or
8 "ever," but I think it's probably true that it's
9 probably true that it's very hard to get a tumor with
10 a single E1A positive cell. You probably need
11 millions at least.

12 There probably would have to also be local
13 tissue factors. Steve Frisch from California has
14 shown that if you plate E1A positive cells on collagen
15 matrices as opposed to plating them on plastic, they
16 tend to do poorly. So you could argue that maybe the
17 reason that E1A positive cells grow poorly in nude
18 mice compared to normal mice is that they don't like
19 being on biometrics and that tends to be inhibitory
20 to their growth. Most of us grow them on plastic
21 dishes and they look fine.

22 And then they also have to escape from
23 this cellular immune response that I described in this
24 three-mouse experiment. If they don't escape from
25 that, then the cells are likely to be killed, even if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they make it through all of these other hurdles.

2 So I think it's very unlikely that this
3 combination of events would occur in a well
4 orchestrated fashion with transmitted DNA without a
5 lot of help.

6 I'm sorry. Would you go back one?

7 This is just a summary of a lot of work
8 done on trying to characterize how E1A sensitizes
9 cells to a variety of proapoptotic injuries. We've
10 mostly been interested in immunological injuries since
11 they relate more to whether a cell will form a tumor
12 or won't form a tumor in vivo, but a number of other
13 injuries do the same thing, and that tells us
14 something about the mechanism, I think.

15 So if you look at a variety of types of
16 things, chemotherapeutic drugs, hydrogen peroxide,
17 potassium ionophores, irradiation; this is a protein
18 synthesis inhibitor; where the things we're more
19 interested in like natural killer cells, cytolytic T
20 cells, TNF alpha, or the TNF receptor apoptosis
21 inducing ligand trail, all of these things can
22 selectively induce apoptosis in an E1A expressing
23 cell.

24 So there were a lot of things going
25 against E1A positive cells in trying to survive in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vivo or trying to survive when exposed to a variety of
2 types of injuries.

3 This may say something about the pathway
4 through which E1A sensitizes, but what it does say is
5 that an E1A positive cell doesn't have an easy time of
6 it trying to survive in vivo, especially when you
7 consider these kinds of defenses.

8 It's also important that these events,
9 this sensitization of cells to immunological mediated
10 proapoptotic outcomes are not necessarily dependent on
11 normal cell genetics. So p53 minus cells are cells
12 that lack expression of the Rb gene, are also
13 sensitized by E1A just like normal cells are.

14 So if you wanted to postulate the worst
15 scenario, you'd say, well, maybe E1A would be
16 transmitted, and it would hit a cell that already had
17 a mutation and that would give a chance for a bad
18 outcome.

19 The fact is the most common mutations in
20 human cells that lead to neoplasia are in this
21 pathway, E1A sensitized cells expressing mutations in
22 that pathway to immunological injury. So they're not
23 going to be protected by that second mutation.

24 This just shows you something about the
25 range of experiments that have been done with human

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cells. This is just an experiment with natural killer
2 cells to show you that human cells are very much like
3 other cells. These are mouse 3T3. This is a
4 continuous rat line that was immortalized with ras.
5 This is BHK21, a spontaneously transformed hamster
6 cell line. THE BHK21 is highly tumorigenic, and its
7 tumorigenicity is eliminated by expressing E1A.

8 These other lines, all when expressing E1A
9 become more sensitive to natural killer lymphocyte
10 induced lysis, and this is just a human fibrosarcoma
11 cell line, stably expressing E1A, and it does the same
12 thing.

13 If you generalize this to other types of
14 things that we know about, a variety of types cells
15 from different tissue origins from humans are
16 sensitized to various apoptotic injuries. Epithelial
17 cells, notable among those 293. We've also looked at
18 this breast cancer cell I told you about; fibroblastic
19 cells. This is a fibrosarcoma line.

20 Hematopoietic cells, K562 has been shown
21 by Stiewe in a publication in 2000 to become
22 sensitized to reactive oxygen intermediates when
23 expressing E1A, but not when not expressing E1A, and
24 ACLS2, which is an interesting cell line because it
25 doesn't express either p53 or Rb, when it expresses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 E1A becomes much more sensitive to natural killer cell
2 injury, and I've already told you about some of the
3 injuries. These are the ones that have been tested
4 against human cells expressing E1A.

5 So this is like the atomic chart or the
6 intermediary metabolism pathway. It's one of these
7 things that we never remember, and I just show it for
8 the purpose of saying that E1A probably hits multiple
9 targets in the apoptosis cascade. This is an
10 incomplete representation of what goes on during
11 apoptosis, but there are preliminary data. This isn't
12 well worked out, but there are data that E1A causes
13 over expression of p53, which can drive apoptosis.
14 E1A positive cells -- this was all done in 293
15 cells -- express some kind of what's called an
16 oncogene associated factor that is probably like a
17 mitochondrial-like factor that can be involved in
18 apoptotic activity.

19 E1A can probably facilitate the conversion
20 of Procas Base 8 to Cas Base 8 (phonetic). In certain
21 cell types, it can increase cell surface depth
22 receptor expression, and we've done several studies
23 now, and I've just submitted a publication about E1A
24 repression of the cellular NF kappa B (phonetic)
25 defense against apoptosis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So probably the bottom line is that there
2 are multiple sites in cells that are targets for E1A
3 rendering cells sensitive to a variety of apoptotic
4 injuries, especially immunological ones.

5 So the last point is E1A in humans, and I
6 guess to try to put the idea of contaminating E1A in
7 perspective, we should think about what goes on
8 elsewhere (phonetic), and that is there are studies.
9 This is a study by Jimmy Hogg from Canada, from his
10 laboratory, that shows that E1A actually may persist
11 in normal human tissues after infection.

12 In these studies what they did is they
13 took a lot of people that had lung disease, and they
14 asked whether those that progressed to emphysema or
15 chronic bronchitis had something unusual about them,
16 and I think the truth be told, he had somebody who had
17 done a post doc in Alex's laboratory or somebody's who
18 had learned how to look for E1A, and they looked for
19 E1A in these lung tissues, and what they found was a
20 lot of E1A sequences in both normal people and those
21 that progressed to emphysema based on PCR technology.

22 So it's not easy to do, but when people
23 have looked, they have found E1A, at least in this one
24 laboratory, have found E1A in normal tissues, and that
25 may speak to the persistence of adenoviral infection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It may say that there is integration that goes on that
2 we aren't very good at detecting, but it's probably
3 not true that E1A is never around and these viral
4 oncogenes are never expressed in humans anyway, even
5 vaccine recipients.

6 And then there are two clinical trials
7 that are going on now and fairly extensive studies in
8 which E1A is actually being used therapeutically.
9 It's being put into people that have cancer to ask
10 whether it can help reject tumor cells. One of those
11 is in a company that has the name of the virus that's
12 called ONYX. It's really an old Arnie Levine that has
13 a mutation that allows it to selectively kill p53
14 minus cells, which many human tumors are.

15 So this virus infection is given to people
16 who have p53 minus tumors, and that virus
17 preferentially replicates in those tumors and destroys
18 the tumor tissue preferentially to normal tissue.

19 It's not that simply, but that's the idea.

20 There is also an M.D. Anderson study in
21 which E1A is being used in gene therapy to inject in
22 liposome vectors into people that have, for example,
23 metastatic ovarian or peritoneal metastasis with
24 ovarian carcinomas, and it's also being considered for
25 breast carcinomas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And here the idea is that E1A represses
2 expression of a cell surface receptor that's necessary
3 for growth factor stimulation of the tumor cells. So
4 not only do we probably have E1A already in our
5 tissues. If we were to look carefully and certainly
6 can support persistent infection with adenoviruses,
7 but E1A is being used therapeutically in humans, and
8 so far now admittedly these aren't long-term
9 vaccinations of babies, but so far there's no evidence
10 from the safety testing that this has caused an
11 adverse outcome in these patients.

12 So my conclusions are that experimental
13 tumor formation in nude mice does not predict
14 tumorigenicity of an isolated oncogene, in this case
15 E1A. Even if the E1A oncogene were transmitted in a
16 contaminating DNA, it's very unlikely that it would
17 become stably expressed in recipient cells because of
18 transfection inefficiency. Dr. van der Eb just told
19 you how hard it is to transfect human cells with
20 sheared adenovirus DNA or certainly with E1A itself
21 it's actually even harder.

22 Direct apoptosis that occurs during
23 attempted establishment of these cells would probably
24 kill many of the cells in which the DNA would try to
25 get itself inserted.

1 Even if E1A did become stably expressed in
2 recipient cells, it's very unlikely that such cells
3 would provide a tumorigenic risk because there
4 wouldn't be a threshold cell dose. You wouldn't have
5 the millions of cells up front that you'd need to get
6 over the hurdle of getting tumor formation initiated.

7 Now, the viability of the E1A positive
8 cells on biometrics, Steve Frisch's data, suggesting
9 that these cells do relatively poorly when cultured on
10 things like we might have in our soft tissues, and the
11 susceptibility of these cells even if they did get
12 established to immune mediated apoptosis, I think,
13 would rule against their survival.

14 And then also we have to think about the
15 fact that expression of E1A in humans might be normal,
16 and when therapeutically used in humans, it can
17 actually be directly apoptotic and can reduce
18 expression of critical growth factor receptors.

19 So I think E1A expression as a
20 contaminating force from the vaccine would probably
21 not likely be a major problem.

22 I'd be glad to answer questions.

23 ACTING CHAIRMAN DAUM: Thank you very
24 much, Dr. Cook, for an enlightening presentation.

25 We'll take a few questions. Dr. Griffin,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 then Dr. Stephens, please, and then Dr. Faggett.

2 DR. GRIFFIN: It's my understanding that
3 the cells we're talking about express both E1A and
4 E1B.

5 DR. COOK: Right.

6 DR. GRIFFIN: And that E1B is a counter
7 force, an anti-apoptotic factor. So how does that fit
8 in with all of your conclusions that you're making
9 about the safety of E1A when you're really talking
10 about giving both?

11 DR. COOK: Right. I specifically didn't
12 talk about E1B. There's no evidence that E1B
13 expressed alone does anything in terms of its ability
14 to immortalize cells.

15 They're looking at both. The only reason
16 that E1B is interesting in the contest of E1A is it
17 allows E1A -- it prevents E1A from destroying cells.
18 So without E1B what you get is a tremendously
19 inefficient immortalization event, very few colonies
20 formed. So E1B really has to be around to prevent the
21 apoptotic response and to bind p53, the two proteins
22 that E1B makes.

23 So I don't think that E1B is a factor.
24 All I can tell you is when it gets down to what I
25 think is the key event at least from our perspective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is that if you look at the immunological injuries and
2 ask whether they still happen, from a killer cell
3 point of view, a natural killer cell, a cytolytic
4 lymphocyte or an activated macrophage still kills
5 cells expressing E1B perfectly well, even though it
6 does it in an E1A specific manner.

7 The only thing that E1B can do in human
8 cells when co-expressed with E1A is to repress the TNF
9 induced apoptotic response. That's a unique thing
10 that Linda Gooding showed years ago.

11 So I don't think E1B really adds a whole
12 lot to the mix, other than allowing the cells to be
13 immortalized in the first place.

14 Then the other thing that I would have to
15 think about is how do you think about transmitting
16 this DNA when these are two separate genes under the
17 control of two different promoters, and now what you
18 have to do is get the orchestra to work even harder.
19 You've got to put the two genes together again to get
20 them expressed in the same cell if you're going to try
21 to transmit this as contaminating DNA.

22 So now the odds even go up higher.

23 ACTING CHAIRMAN DAUM: Thank you very
24 much.

25 Dr. Stephens and then Dr. Faggett.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: I was struck by one of your
2 papers talking about this family of oncogenes, and you
3 compare the HPV oncogene, which has very different
4 properties than EA-1, and I just wondered if you could
5 comment on the structural relationships and
6 possibility of mutation of EA-1 to produced an HPV-
7 like oncogene.

8 DR. COOK: Well, E1A and E7 have some
9 sequence similarities. You can actually do with an
10 analogous gene SV40, you can do mix and match
11 experiments where you can cut out a bit one, stick
12 into the other and get a competent gene.

13 So they have conserved sequences. I think
14 the reason for this is that all of these genes need to
15 do the same thing when they get into the cell, and
16 that is to regulate the cell cycle, and they do that
17 by binding Rb and by binding p300 and Kreb binding
18 proteins.

19 So there are reasons that they have co-
20 evolved these. They aren't the same virus. As far as
21 anybody know, they didn't evolve together, and they
22 aren't just recombinations.

23 The data you're referring to are that E1A
24 does all of the things I'm talking about, and so far
25 as we can tell, when E7 is expressed in cells with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exception of one or two reports, it doesn't sensitize
2 cells to some of these other kinds of injuries like
3 TRAIL or to killer cell injury, and so E7 is a
4 different beast, even though it shares some of these
5 same cell cycle regulatory bits.

6 The ability to convert E1A into E7, other
7 than making a chimeric molecule, which can work --
8 Jack Routes has done that. He's made an E1A E7
9 chimera. What happens is the E1A phenotype is
10 dominant, and so E7 sort of lacks what E1A has. It
11 doesn't look like it has any evil force that's trying
12 to outdo E1A.

13 There are no mutations so far that we have
14 been able to make in E1A that's eliminated these
15 activities, although if you eliminate enough of the
16 genome, if you take enough of E1A out, you can reduce
17 its ability to sensitize things, but it's not just a
18 simple mutation. It's a deletion.

19 So I don't know that we could, other than
20 chimera formation between E1A and E7 just making
21 single based changes or some kind of frame shift or
22 something in E1A create an E7-like gene product.

23 ACTING CHAIRMAN DAUM: Thank you.

24 Dr. Faggett, please.

25 DR. FAGGETT: Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It was a very clear presentation of a very
2 complex topic.

3 You did mention that you were comfortable
4 in terms of safety issues with the A-1. What was the
5 level of sensitivity and types of tests used to bring
6 you to that level of comfort in terms of safety?

7 DR. COOK: Well, what I'm saying is that
8 I think the -- I'm talking about odds. I suppose we
9 could talk to statisticians, which I'm not, but the
10 odds of getting multiple unlikely events that occur at
11 a rate of maybe one in a million to occur
12 simultaneously to get the event that you want to see
13 happen, which is the transfer of an E1A oncogene into
14 a vaccine recipient at the level of DNA contamination
15 you're talking about, and have that gene by itself now
16 be able to immortalize the cell, have that cell
17 survive itself, and then have it survive an immune
18 response. I think the odds could be -- probably can't
19 be calculated because you don't know all of the
20 numbers, but I think they're very low.

21 DR. FAGGETT: It's a question of ten
22 nanograms of DNA fragments estimated to be the
23 smallness to get some effect. Is that a level?

24 DR. COOK: Ten nanograms per vaccine dose
25 is what I think I heard earlier.

1 DR. FAGGETT: Okay. So how do you --

2 DR. COOK: I've have to try to do some
3 math. I think it would be mental gymnastics, but it
4 probably could be done.

5 DR. FAGGETT: Thank you.

6 ACTING CHAIRMAN DAUM: We will return to
7 this very subject in our discussions later.

8 Dr. Diaz.

9 DR. DIAZ: You mentioned that there was a
10 lot of E1A just naturally occurring in human cells,
11 different types of human tissue. Could you expound on
12 that a little bit in terms of what's known? Is it
13 expressed? Does it perform similar immunologic
14 regulatory functions in humans?

15 And, secondly, is there any known E1B-like
16 genes in human tissue also?

17 DR. COOK: Yeah, it's important to put it
18 into perspective so that I don't overstate this. So
19 there is a laboratory in Canada in which they have
20 found evidence based on PCR analysis of E1A sequences
21 in lung tissue. It was in a lot of different lung
22 specimens that they sampled.

23 As far as I know, there aren't other
24 laboratories that have done the same thing.

25 There's a laboratory in Australia where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they've found E1A-like activity in mammalian cells,
2 including human cells, but haven't convinced anybody
3 that it's E1A yet.

4 So there's a different in finding E1A, as
5 Hogg's lab did, and finding E1A-like activity, as many
6 other people have. So there probably are E1A-like
7 functions in normal cells, and that's probably why E1A
8 decided to ape this during evolution anyway, because
9 it helps regulate cell cycle.

10 A far as E1B expression in normal human
11 cells outside of initial infection or persistent
12 infection, I'm not aware of any data that E1B
13 independently has been detected in human tissues
14 unrelated to infection.

15 ACTING CHAIRMAN DAUM: There are four more
16 hands. We'll take these four questions, and then
17 we're going to move on. Dr. Minor, then Dr. Ketner,
18 Dr. Aguilar-Cordova.

19 DR. MINOR: I'm interested in your
20 threshold description. If you had a PD-50 of 1,000
21 and you give it to ten mice, five of them will go
22 down.

23 If you had 100 cells, for example, went
24 into 100 mice, are you saying that none of them will
25 go down?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COOK: I think that's true, actually,
2 yeah.

3 DR. MINOR: Has anybody ever done to see--

4 DR. COOK: Andy, you've given a lot of --
5 you know the answer.

6 DR. LEWIS: Yes, we did that very
7 experiment with the Adeno. 12 because we found that
8 the TPD-50 for the Adeno. 12 transformed Balb/c mice
9 embryo cells was a million cells, and so we asked the
10 question: what would happen if you put 100,000 cells
11 into 100 mice?

12 And we did that experiment, and we got no
13 tumors. So it looks like that threshold is real.

14 We did several other experiments to
15 address that question the same way, like cloning and
16 doing this, that, and the other, and the TPD-50 on
17 sub-clones of the population were again a million
18 cells.

19 So nothing we could do would alter that
20 threshold. I can't say that there's thing that
21 couldn't be done to alter the threshold, but we were
22 unable to do it considering that as a criticism for
23 the proposal we were making.

24 ACTING CHAIRMAN DAUM: Can I ask a follow-
25 up and this be -- save one of the four questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Is this threshold phenomenon in your
2 belief a probability event where if you just give
3 enough cells, one will do it, or is this a quorum
4 sensing kind of thing where if you give enough cells
5 they will start doing something?

6 DR. LEWIS: Yes. Well, I think what we
7 ruled out by the experiments we did was the
8 possibility that one cell out of a million cells was
9 doing the job. All the data that we have suggested it
10 took the collective action of million cells to
11 overcome whatever it was that was prohibiting the
12 cells to form a tumor mass.

13 Now, exactly what it's overcoming I don't
14 know. I think the question was as to whether this was
15 -- and these were adult Balb/c mice, by the way. They
16 were not newborns or immunoincompetent animals. These
17 were adult animals.

18 So the question I think we raised but were
19 never able to answer was the possibility that it was
20 something about the immune system that it took a
21 million cells to overcome. In other words, that was
22 the level of competence at which that animal could
23 function immunologically with all of his anti-tumor
24 defenses intact.

25 And if you challenged him with enough, he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 could no longer respond. Jim may have some other
2 ideas about that, but that's as far as we were able to
3 take the logic. We were never able to test that.

4 ACTING CHAIRMAN DAUM: It may be fun to
5 return to that this afternoon as an issue. I'd like
6 to get the focus back to your presentation.

7 Dr. Ketner is next, and then Dr. Aguilar-
8 Cordova.

9 DR. KETNER: Yeah, this is just a comment
10 on the persistence of E1A sequences in human lung
11 samples. It turns out it was shown a long time ago,
12 20 years or so, that human peripheral blood contains,
13 after an adenovirus infection has come and gone,
14 contains residual, intact viral genomes. They're
15 probably replicating slowly in peripheral blood cells,
16 and so those E1A sequences that were found, these are
17 detectable by relatively insensitive techniques like
18 Southern blotting.

19 So those E1A sequences that were detected
20 by PCR may well just have been intact replicating
21 genomes in the blood in those tissues. So I think it
22 overstates it to say that there are cells in which
23 there are, you know, E1A persistent sequences.

24 ACTING CHAIRMAN DAUM: Thank you for a
25 clarifying comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Aguilar-Cordova.

2 DR. AGUILAR-CORDOVA: Yeah, I was
3 wondering on the cell thing you were mentioning that
4 had E1A-like activities if these cells could
5 complement the E1A minus vectors, and what other known
6 genes, such as E7 or other viral genes, may have that
7 function of complementing and then producing the
8 replication competent activity.

9 DR. COOK: The clearest experiments have
10 been done with mouse embryonal carcinoma cells, the
11 cell line F9, and I think some of the break-away
12 studies from Australia may be similar, but in F9 cells
13 what happens is these are interesting cells because
14 they can be differentiated in vitro with retinoic acid
15 into terminally differentiated cells. Without
16 retinoic acid, they're undifferentiated embryonal
17 carcinoma cells.

18 The undifferentiated cells will complement
19 a virus called DL312, which has no E1A and grows very
20 inefficiently in normal cells. When these cells are
21 differentiated, that complementation goes away.

22 So this is the basis upon which it has
23 been concluded there is some E1A-like activity in
24 normal cells. This is a mouse embryonal carcinoma
25 cell.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The question about whether other human
2 persistent viruses or like HPV where E7 is expressed
3 in an epithelial carcinoma or something like that, I
4 don't know of any evidence that E7 can be expressed in
5 the cell and complement a defective adenovirus. I
6 don't think -- at least as far as I know, that's not
7 the case, but I don't know the data.

8 ACTING CHAIRMAN DAUM: Thank you very
9 much, Dr. Cook.

10 We're going to move on now to our final
11 morning, so to speak, presentation. We'll hear from
12 Dr. Peden at the FDA regarding quantitative assessment
13 of the risks of residual DNA, and after which we'll
14 take a break for lunch.

15 DR. PEDEN: Thank you.

16 ACTING CHAIRMAN DAUM: While setting up,
17 I'd like to congratulate Dr. Peden for providing us
18 with a handout with his slides on it. It makes it
19 much easier to follow the talk.

20 DR. PEDEN: Thank you.

21 I was going to say good morning, but it
22 is, in fact, good afternoon.

23 This presentation will discuss issues of
24 whether residual cell substrate DNA in vaccine
25 manufactured in neoplastic cells poses a risk to

1 . vaccine recipients.

2 At the outset, I should like to say that
3 while these views expressed are largely my own, I
4 would like to acknowledge the sage and helpful
5 discussions of many colleagues, particularly Andy
6 Lewis, Phil Krause, and Becky Sheets.

7 I would discuss what the perceived risks
8 are, the proposed mechanisms whereby there could be a
9 risk. I will review the relevant in vivo data that do
10 exist and present some calculations and assumptions
11 upon which they are based.

12 The overall conclusion I want to leave you
13 with is that the small amounts of residual cell
14 substrate DNA, and by this I mean ten nanograms, poses
15 an acceptably small risk to vaccinees, and this value
16 of ten micrograms did not come from my whim.

17 Oops, can I go back one more?

18 It has been discussed for many years, and
19 at the WHO expert commission in biological
20 standardization in 1998, the residual DNA from
21 continuous cell lines, ten nanograms or less, was
22 proposed as a reasonable level, raising it from 100
23 picograms, .1 nanograms or less, that was reached in
24 1986.

25 So what types of risks are there? There's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the perceived risks associated with residual cell
2 substrate DNA, has generally considered to be
3 oncogenic risk, but there is another risk which I'm
4 going to discuss, too, the infectivity risk.

5 So the proposed mechanisms whereby an
6 oncogenic risk by cell substrate DNA comes for several
7 ways. One is with the introduction of a dominant
8 activated oncogene. For example, an activated rat
9 oncogene or in the issues we're discussing today, the
10 dominant activated oncogenes could be E1A or E1B.

11 Insertional mutagenesis is another
12 mechanism whereby DNA could be oncogenic. While this
13 mechanism has been found in certain cancers of
14 animals, such as the disruption of cellular tumor
15 suppressor gene or activation of a cellular dominant
16 oncogene, by promotion of insertion mechanisms,
17 calculations have been done by many people, and the
18 risk associated with a single integration event by
19 this type of -- to disrupt the cellular tumor
20 suppressor gene or dominant oncogene is considered by
21 people like Reinhart Court (phonetic) to be one in ten
22 to the minus 18 or one in ten to the 18.

23 So this is a very low probability event,
24 and it comes about -- this has been seen in animals
25 where activation has occurred from an integrating,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.neairgross.com

1 replicating system, such as a retrovirus, and a single
2 insertion event from residual DNA is unlikely, very
3 unlikely to pose this.

4 Another mechanism that's been pioneered by
5 Walter Doerfler is that DNA methylation pattern
6 changes following integration. While this occurs in
7 system trends, the lack of a phenotypic consequence of
8 this makes this very difficult to study.

9 The other risk of integrating -- of cell
10 substrate DNA is the one I talked about, cell
11 substrate DNA they incurred in infectious genome. The
12 DNA virus, which is polyoma virus, Herpes virus, and
13 papilloma virus exist as integrated into cells.

14 Well, in addition, of course, retroviruses
15 have a provirus state, such as HIV-1 and HTLV and
16 human retroviruses. So by infectious DNA what we mean
17 is that if this DNA is introduced into the appropriate
18 system, then infectious particles are produced, and an
19 infection can be established.

20 So one other thing that influences the
21 nature of the inoculum. For example, we concern
22 linear versus circular DNA as not being studied
23 greatly. The single stranded versus double stranded,
24 where the state of the DNA is free versus the
25 chromatin associated. In most lysed cells, of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the DNA will not be free, but be chromatin associated.
2 This has not been assessed in any study except one,
3 that chromatin was injected into animals.

4 The size distribution of the DNA is
5 obviously very important. If the DNA is sheared to a
6 size that's below the size of a normal gene, such as
7 normal genes excluding neutrons are about 3,000 base
8 pairs. Then clearly this DNA will not be able to
9 produce a product.

10 Now, the route of inoculation can be
11 important as you heard from Dr. Cook. Most of the
12 studies, various animal studies were done with
13 intramuscular, intradermal, subcutaneous, intranasal
14 and oral route, and also by intraperitoneal route.
15 However, an application in Phase 1 would be considered
16 unfavorably, I would imagine, with this route.

17 Assumptions. Before we can go into the
18 calculations, we need to make certain assumptions
19 here, and I hope you can read that, but what I wanted
20 to do is to go through these assumptions. I'll read
21 them slowly, and I hope you have the handout.

22 For a given DNA, the level of response of
23 the cell to that DNA is proportional to the amount of
24 that DNA. That makes somewhat sense. The activity of
25 the gene integrated in the chromosomal DNA was part of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a plasmid or phage vector is equivalent or lower.

2 Now, we have to make this assumption
3 because we have no other way of doing this. However,
4 it's likely that the DNA integrated into chromosomal
5 DNA being controlled by its own promoter, requiring
6 certain perhaps tissue specific transcription factors,
7 may not, in fact, be active at all, whereas we put a
8 gene on a plasmid or phage vector and we've designed
9 it such that it expresses very well.

10 So if anything, this is going to over
11 estimate the risk rather than underestimating the
12 risk, but that's the only thing we can do. So we're
13 making this assumption.

14 And the amount of uptake of a given gene
15 by a cell and the expression of this gene in the cell
16 is related to the concentration of the gene in the
17 DNA.

18 Now, because a single copy is represented
19 at approximately one-millionth of the haploid
20 mammalian genome, the amount of DNA corresponding to
21 a single copy gene is a million-fold less abundant for
22 equivalent amounts of cellular DNA compared with the
23 plasma DNA with the same gene.

24 Now, as I say, the genome is of nine --
25 the haploid genome is three billion base pairs, where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as I say, the normal gene is maybe 1,000 base pairs.
2 So there's a million-fold difference in the size of
3 the genomes.

4 So, for example, that is, for the uptake
5 and expression of a gene in mammalian genomic DNA
6 equivalent to one microgram of plasma DNA, one million
7 micrograms or one gram of mammalian DNA is to elicit
8 an equivalent biological effect, giving the
9 assumptions on the previous slide.

10 Conversely, the single oncogene is present
11 at one microgram of mammalian DNA, the equivalent
12 amount of the same oncogene if cloned in the plasma is
13 one times ten to the minus six micrograms or one times
14 ten to the minus three nanograms or picogram.

15 So there are the assumptions on which
16 we've made some calculations.

17 So now I want to go through some of the
18 data that has been presented in the literature about
19 oncogenicity, and this is the work done by Hsien-jen
20 Kung, and colleagues, in 1983, where they're using a
21 cloned src gene, two micrograms induced tumors in
22 seven out of ten chickens inoculated subcutaneously in
23 the wing web.

24 If you inoculated a cloned Rous sarcoma
25 genome, the entire genome, this two micrograms induced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tumors in six and six. Presumably this comes about
2 because the Rous sarcoma virus DNA initiated an
3 infection.

4 So two micrograms was found to induce
5 tumors in about 70 percent of the animals. A study in
6 1990 by Halpern and colleagues got essentially the
7 same or similar results using in this case 20
8 micrograms of DNA by the same route, and inoculated
9 intravenously. Also it gave tumors.

10 So we can conclude that two micrograms of
11 DNA, which is equivalent to about two and a half times
12 ten to the 11 molecules of cloned v-src oncogene is
13 oncogenic in chickens.

14 The study that was done by Burns and
15 colleagues inducted the oncogenicity of ras, of the
16 activated ras gene in mice. Activated ras from the T-
17 24 gene, ten micrograms inoculated by scarification in
18 the mouse skin.

19 Lymph anginose sarcomas developed in 33
20 out of 34 animals within 12 months, usually within 12
21 weeks. However, the normal ras gene failed to induce
22 tumors. So at least in this route, ten micrograms,
23 which is equivalent of about one times ten to the 12
24 molecules of an activated ras gene is oncogenic in
25 adult mice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 If we looked at the oncogenicity of
2 polyoma virus DNA, polyoma virus DNA, of course, is
3 infectious in mice, but in hamsters it is not
4 infectious. It causes tumors by interperitoneal,
5 subcutaneous routes, using either super clonal DNA or
6 linear DNA. As you can see, it's tumorigenic.

7 This is the viral DNA isolated from the
8 virion. If you clone the polyoma virus DNA and inject
9 in newborn hamsters, it is also tumorigenic.

10 Now, the minimum amount of DNA they found
11 activity was .2 micrograms of the linear DNA, and gave
12 it to 22 percent of the animals. So if we use that
13 figure, it can conclude that .2 micrograms, which is
14 about two times ten to the ten molecules of polyoma
15 virus DNA is oncogenic in newborn hamsters.

16 So then we're going to move on to what
17 data are available on the infectivity. Now, I'm going
18 to talk about several studies on retroviruses, and in
19 the first ones I'm going to talk about is work done by
20 three groups over the years, using Simian
21 immunodeficiency virus through various routes and
22 establishing infection in various animals, and the
23 number of genomes, for infection.

24 In the bovine leukemia virus using ID
25 route with either a facilitated Achiheinig (phonetic)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lipid facilitator DOTAP in the Caprine (phonetic)
2 arthritis encephalitis virus in goats, again, and
3 feline leukemia virus and feline immunodeficiency
4 virus.

5 Now, the only reason for presenting this
6 slide is, although you probably can't see it, is that
7 the number of genomes for infection with these
8 disparate systems and different routes of inoculation
9 is remarkably similar.

10 The highest number of genomes required is
11 about two times ten to the 13, and the lowest is one
12 times ten to the 12 in this system. So it's somewhat
13 remarkable. Even though a dose response study was not
14 generally done, with the possible exception of the
15 Purcell group, it is quite remarkable the number of
16 genomes or molecules of these proviral clones.

17 And yet to establish an infection, we're
18 very similar within about a 20-fold range. The dose
19 response study was done by Portis and colleagues with
20 a murine retrovirus using 19, 3.8, .38, and .038
21 micrograms intraperitoneally and found that 3.8
22 micrograms intraperitoneally was the last of the level
23 to establish an infection.

24 Interesting, they also found that super
25 core DNA was unable to establish an infection, whereas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 linear DNA established an infection very well.

2 That result disagrees somewhat from other
3 people's, but probably reflects the system as well as
4 the clones.

5 So the summary then of the infectivity
6 with cloned viral genomes, viral DNA coming from the
7 retroviruses, 15 to 500 micrograms intramuscular
8 injection, and so that if you calculate the number of
9 genomes, it's about one times ten to the 12 to 2.3
10 times ten to the 13 genomes required for infection.

11 Polyoma virus, in contrast, from five time
12 ten to the minus five micrograms or one microgram
13 variously, and the minimum estimate then is 1.3 times
14 ten to the seven genomes. So if we can conclude from
15 that the infectivity of different retroviral DNAs is
16 quite similar, quite surprisingly perhaps is similar,
17 and depending on the route of inoculation, 15
18 micrograms can be infectious for retroviruses, but the
19 infectivity of polyoma virus DNA is higher than
20 approximately 50 picograms or .05 nanograms is
21 sufficient to establish an infection.

22 So it may be informative to compare the
23 oncogenicity and infectivity where it's possible. So
24 for polyoma virus DNA, that's the only one where we
25 can, in fact, compare directly the oncogenicity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Point, zero, two micrograms is the minimum amount of
2 DNA that was tested that was oncogenic, and that's
3 about 3.6 times ten to the ten genomes infectivity,
4 however. The infectious dose 50 is 1.3 times ten to
5 the minus four micrograms. So this is about 2.3 times
6 ten to seven genomes.

7 So there's about three orders of magnitude
8 difference with the infectivity of polyoma versus the
9 oncogenicity, and SV40 is about an oncogenicity of a
10 microgram. Retrovirus, the infectivity, again, is 15
11 to 30 micrograms. It's about one to two times ten to
12 the 12 genomes. V-src was two micrograms at about
13 two, two and a half times ten to the 11 molecules.
14 Inactivated ras, ten micrograms, is nine times ten to
15 the 11 molecules.

16 So we went in the literature to find out
17 what evidence, what data are available for intranasal
18 inoculation. Unfortunately there is almost none in
19 terms of quantitative estimates. So the only one we
20 could find was looking at this study by Timer and
21 colleagues in Japan several years ago by using DNA
22 vaccine administered intranasally.

23 So this was a clone gene of the
24 hemagglutinin gene from influenza. It was inoculated
25 into mice via the nasal route. Different amounts of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DNA were administered in PBS, and after four weeks, a
2 second intranasal inoculation of 1.5 micrograms was
3 given as a good.

4 So down here we can zero micrograms, 0.2
5 micrograms, .4 micrograms, and .6 micrograms, and they
6 measured two responses, hemagglutinin inhibition assay
7 and mucosal IgA to the vaccine, and after the primary
8 inoculation, nothing was found except for the six
9 micrograms. There was a weak response.

10 However, after the second inoculation,
11 there was nothing. No priming inoculation was given
12 demonstrating that this 1.5 microgram secondary -- the
13 boost was not immunogenic. However, for all the
14 levels of DNA, there was a substantial boost.

15 So what this means to us is that even 0.2
16 micrograms of DNA, in other words, 20 nanograms,
17 administered intranasally elicits immune response
18 since the secondary inoculation boosts this response.

19 Therefore, we're concluding that 20
20 nanograms of DNA can be biologically active when
21 administered intranasally.

22 So the other route that we're considering
23 is the oral route. Now, there aren't that many
24 studies of this either, but Malcolm Martin and Mark
25 Israel's group fed mice polyoma virus DNA between one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or 0.5 micrograms, and zero out of 25 became infected
2 with one microgram and zero out of 30 became infected
3 with 0.5 micrograms.

4 However, if you stick a gastric tube
5 through, you can get some infectivity, but how this is
6 doing this is through damage of the gastric tube in
7 mice is not clear, but the important point is though
8 that it's unlikely that small amounts of DNA will
9 survive passage through the stomach intact.

10 Now, the next study that looked at oral
11 routes is a study by Duffler (phonetic) and
12 colleagues, and they fed a phage DNA -- it's a 7.25 kb
13 phage DNA -- large quantity DNA and followed its fate
14 to see whether anything did escape through the
15 stomach, and they did find DNA in feces, blood, small
16 intestine, and large intestine in the leukocyte
17 population.

18 But the size, as you notice, is 100 base
19 pairs to 1,700 base pairs, whereas the original genome
20 was 7,000 base pairs. So it's clearly getting
21 degraded during passage through the stomach.

22 They did find some in the blood, 194 base
23 pairs to 976 base pairs, and perhaps interestingly,
24 they also found about a 700 base pair fragments in
25 blood, and they also found it integrated in 0.1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 . percent of the cells.

2 However, these cells did not survive, and
3 these cells came from the peyer's patches and from the
4 spleen.

5 So conclusions that small amounts of DNA
6 can, in fact, pass through the stomach, but no full
7 length DNA was detected, and DNA can be found
8 integrated into the mouse chromosome in a few percent
9 of the cells. So you have to feed large quantities of
10 DNA.

11 So now we can go on to try to get some
12 estimate of risks. We'll first consider the
13 oncogenicity.

14 The cloned activated oncogene, for a
15 single dominant activated oncogene per cell, one
16 microgram of DNA has 152,000 oncogenes, and this
17 figure comes from the DNA in 152,000 cells that's one
18 microgram or one microgram carries 152,000 cell
19 equivalents. So that's where that figure comes from.

20 We know from our previous studies of the
21 literature and for polyoma virus DNA about 3.6 times
22 ten to the seven genomes or molecules are required for
23 oncogenicity, and the activated ras DNA, 9.1 times ten
24 to the 11 molecules are required for oncogenicity.

25 Therefore, the number of tumors expected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from one microgram of residual DNA with a single
2 dominate oncogene per cell is between 152,000 divided
3 by this. It gives you a value of 4.2 times ten to the
4 minus six for polyoma, and a value of 1.7 times ten to
5 the minus seven for activated ras, and if you take
6 that the other way, therefore, for ten nanograms of
7 DNA, not one microgram, the probability of an
8 oncogenic event is between one and two times ten the
9 five or 200,000 for polyoma virus, and one in ten --
10 six times six million for the inactivated ras. So
11 that's where the calculation comes from.

12 For infectivity, we've looked at polio
13 virus DNA, and viral genomes required for infectivity
14 is ten to the seven. So it's ten million.

15 The probability of an infectious event
16 using ten nanograms of mammalian DNA with a single
17 copy of polyoma virus DNA is one in 7,000 events, one
18 in 7,000 events. This is rather high.

19 This also points to the fact that it is
20 very important to be able to determine that an
21 adventitious agent, such as polyoma, not just polyoma;
22 other adventitious agents are not present in the cell
23 substrate since infectivity is a very easy -- much
24 easier than oncogenicity.

25 For the retrovirus cloned proviral DNA,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the number of viral genomes we've determined is about
2 four times ten to the 11, as a minimum, and the
3 probability of an infectious event for ten nanograms
4 of mammalian DNA with a single provirus is about one
5 in three times ten to the eight, one in 300 million.

6 So it's much more difficult for this. OF
7 course, these values all change if there are more than
8 one copy of the oncogenic agent or of the retroviral
9 provirus.

10 So we can draw some conclusions from this.
11 Infectious risk of DNA can be more important than
12 oncogenic risk, and as I said, therefore, it's very
13 important to determine what level of adventitious
14 agents are present.

15 For the IM, intramuscular and subcutaneous
16 routes, ten nanograms of DNA provides an estimated
17 risk for the polyoma virus DNA, one in about 200,000
18 for an oncogenic event and about one in 7,000 for an
19 infectious event.

20 For the more likely events of cellular DNA
21 now, cellular activated ras, there's one in six times
22 ten to the sixth for an oncogenic event, and for
23 proviral DNA for an infectious event, there's one in
24 300 million, three times ten to the eight.

25 For the IN route, the intranasal route,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ten nanograms of DNA as I've shown you has an
2 estimated safety margin of one in ten to the sixth for
3 what I'm quoting an expression event. This is because
4 you can detect the activity of that DNA. However,
5 that is not an unoncogenic event. That's just what we
6 can detect by immunological means. So that's the best
7 one we have.

8 And for an oral route, one micrograms of
9 polyoma DNA administered orally is not infectious.
10 So, therefore, ten nanograms by arithmetic calculation
11 with one copy of polyoma DNA, the safety margin is at
12 least one in ten to the eighth.

13 However, it's not all bad for the risk.
14 There are some mitigating factors for DNA. As you
15 probably all know, the uptake, expression, integration
16 are inefficient processes. Integration requires cell
17 division, and so therefore, not all cells in the body,
18 of course, are dividing. In intramuscular routes, the
19 myocytes, and muscle cells have a very low division
20 rate, and integration is required for maintenance of
21 DNA in the absence of the replicating system.

22 The degradation of DNA vaccine
23 manufacturer procedure usually requires degradation of
24 the DNA, and of course, DNA is degraded in vivo. It
25 doesn't exist for very long in vivo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if the DNA is degraded below the size
2 of a gene, then that increases its safety.

3 There's a host immune response to
4 transfected cells, as Dr. Cook just mentioned. So
5 even if the DNA does get in and express these cells in
6 an immunocompetent individual, it will likely be
7 removed.

8 What also Dr. Cook talked about eloquently
9 is the multi-step nature of carcinogenesis. We now
10 know that it's not just a single event. So even if an
11 activated ras did get into that cell, that cell would
12 not be established as a tumor right there. There are
13 many more steps that have to proceed before
14 carcinogenesis can occur.

15 And, of course, we all know that
16 transmission of human cells in culture is much more
17 difficult than transmission of rodent cells, and Dr.
18 Alex van der Eb discussed some of these issues earlier
19 on.

20 So what are we doing at CBER to try to
21 help to address this? Well, Dr. Hughes mentioned that
22 we have now initiated a study. We have finally got
23 some funding from the FDA, and in a collaborative
24 study with CBER and the National Cancer Institute and
25 the Center for Drugs, we're going to study in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 systematic way to develop numbers that have been
2 alluding everybody for 40 years.

3 These issues come up every five to ten
4 years, and still nothing is being done.

5 So we're trying to develop sensitive
6 animal models to detect oncogenic activity of DNA.
7 The models that have been heretofore used have not
8 been systematically developed and are not very
9 sensitive. So what we're going to try to do is test
10 newborn NIH Swiss and C57 Black 6 mice, the athymic
11 nude mice, and K6/ODC mice, which is a constitutively
12 promotive mouse line, and we're going to use them
13 making the activated ras genes.

14 And our colleagues, and we're very
15 grateful to have John Coffin and Don Blair and Steve
16 Hughes from NCI and Frank Sista (phonetic) from CDER
17 to help and advise us on these studies.

18 The other assay we're trying to do with
19 CDER is to get some quantitative estimate of DNA
20 infectivity, and we want to develop quantitative in
21 vitro assays first, of course, to affect the
22 infectivity of proviral DNA in retroviruses. It is
23 not known what level of proviral -- levels of provirus
24 that is in mammalian DNA are infectious.

25 Howard Tammin (phonetic) has shown that in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 times.

2 If you could go into a system where some
3 of those things are already present, if you would --
4 I don't know -- give us a better readout.

5 DR. PEDEN: Yes, you're right. I didn't
6 mention it, but, in fact, the idea of a first hit and
7 then you give to a vaccinee and then subsequently you
8 get additional hits is a worrying issue.

9 We don't know how stable those initial
10 hits are. If you give it to a cell, where that cell
11 eventually gets lost, you don't retain that so-called
12 activated state in the cell. We don't know that.

13 As for the models, the ODC mouse is a
14 carotin 6 promotor that drives olefin de-Kalb oxylase
15 (phonetic), and that's been used in carcinogenesis
16 activities because it's constitutively what they call
17 promoted. So if you add an initiator such as ras,
18 it's a very sensitive model on the skin, of course,
19 because --

20 DR. GRIFFIN: So it does provide a more
21 sensitive --

22 DR. PEDEN: So it does provide that. We
23 discussed with Steve Hughes and John Coffin and Don
24 Blair about which mice to use. We're thinking about
25 using the heterozygous p53 mouse, and perhaps Steve or

1 the others could comment on that, but we decided in
2 the first run through to do these mice. I think the
3 homozygous p53 knockout was generally felt, I think,
4 by Steve just to have too much background.

5 And so we're trying to balance it. I'm
6 glad you do point out the importance of doing these
7 quantitative studies. I don't want to lessen what's
8 done in the past, but they were never intended for
9 that role, and of course, the infectivity in monkeys
10 is an incredibly expensive thing. So there isn't any
11 information on that.

12 So I don't know whether Steve wants to
13 comment on the particular animal models or Don or
14 John.

15 DR. BLAIR: No, I think we chose, you
16 know, sort of a reasonable spread of different strains
17 of mice which might show up some strain differences.
18 The nudes as an immunosuppressed, perhaps more
19 sensitive mechanism, and as you say, the problem with
20 some of the p53 knockouts and things is as you
21 increase the sensitivity, you increase the background,
22 and you may obscure things. So we went with something
23 where we felt the background wasn't going to be
24 insurmountable and we should see positive.

25 DR. HUGHES: I also would add that I don't

1 think that the studies that have been outlined so far
2 will necessarily be the only things that will ever be
3 done, and I think that based on what we would like to
4 do is to have what we hope is a reasonable preliminary
5 survey and see, in fact, the degree to which we get
6 response with relatively lower amounts of DNA.

7 And depending on the outcome of these
8 experiments, I think we would be better prepared to go
9 back and actually plan and propose a second round in
10 which, depending on what the outcome is, something
11 like a p53 heterozygous animal might be employed.

12 But because they're actually, as you point
13 out and Dr. Peden has pointed out, there's relatively
14 little really quantitative assessment, we thought we'd
15 make what we intend to be the first attempt to do
16 that, and then based on what we learn from that, try
17 and go ahead in a reasonable fashion and maybe expand
18 this to other model systems.

19 ACTING CHAIRMAN DAUM: This is, again, an
20 issue that I think we should encourage everybody to
21 raise in this afternoon's session when we're talking
22 about the big picture issues with respect to this
23 approach.

24 I'd like to focus now on Dr. Peden's
25 presentation though, and Dr. Aguilar-Cordova is next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and then Dr. Faggett and Dr. Moulton.

2 DR. AGUILAR-CORDOVA: Yes. Given the
3 numbers that you presented and there may be various
4 oncogenes in any one cell or activated genes or
5 whichever, in your interpretation would it make a
6 significant difference whether it was a spontaneous
7 tumorigenic cell line versus a designer cell line in
8 which one of the many events might be known?

9 DR. PEDEN: So now are you saying the
10 oncogenes from a spontaneously transformed cell is
11 studied? I mean, these are cloned oncogenes injected.

12 DR. AGUILAR-CORDOVA: This is like the
13 worst case scenario. What I'm saying is based on your
14 statistical analysis that you presented --

15 DR. PEDEN: Well, I wouldn't grace it with
16 statistical analysis, but --

17 (Laughter.)

18 DR. AGUILAR-CORDOVA: Or statistical
19 deductions. I guess the general question would be
20 would, say, in the presentation we heard earlier from
21 Dr. Lewis, do you think that based on that would A549
22 residual DNA pose any significant different risk than
23 293?

24 DR. PEDEN: I think there's not universal
25 agreement, I think, among us about that, but I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the general feeling is yes. The main reason, you
2 don't know what the oncogenes were that brought about
3 the A549 phenotype. So that's one thing.

4 And also the other issue about A549 is you
5 don't know what's in there. Is there an oncogenic
6 agent that brought about that?

7 So I think in general terms there is a big
8 difference between a cell that you know hits passage
9 history and you transform it by another mechanism. I
10 think that's why we are calling these designer cell
11 substrates and we call them at low risk, such as A549.

12 So there's two reasons for that. The both
13 I've just said to you.

14 I think for the study what we want to do
15 is, in fact, determine ultimately the most sensible
16 animal model where we can look at genomic DNA, DNA
17 from A549, from PER.C6, to see where that can induce
18 an oncogenic event. So that's really where we're
19 going at, although I didn't, in fact, say that. It's
20 to see whether you can, in fact, detect an oncogenic
21 event using DNA from such things as A549 cells.

22 That's where we want to go, but we need to
23 develop these animal models, sensitive animal models
24 for that reason.

25 ACTING CHAIRMAN DAUM: Thank you very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much.

2 Dr. Faggett, and then Dr. Moulton, and
3 then we will adjourn for lunch.

4 DR. FAGGETT: Now, what prompted the
5 change from the WHO requirements for residual cell
6 substrate DNA levels from 0.1 nanograms in 1986 to the
7 ten nanogram level in 1999? Was there some --

8 DR. PEDEN: I think as we get more and
9 more information, we do these experiments. See, more
10 information came, more experiments were done. You may
11 think they were deficient, and we may agree with you,
12 but we have more knowledge and more data and also more
13 clinical experience with some of these cells, such as
14 Vero cells.

15 So I think it's a combination of all
16 things, but I wasn't at that meeting. So maybe Phil
17 and Andrew were at the meeting. I don't know what
18 specifically lowered except for these numbers that I
19 just presented to you.

20 But, Phil, do you want to say anything?

21 DR. KRAUSE: Yeah, maybe I could just
22 comment. I think an important thing to remember is
23 that these meetings that have gone on in the past, in
24 general, have been talking about the older kind of
25 cell substrates, and here we're talking about newer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kinds of cell substrates.

2 And so I don't think that the idea of
3 using an A549 cell, for instance, was specifically
4 considered as one of the things that was going into
5 raising that limit from 100 picograms to ten nanograms
6 at that meeting, but instead the idea was to consider
7 the kinds of cells with which there was much more
8 substantial experience in vaccine and other biological
9 production.

10 ACTING CHAIRMAN DAUM: Thank you very
11 much.

12 Dr. Moulton.

13 DR. MOULTON: Yeah. This is a great
14 start, I think, towards the full risk analysis. I
15 think a full risk analysis would have probability
16 distributions associated with every one of the
17 assumptions there. There's a lot of assumptions we're
18 making. For example, the potential mitigating factors
19 are going to reduce the risk. There's other
20 assumptions that could increase it, and a couple of
21 people have already mentioned the problem of the low
22 dose extrapolation, which could affect things by a
23 couple of orders of magnitude.

24 And I was wondering if there's a plan even
25 before we get the result of these future experiments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to try and put some probability distributions on
2 there, every one of those assessments, and also a more
3 general question of how does this risk analysis fit
4 into the general risk management program at FDA.

5 It's one thing to estimate or calculate a
6 risk, estimate that point estimate, and if you're
7 lucky get some kind of tolerance interval around it.
8 It's another to actually manage the risk once it's
9 been estimated.

10 DR. PEDEN: Well, the way we manage the
11 DNA risk is to require that the levels are low. I
12 mean that's the simple way to do that.

13 As for the probability, many people have
14 tried to make estimates, probability estimates at all
15 of these steps, and i can give you lots of references
16 where that was done. I don't find that that's
17 satisfactory nor useful because there isn't -- I mean,
18 the probability of integration event, the probability
19 of out-take of DNA, that's all those events you're
20 talking about, and that's the evidence.

21 The consideration of those is all done
22 from in vitro studies. They've been transfecting DNA
23 in culture and measuring events, and so to me that's
24 not very satisfactory to do that, to extrapolate from
25 what goes on in vitro to what goes on in vivo.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That's why I think we need the in vivo
2 data to try to get real numbers as opposed to these
3 estimates.

4 ACTING CHAIRMAN DAUM: This is a topic
5 that I think must be also returned to this afternoon.
6 So perhaps, Dr. Moulton, you will remind us that we
7 need to address this and bring it up again.

8 I'd like to thank Dr. Peden at this point
9 very much for another fine presentation that helped us
10 think. Dare I say you provided us a great deal of
11 thought for food.

12 We will now break for lunch. It's 12:45
13 here in the Eastern time zone, and we will reconvene
14 at 1:45 exactly.

15 Thank you.

16 (Whereupon, at 12:45 p.m., the meeting was
17 recessed for lunch, to reconvene at 1:45 p.m., the
18 same day.)

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:49 a.m.)

ACTING CHAIRMAN DAUM: Okay. We are in afternoon session.

Welcome back from lunch, and we'll begin with the adventitious agent issue this afternoon. We have three or four presentations on that, and we'll begin with Dr. Philip Krause from FDA who will introduce us to the topic.

DR. KRAUSE: Vaccines are among the most effective public health interventions ever devised. Vaccines, however, are very dependent on public confidence in their safety, and that's really for several reasons, but one of the most important is that vaccines are generally given to healthy people, many of whom may never be exposed to disease which one is trying to prevent, and another reason, of course, is the need in many cases for many vaccines to generate high enough immunization rates to get some level of herd immunity, without which one doesn't get maximal vaccine benefit.

And of course, since many vaccines are given to healthy children, these issues are even more important.

An important component of public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 confidence in vaccine safety, of course, is their
2 freedom from adventitious agents. So what is an
3 adventitious agent?

4 Well, for the purposes of this discussion
5 at least we're defining an adventitious agent as an
6 infectious agent that is extraneous to the product,
7 and so a vaccine strain obviously is not an
8 adventitious agent, but something that's carried in
9 from the outside or carried in with the cell
10 substrate, is potentially an adventitious agent, and
11 an obvious goal then is to insure that final products
12 don't contain adventitious agents.

13 Now, there are two additional points I
14 want to make, and just because I say final products
15 here doesn't mean that intermediate products should
16 contain adventitious agents. In fact, all through the
17 production process it's important to keep adventitious
18 agents out of vaccine manufacture, and the other thing
19 which we've spent some time talking about already is
20 trying to define in some quantitative way the
21 terminology of "should not contain."

22 So any negative result obviously needs
23 some quantitation associated with the sensitivity of
24 the testing, which allows one to exclude that
25 something is there.

1 Now, in general the OVRP approach to
2 adventitious agent issues has included identifying
3 potential issues, including theoretical ones,
4 discussing these issues in public, and Dr. Lewis
5 described the two previous Advisory Committee meetings
6 at which this came up, as well as the public
7 international meeting on cell substrates, and of
8 course, this meeting is another component of that,
9 making decisions based on the best available science
10 and, in addition to that, insuring that any potential
11 issues are known and understood by research subjects
12 and investigators.

13 So today we're going to be talking about
14 two potential adventitious agents or types of
15 potential adventitious agents. One is transmissible
16 spongiform encephalopathy agents, and the other is
17 viruses.

18 Directly following this brief
19 introduction, Dr. Sue Priola will talk in some more
20 detail about TSE agents, and then after that, I will
21 provide some general principles of adventitious agent
22 testing, as well as a more detailed discussion of
23 virus as potential adventitious agents.

24 So to introduce Dr. Priola's talk, I'm
25 just going to go over a few things related to our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consideration of transmissible spongiform
2 encephalopathy agents and cell substrates. And the
3 kinds of issues that can come up that might increase
4 the risk that a cell substrate could harbor such an
5 agent.

6 And I guess one possibility is that
7 because some transmissible spongiform encephalopathy
8 diseases, such as Creutzfeldt-Jakob disease and
9 Gerstmann-Straussler syndrome are at least in part
10 genetically determined. The question arises of
11 whether a cell substrate which contains mutations that
12 are associated with these types of diseases might then
13 harbor a greater risk of either having TSE agents in
14 it or, if exposed to TSE agents causing infection or
15 being infected with them and thereby transmitting them
16 to a vaccine recipient.

17 The second question, which is a general
18 one which comes up and which this committee has
19 addressed before to some degree at least, is the
20 consequence of potential exposure to serum from
21 countries where bovine spongiform encephalopathy or
22 the risk of BSE exists.

23 And this is an issue which has come up in
24 the context, in particular, of cells which have been
25 in laboratories in the early to mid-1980s at a time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 before this risk was as widely appreciated as it is
2 now.

3 And then, of course, there are other
4 factors that could theoretically increase the risk of
5 TSE infection of cell substrates. One of these is PrP
6 expression levels. It's been shown at least in some
7 systems that cells that express higher levels of the
8 PRNP gene that encodes PrP, that the risk of those
9 cells being able to propagate TSE agents is higher,
10 and then also there's the question of whether cells of
11 neuronal or retinal origin might also have greater
12 risk of at least propagating TSE agents if exposed or
13 of containing them in the first place.

14 Now, there are a couple of neoplastic cell
15 specific notions that are also TSE related. One of
16 them is that because neoplastic cells often have some
17 associated genomic instability, whether, in fact, that
18 genomic instability might itself induce mutations in
19 the PRNP gene, which might then have some consequence
20 on the ability of those cells to harbor TSE agents.

21 And then also, it appears that at least in
22 some cells the ability of a cell to undergo apoptosis
23 helps to prevent infection with TSE agents in them,
24 and because neoplastic cells have in most cases at
25 least some apoptosis pathways abrogated, the question

1 then is whether a neoplastic cell might a priori be a
2 little bit more susceptible to infection with TSE
3 agents.

4 So at this time, we're following the
5 approach to TSE issues that's outlined on this slide,
6 and that is where possible to determine the family and
7 medical history of the cell donor with respect to TSE
8 risk factors; to ask that the PRNP gene be sequenced;
9 to ask that Western blots be performed to look for the
10 presence of protease resistant PRP; and to insist
11 that they be negative, of course; and to determine if
12 exposure to fetal bovine serum from countries with BSE
13 or with the risk of BSE could have occurred, and then
14 if some possible exposure to questionable fetal bovine
15 serum has occurred, to perform a risk assessment based
16 on the dilution factor from the time of that exposure,
17 based on the assumption then that the cells cannot
18 support replication of a BSE agent.

19 There are several evolving ideas which we
20 don't think can be applied right now, but which may be
21 applicable in the future. One of them is if possible
22 exposure to fetal bovine serum of unknown origin has
23 occurred, to document that the cells cannot support
24 replication of the bovine spongiform encephalopathy
25 agent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And this time I don't believe that there
2 are any cells in tissue culture that have been
3 demonstrated to replicate the BSE agent.

4 To evaluate the level of PrP expression,
5 which although it is generally accepted, I think that
6 cells that express higher levels of PrP might have a
7 greater risk of propagating TSE agents if exposed.
8 The question then also comes down to defining what
9 exactly would constitute a safe level and
10 understanding in a way that can be applied
11 quantitatively what kind of controls would be
12 necessary to use such an assay in a regulatory
13 setting.

14 To evaluate for the presence of TSE agents
15 by animal inoculation, and as many of you know, the
16 monkey, the primate models take a very long time to
17 carry out, but there are promising transgenic mouse
18 models which could potentially be used after they are
19 appropriately validated to get more rapid answers to
20 these kinds of questions in an animal model, and of
21 course, the general principle that once new assays for
22 detection of TSE agents become available, to introduce
23 them for cell substrate testing as soon as is
24 feasible.

25 So with that, I would like to stop here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'm happy to take any questions, but if there aren't
2 any, then we'll go on to Dr. Priola's talk on TSE
3 agents as an issue in the use of neoplastic cell
4 substrates, and I think she'll concentrate, in
5 particular, on issues associated with TSE agents in
6 cell culture.

7 ACTING CHAIRMAN DAUM: I think we'll go
8 right on if that's okay because we'll have a change to
9 ask questions.

10 DR. KRAUSE: You'll get another crack at
11 me.

12 ACTING CHAIRMAN DAUM: That's right.
13 Thank you.

14 Dr. Priola here? There she is.

15 DR. PRIOLA: I would just like to thank --
16 can everybody hear me? -- to thank Dr. Krause for
17 giving such a terrific introduction. He left me with
18 a few things to talk about, but he hit really on every
19 major point that is going to be an issue in what I go
20 over.

21 What I want to do is basically give a very
22 brief introduction, basic introduction to TSE
23 diseases, and then describe using experimental
24 examples what we know about the difficulties
25 associated with passaging TSE infectivity into tissue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 culture.

2 So do you have the presentation up? There
3 you go.

4 I think as we all know that TSE diseases
5 are long term transmissible, degenerative diseases.

6 Have you frozen up there? It's coming on.
7 It's the good at explanation point. Oh, no, there it
8 goes.

9 (Laughter.)

10 DR. PRIOLA: I want to wait until this
11 comes up. Okay. There we go. Thank you.

12 All right. So the TSEs are slow, fadable,
13 and transmissible brain diseases that affect a variety
14 of mammals, including, of course, humans.
15 Creutzfeldt-Jakob disease in humans is the prototypic,
16 one of the prototypic TSEs, scrapie in sheep, and of
17 course, BSE in cattle.

18 One of the things about the TSE diseases
19 is there's this phenomenon called the species barrier
20 to infection, and it's this that has caused all of the
21 concern when it was realized that SE from cattle
22 apparently has crossed over and caused a new form of
23 CJD in people in the U.K.

24 And usually the species barrier is quite
25 strong in these diseases, and so it was unexpected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that cattle BSE would necessarily pass into people.

2 And, of course, it's this concern that now
3 that we have BSE in cattle populations that
4 contamination of bovine products used in tissue
5 culture could then passage that TSE infectivity into
6 tissue cultures using those products.

7 Experimentally, the primary systems are
8 TSE infections of mice and hamsters, and most of what
9 I'm going to talk about today deals with that.

10 It's important to remember that within the
11 TSE there are different strains of TSE agents. So
12 there has to be some caution in interpreting
13 experiments using one strain of agent and one species
14 of animal and extrapolating those data to other
15 species and other strains. I'd like you to keep that
16 in mind.

17 Infection, of course, is believed to be
18 primarily from ingestion or inoculation. It's an
19 extremely long disease course. It can take from
20 months to decades to appear. It is always fatal.
21 There are no preclinical diagnostic tests available.
22 There's no effective post or preclinical treatments
23 available.

24 If that weren't bad enough, it turns out
25 that we're still not entirely clear what the exact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 composition of the infectious agent is, but the fact
2 that it's unusually hard to kill and that there's no
3 viral or bacterial association with TSE infectivity --
4 good Lord. Can I go back? Good luck. Okay -- led to
5 the hypothesis that, in fact, it was an infectious
6 self-replicating protein that was responsible for
7 these diseases.

8 In the early '80s, a protein was found
9 that was very closely associated with TSE infectivity
10 in Stan Prusiner's lab, and that protein was called
11 prion protein, or PrP, and I think as most of us are
12 aware here, PrP is, in fact, a normal host cellular
13 protein. It's something that's expressed in all
14 mammals, and it's expressed almost ubiquitously. It's
15 very difficult to find a tissue or cell that does not
16 express PRP.

17 In its normal form it's sensitive to
18 digestion with cellular proteases, and for that reason
19 I'm going to call it PrP-sen for protease sensitive.
20 As I said, it's almost ubiquitously expressed, and
21 it's soluble.

22 During TSE disease, the normal form of
23 PrP, which is shown here -- it's a cell surface
24 glycoprotein -- is converted to an abnormal form that
25 is now partially resistant to proteinase K, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 partial proteinase resistance comes about because when
2 you expose this molecule to proteases, what happens is
3 instead of digesting everything away as it would if it
4 were just PrP-sen, it basically can only clip away
5 part of the end terminus, and you see this very
6 characteristic side shift down like this, and that is
7 what we define as PrP-res or protease resistant PrP.

8 This form is now insoluble. It's heavily
9 aggregated, and it is completely TSE specific. It's
10 the marker that everybody looks for. If you find PrP-
11 res, by definition you have a TSE disease.

12 It's found primarily at high levels in the
13 CNS in some animal models it's found in the
14 lymphoreticular system as well.

15 It has a different conformational
16 structure than normal PrP. The normal PrP is
17 primarily optihelical. When it gets converted to the
18 abnormal form, it becomes beta sheeted structure, and
19 it's believed that it's this difference, it's this
20 change in confirmation that accounts for the different
21 properties of these molecules.

22 Now, while there's still controversy
23 within the field about the role of whether or not PrP-
24 res is the infectious agent, the role of PrP in TSE
25 diseases is absolutely undeniable. You need normal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 PrP for infection. Mice that don't express this gene
2 do not get sick following exposure to TSE agents.

3 Mutations in this molecule can strongly
4 influence disease susceptibility and species barriers
5 to infection. PrP-res, the abnormal form is
6 associated with toxic events in the brain and is, of
7 course, always associated with infectivity.

8 So in terms of human TSE diseases and the
9 dangers involved, not dangers, the possibility
10 involved with deriving neoplastic tissue culture from
11 human cells, there are three groups of human TSE
12 diseases.

13 There is the sporadic form known as
14 Creutzfeldt-Jakob disease or CJD. There's no known
15 exposure to TSE infectivity. This form of the disease
16 is not associated with mutations in PrP. It accounts
17 for the vast majority of TSE cases. It's extremely
18 rare. It happens annually worldwide at an incidence
19 of about one case per million people.

20 There's also familial forms of the human
21 TSEs. These include familial CJD, Gerstmann-
22 Straussler-Scheinker syndrome. These forms are also
23 not associated with any known exposure to infectivity.
24 They are, however, associated with mutations in the
25 PrP molecule. They are even more rare than this radic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (phonetic) disease with about one to ten cases per 100
2 million people. There are very few families in the
3 world that have been identified that carry these
4 mutations.

5 Finally, I have lumped together here under
6 the term "infectious TSE" infections which are a
7 result of exposure, a known exposure or presumed
8 exposure to infectivity, and these include iatrogenic
9 CJD cases that arise following exposure to
10 contaminated medical instruments, and of course,
11 variant CJD in Great Britain.

12 Is there a problem? You can't see the
13 slides? I'm not speaking clearly enough for you to
14 see the slides? Sorry. I'm glad you told me.

15 All right. So where in the heck was I?
16 Okay. So in terms of it being an issue in cell
17 culture, the problems are can you infect tissue
18 culture cells easily with TSE agents.

19 You're going to have to wait a minute.
20 I've got to reorganize myself.

21 And as alluded to by Phil, there are three
22 basic issues. First of all, development of new cell
23 lines from CNS tissue of patients who are potentially
24 contaminated or who are potentially infected with
25 Creutzfeldt Jakob disease or a derivation of cell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lines from an individual carrying familial PrP
2 mutations, and I'll address both of these issues.

3 There's also what has become a concern
4 particularly in the last couple of years whether or
5 not tissue culture cells exposed to bovine derived
6 products could become TSE infected, and I'll address
7 this and the susceptibility factors that might be
8 involved.

9 And finally, given these two
10 possibilities, what is our ability to detect TSE
11 infectivity in these cells?

12 All right. So the first thing I want to
13 address is is it, in fact, possible to derive
14 persistently infected TSE infected cultures from
15 immortalized cell lines derived from human cells, and
16 these experiments were all done many years ago, 20 to
17 30, almost 40 years ago now.

18 And in these experiments essentially
19 tissue was taken from the brain or spinal cord of CJD
20 or Kuru patients who had died of CJD or -- this thing
21 is extremely sensitive. I've got it. It's okay --
22 had died from CJD or Kuru, were maintained in tissue
23 culture for over 300 or up to 300 days, and then the
24 presence of infectivity assayed for by bioassay in
25 primates, and they could always detect infectivity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Similar experiments were done in mouse and
2 sheep models of scrapie. The point I want to make
3 here is that even though you could maintain
4 infectivity if you just kept the cells alive, the
5 minute you start passing them, you lose infectivity.

6 Okay. So after one or two passes in the
7 human system, you lose infectivity, and you can't
8 recover it upon further passage. You can, however,
9 derive immortalized cell lines infected with TSE
10 agents and other systems because this approach did
11 work in both the mouse and sheep system.

12 So even though it didn't work for human,
13 theoretically it's been shown to work in at least two
14 other system. So the possibility exists.

15 What about familial TSE diseases? There
16 are a wide variety, up to 20 mutations in the PrP gene
17 that have been associated with familial TSE disease,
18 and they're spread throughout the molecule, and we
19 don't know exactly how they lead to disease, but the
20 idea based on the protein only theory of the TSEs is
21 that these mutations lead to altered biochemical
22 properties of the normal PrP molecule. It then
23 spontaneously converts over to the abnormal form,
24 accumulates, and causes disease.

25 So what is the evidence that this actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 happens? Because, of course, this is the concern if
2 you should immortalized either cell line derived from
3 an individual carrying a familial mutation. Does this
4 actually happen?

5 And in my laboratory over the last few
6 years, we've been working with PrP mutants that
7 involve insertion of extra copies of an octapeptide
8 repeated motif, and when you look at these mutations,
9 so what we've done is basically taken a PrP molecule
10 and just added more and more numbers of these repeats
11 and asked about the properties.

12 I hope you can see this. What you find is
13 that if you look at two of the cardinal properties of
14 PrP-res, the abnormal form, protease K resistance and
15 aggregation, you can see the red line here. As you
16 increase the number of repeats, you do, indeed,
17 increase the relative protease resistance of PrP.

18 To put it into perspective, the green line
19 represents the abnormal form PrP-res. At the level of
20 PK where all of the protein is destroyed, PrP-res
21 hasn't even been touched. So this molecule is not
22 PrP-res by this definition.

23 Similarly, when you look at the amount of
24 it aggregated, yes, as you increase, as you have these
25 mutations, the molecule begins to aggregate more. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 still does not do so to the same extent as PrP-res.

2 So while the biochemical properties of
3 these molecules change and they begin to acquire some
4 properties reminiscent of res, they are not PrP-res,
5 and believe me, we've tried. We cannot get them to
6 spontaneously form PrP-res.

7 All right. So this is all in tissue
8 culture. What about in vivo? If you take these cells
9 and stick them into animals, you also don't get TSE
10 disease. Okay? So just sticking in the cells.

11 If you make transgenic mice over
12 expressing these mutations, so try to mimic what
13 happens in people, what do you get?

14 And what I've done here is really very
15 briefly summarized experiments done by several labs
16 where they basically inserted human associated
17 familial TSE mutations in to the PrP gene, overexpress
18 them in mice, and then assayed them for disease, and
19 a couple of points I want to make here.

20 Number one, you need overexpression to get
21 any sort of neurological disease. You don't need the
22 mutation necessarily because without the mutation and
23 over expression, you still get neurological disease.
24 Okay. So overexpression itself is sufficient to cause
25 symptoms in the animal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 For these top two models when they looked
2 at these mice, they did show signs of neurological
3 dysfunction, no TSE disease, and they certainly didn't
4 passage infectivity. There's this one GSS associated
5 mutation where this was overexpressed in animals, had
6 the neurological disease, and there may have been some
7 transmission into limited -- in a limited way into
8 other mice.

9 So this is the one instance where there
10 may be some evidence that this mutation could induce
11 disease. When you look, however, at this same
12 mutation in a context where it is not overexpressed,
13 which is much more analogous to the human system, all
14 right, so this is an instance where mouse was made
15 where the mutation was put into the PrP gene and that
16 gene put right back into the correct spot in the
17 chromosome at the correct copy number. You don't get
18 neurological disease. You don't get TSE disease, and
19 you don't get infectivity, and the laboratory that did
20 this the last I heard had looked at 800 and some mice.
21 So they're looking very carefully.

22 So there's no really strong, convincing
23 evidence that mutations, familial TSE mutations will
24 generate spontaneous TSE disease in tissue culture or
25 in transgenic mouse models.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, what about infection of tissue
2 culture cells, particularly in regard to exposure to
3 bovine derived tissue culture products?

4 What are the susceptibility factors? How
5 much infectivity do you need? How is easy is it to
6 get an acute versus persistent infection?

7 And what I've tried to do on this next
8 slide is summarize all of those points in a little
9 model, and what I've shown here is each of these black
10 boxes represents what I think is a major block to
11 persistent TSE infection of tissue culture cells.

12 First of all, you need extremely high --
13 you need high multiplicity of infection. So routinely
14 what we used to do is brain material, high titered
15 infectious brain material. This automatically calls
16 into question whether or not the very low amounts of
17 TSE infectivity that have been hypothesized to be
18 carried around in blood would be sufficient to
19 overcome this barrier where you need a whole lot of
20 agent to get a little bit in.

21 The second thing, even if some of this
22 agent gets in that has to be considered is, first of
23 all, does the cell express PrP-sen theoretically any
24 cell that expresses normal PrP is susceptible. So if
25 it expresses PrP-sen, it's got the right sequence. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 say this is bovine, BSE, PrP-res, and this is human
2 PrP-sen. Right away you've got a problem. The
3 sequences of these genes do not match, and that will
4 be an issue in terms of the abnormal form reacting
5 with the normal form and causing propagation of the
6 abnormal form.

7 Okay. So let's say all of this is okay.
8 This matches that. There's enough PrP there. The
9 sequence is the same. It's folded appropriately.
10 This process occurs. You've passed the second
11 barrier.

12 Then comes the third one, and this is a
13 big one, and that is that as you start to passage
14 these cells and dilute out whatever cells might have
15 become infected, you lose infectivity.

16 Even if you get an infected monolayer, in
17 many cases there are as few as one percent of these
18 cells infected. All right? And the consequence of
19 that is that if you look at an infected cell layer
20 like this for PrP-res, by current techniques you're
21 probably not going to find it. Okay?

22 So while the presence of PrP-res is a very
23 good indicator that the cell is infected, the absence
24 does not mean it is uninfected, and that's what I want
25 to show here. This is an experiment I did years ago

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where we tried to infect cell lines that express
2 relatively low levels of PrP-sen. We assayed at
3 various times after exposure to an infectious brain
4 homogenate.

5 We looked both for PrP-res, which we could
6 not find, and we looked for infectivity in mouse
7 bioassay, and we easily found infectivity, and at
8 every pass and at equivalent levels.

9 Okay. When we did this experiment in
10 cells that had been maintained in tissue culture a bit
11 longer, so that these were relatively new cells; these
12 had been maintained a couple of months longer; all of
13 a sudden we couldn't infect them, and this gets back
14 to the point that Dr. Hughes made earlier this morning
15 that cells change over time in culture, and in this
16 instance, it changed in a good way for you guys and a
17 bad way for me because, you know, I want infected
18 cells.

19 The other point I want to make, and Dr.
20 Krause referred to this a couple of times in his talk,
21 is this issue that if you overexpress PrP-sen, and
22 this again is probably not something that should be a
23 concern with the cell lines that are being used here,
24 if you artificially overexpress PrP-sen, now you get
25 a tissue culture line that is more susceptible to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infection, and the idea is with more PrP-sen, you can
2 accumulate more PrP-res, which you can then detect
3 more easily, and you can infect more easily.

4 And our experience has been this is simply
5 not true. It's completely unpredictable, and this is
6 a piece of data that hasn't been published yet that
7 just shows in neuronal and non-neuronal cells exposed
8 to four different strains in this instance of mouse
9 scrapie. So eight combinations. In only one case is
10 there very clear evidence of formation of the abnormal
11 form, and that's this one right here. All the others
12 are negative.

13 And I could not have predicted. I mean I
14 could have thrown a dart at this thing and predicted
15 it just as easily as the result I got. So even
16 overexpression, while it could be considered a
17 susceptibility factor, you can't use it to predict
18 susceptibility.

19 And just to summarize, all the different
20 cell types that have been looked for susceptibility to
21 TSE infection, there have been both neuronal and non-
22 neuronal cells described that are susceptible to mouse
23 and this bottom one here, the kidney epithelial, to
24 sheep scrapie if they expressed the sheep PrP gene.
25 All of these cells, including a couple of cell lines

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that were referred to earlier this morning by Dr.
2 Lewis, they're using vaccine production. These guys
3 have not been shown to maintain human TSE infectivity.

4 So there are a lot of nonsusceptible cell
5 lines, and you can see a lot of these are human
6 derived.

7 Okay. So finally, to briefly mention what
8 our ability to detect TSE infectivity in the absence
9 of detectable PrP, in the absence of bioassay, there
10 are now three approved and marketed tests for checking
11 for PrP-res in infected animals, and these are being
12 used extensively in Europe at slaughterhouse levels to
13 look at cattle, potentially BSE infected cattle.

14 These tests are all maximized for high
15 titer tissue, such as brain or spinal cord, and
16 they're only sensitive to PrP-res levels that you
17 detect shortly before or at clinical signs. So this
18 is where you have a lot of infectivity present in the
19 animal.

20 This is not like tissue culture cells
21 where you have very little infectivity present. Okay?

22 There is another test that's coming
23 through in the approval process. This is the Adelphia
24 test, which may be a bit more sensitive than these
25 three. There are a couple of future tests that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not really been -- in particular, the capillary
2 immunoelectrophoresis have not been rigorously tested,
3 but have been proposed to be able to detect extremely
4 low levels of PrP-res in tissues such as blood.

5 So the difficulties with all of these
6 tests, the postmortem test, they're based only on res
7 detection. They're maximized to high titer tissue,
8 and we haven't actually tested them against our tissue
9 culture cells.

10 Their sensitivity, again, is not terribly
11 -- not as good essentially as bioassay in either the
12 same species or in appropriate transgenic mice. So
13 bioassay remains the gold standard test for detection
14 of TSE infection, and as Dr. Krause referred to, the
15 time required for this assay often makes it
16 impractical, but it's very important.

17 So given the data that we currently have
18 in tissue culture, it's, you know, unlikely that human
19 neoplastic cell substrates will be TSE infected or
20 that exposure to potentially BSE contaminated bovine
21 tissue culture products could lead to persistent TSE
22 infection, but of course, as we're all well aware, you
23 cannot guarantee zero risk.

24 And in the absence of that, what can you
25 do to at least assess it as carefully as possible, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presence of TSE infectivity in these cultures? And
2 this is the answer I came up with, and after finishing
3 it, I realized it's basically what we do in the lab to
4 assess whether our tissue cultures contain infection.

5 There are now commercially available tests
6 that can be used to do this, and as, again, Dr. Krause
7 mentioned, you assay for PrP-sen, its expression
8 level, determine its sequence. If it's a familial
9 mutation, throw the cell line out.

10 Assay for PrP-res, and this would best be
11 done in multiple cell sub-clones from the passage, as
12 well as periodically at different cell culture passes
13 because of the potential instability of the cell.

14 Bioassay for infectivity if all of this is
15 negative. If any of these are positive, you know,
16 again, throw out the cell line. If these are all
17 negative or if this is negative, bioassay for TSE
18 infectivity would have to be done, I should think.

19 And in terms of looking for human TSE
20 infectivity or BSE, perhaps the best bet is to use
21 human PrP or bovine PrP expressing transgenic mice.
22 Keep those animals for up to two years, watch for
23 clinical signs, and at the end of the day, look in the
24 brains for pathology and/or PrP-res, and to do this,
25 you know, relatively routinely if you're worried.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I'll stop there pretty much on time and
2 take any questions.

3 ACTING CHAIRMAN DAUM: Thank you very
4 much, Dr. Priola.

5 And we'll have this presentation open for
6 discussion. We'll begin with Dr. Decker.

7 DR. DECKER: Just a simple clarifying
8 question to see if I've absorbed your implicit
9 definitions correctly. When you and Dr. Krause used
10 the term "infected," you're referring to a cell line
11 that actively is producing the abnormal prion protein,
12 and if we're just talking about pouring in some
13 preformed abnormal prion protein, you're going to use
14 the word "contaminated" or something like that?

15 DR. PRIOLA: Yeah, that's correct. When
16 I use "infected," I mean persistently infected.

17 DR. DECKER: So we've got a cell there
18 that's actually --

19 DR. PRIOLA: Producing infectivity.

20 DR. DECKER: -- producing.

21 DR. PRIOLA: Yeah. It's making PrP-res,
22 it's accumulating PrP-res, and it's making new
23 infectivity.

24 DR. DECKER: Rather than simply pouring
25 contaminated --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PRIOLA: Exactly.

2 DR. DECKER: -- bovine serum in there which
3 eventually gets diluted out and doesn't reproduce.

4 DR. PRIOLA: Right, which is what's
5 suggested by those immortalization experiments, yeah.

6 ACTING CHAIRMAN DAUM: Thank you.

7 Dr. Coffin, please.

8 DR. COFFIN: It's technically feasible,
9 although probably not very pleasant, to take cell
10 lines that one imagines one might use for vaccine
11 production purposes and actually knock out both copies
12 of PrP. From what I understand, such cells would be
13 expected to be perfectly viable and you wouldn't
14 notice the difference. The whole animals are
15 perfectly viable without --

16 DR. PRIOLA: Yes.

17 DR. COFFIN: -- PrP. Do you think that
18 would be an advisable thing to do?

19 DR. PRIOLA: Well, if you took away the
20 PrP, then I think you'd have a cell line that could be
21 very strongly argued would be completely resistant to
22 TSE infection, and I have never made knockout cells
23 myself, except from knockout mice, for example, PrP
24 knockout mice, but if it could be done, and it was not
25 technically too difficult, then, yeah, I think it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be -- it would take away an element. You know,
2 what little risk there is, it would take that away
3 because PrP knockout mice are resistant completely to
4 infection.

5 ACTING CHAIRMAN DAUM: There was one more
6 hand here. Was it Dr. Myers?

7 DR. MYERS: When you're growing, in
8 effect, cell in culture and it loses the infectivity,
9 talking to people about this, the implication for me
10 is that if you're growing the cell fast enough, the
11 cell outgrows the PrP-res. Is that not an appropriate
12 way of looking at it?

13 DR. PRIOLA: Well --

14 DR. MYERS: Because an awful lot of your
15 infected cell lines are very slow growing things, and
16 you mentioned this business about the TSE from the
17 infected brains and so on. Is that a misconception?

18 DR. PRIOLA: You know, I don't think it's
19 a hard and fast rule. The neural 2A cells, which are
20 mouse neural blastoma cells, we have troubles with
21 losing infectivity in these cells if we don't use very
22 specific types of medium.

23 There's another persistently infected cell
24 line called an SMB cell that is perfectly stable. It
25 is slow growing. These fibroblast cells I showed you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where it appears we got infectivity in there based on
2 the res signal, we have to split those if we're not
3 careful every two to three days. They are not slow
4 growing, and they are very reproducibly infectable
5 based on PrP-res with this one strain. So I don't
6 think it's a hard and fast rule.

7 ACTING CHAIRMAN DAUM: Thank you.

8 Dr. Katz.

9 DR. KATZ: I have a naive mechanical
10 question. When you talk about passages and failure or
11 success at pass, you're talking about passing
12 suspensions of cells, not just tissue culture fluid;
13 is that correct?

14 DR. PRIOLA: That's correct. These are
15 passage of TAP cells, yeah.

16 DR. KATZ: Thank you.

17 ACTING CHAIRMAN DAUM: Dr. Aguilar-Cordova
18 and then Dr. Stephens.

19 DR. AGUILAR-CORDOVA: I was wondering.
20 When you talk about some people being contaminated
21 with hospital instruments, would that be expected to
22 be a large inoculum, and then from your experiments in
23 vitro, it seems like you really need a huge inoculum
24 to get anything going. So could that be a big
25 differential between in vivo and in vitro?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. PRIOLA: Yeah. I mean, in vitro you
2 definitely need much more infectivity to get a
3 productive infection of tissue culture cells. As to
4 how much infectivity is actually on a contaminated
5 surgical instrument that passes this, I don't know,
6 but I do know that when it happens, the people who
7 have -- what essentially happens is an operation is
8 done on a subclinical CJD patient, and those
9 instruments are used in other patients before it's
10 realized, and those other patients do get CJD.

11 So it's pretty effective, and with
12 presumably, you know -- well, I don't know the dose.
13 I don't know the dose, but it may be very low.

14 ACTING CHAIRMAN DAUM: Dr. Stephens, and
15 then Dr. Coffin.

16 DR. STEPHENS: Just a follow-up on the PrP
17 knockout question. What does PrP do in normal cells?

18 DR. PRIOLA: That's a really good
19 question. It's not precisely known, but there was a
20 recent really nice paper in science that suggested
21 that if you cross-link it in differentiated neurons,
22 it activates the Finnkinees (phonetic) pathway.

23 DR. STEPHENS: And as a follow-up, was
24 there any evidence, is there any evidence of an
25 inoculum effect in new variant CJD in terms of BSE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure?

2 DR. PRIOLA: You mean inoculum effect, how
3 much you need?

4 DR. STEPHENS: Yeah, how much? I mean, is
5 there a relationship in any of the epidemiological
6 studies to beef exposure?

7 DR. PRIOLA: Yeah. Not being an
8 epidemiologist, I don't know the precise answer, but
9 I do know that the current thinking right now is it's
10 inefficient because -- it's inefficient. You're
11 crossing a species barrier. You're going orally, both
12 of which are bad things. So you presumably need more,
13 a pretty good bolus.

14 DR. STEPHENS: I appreciate that, but is
15 there data that supports that?

16 DR. PRIOLA: Not that I'm clear on.

17 ACTING CHAIRMAN DAUM: Michael Decker
18 might have data about that, an answer for that.

19 DR. DECKER: I don't know if there's the
20 type of hard data we'd like, but an illustrative
21 anecdote is the town in England where the butcher shop
22 that served the local community was using centuries
23 old techniques that heavily contaminated the resulting
24 meat with neural tissue, and there was a very high
25 attack rate, a cluster of human variant CJD in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 town.

2 So they found both an unusual
3 preponderance of disease and an unusual practice that
4 would give them a high load.

5 DR. PRIOLA: Yeah, I'm not sure what
6 the -- I know there was a cluster of five patients,
7 but I'm not clear what the total number of people were
8 that were exposed, and so what the exact attack rate
9 was. Do you?

10 DR. DECKER: No, but five out of the 90 in
11 England is a pretty high proportion.

12 DR. PRIOLA: Oh, for sure. Oh, yeah.

13 DR. DECKER: The proportion of that town's
14 population to the total English population.

15 DR. PRIOLA: Definitely, yeah, yeah.

16 ACTING CHAIRMAN DAUM: Dr. Coffin and then
17 I'd like to move on.

18 DR. COFFIN: So what do we know about
19 infectivity in serum of affected animals and their
20 offspring?

21 DR. PRIOLA: In terms of cattle?

22 DR. COFFIN: Yeah.

23 DR. PRIOLA: In cattle?

24 DR. COFFIN: In cattle, yeah.

25 DR. PRIOLA: There are only two reports

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

1 that I know of that have suggested there might be very
2 low levels of infectivity in blood of cattle, one of
3 which is in an experiment where they experimentally
4 inoculated cattle and assayed tissue over the entire
5 course of disease. There was a point at which they
6 detected infectivity in bone marrow cells from a pool
7 of three samples.

8 And there was a recent report last year
9 where it's a bit more indirect, where sheep infected
10 with BSE -- a bunch of sheep were infected with BSE,
11 and at various time points blood was transfused from
12 those sheep into naive sheep, and one of those sheep
13 got sick, the implication being that infectivity got
14 out into the blood.

15 DR. COFFIN: But have there been serious
16 attempts made to look into --

17 DR. PRIOLA: There have.

18 DR. COFFIN: -- serum products and other
19 blood products?

20 DR. PRIOLA: Commercial serum products?
21 Not that I --

22 DR. COFFIN: Or in serum that's obtained,
23 you know, large amounts of serum from large numbers of
24 significant --

25 DR. PRIOLA: Yeah, and in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hamsters, yes, there was. Bob Rohwer did a really
2 heroic effort to do that, and pure concentrated
3 hundreds of mLs of hamster blood and was able to
4 detect using lots of animals that there's less than 1
5 LD-50 out of all that material.

6 DR. COFFIN: So it seems --

7 DR. PRIOLA: It's extremely low.

8 DR. COFFIN: -- at the moment, based on
9 our current thinking, it seems improbable --

10 DR. PRIOLA: Extremely.

11 DR. COFFIN: -- that there were these
12 significant risks from serum, to begin with.

13 DR. PRIOLA: Based on our current
14 knowledge.

15 DR. COFFIN: Based on our current
16 knowledge.

17 DR. PRIOLA: Absolutely.

18 ACTING CHAIRMAN DAUM: A burning final
19 comment.

20 DR. MINOR: I mean, while the bone marrow
21 result is out there, okay, I think it was regarded as
22 slightly questionable, and I think also that the
23 efforts that people have made to find infectivity in
24 cow blood as opposed to bone marrow have uniformly
25 been negative, and that's even going cow to cow, as I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 understand it.

2 DR. PRIOLA: Yeah.

3 DR. MINOR: And I think there's also an
4 issue of the species that you're looking at will give
5 you a different level of infectivity in the blood
6 perhaps.

7 DR. PRIOLA: Sure, absolutely.

8 ACTING CHAIRMAN DAUM: Thank you for that
9 clarification.

10 I'd like to move on to Dr. Krause, who
11 promised we would have our opportunity to have at him
12 again, and here it is. Dr. Krause will talk about
13 adventitious agent testing of neoplastic cell
14 substrates.

15 DR. KRAUSE: I'm just going to dive right
16 in and view this as a continuation of where I left
17 off. This slide shows a number of different episodes
18 throughout the history of the world and the U.S. of
19 contamination of biological products with adventitious
20 agents, and I don't want to go into this in very much
21 detail, but I do want to focus on the contamination of
22 polio and adenovirus vaccines in the late 1950s and
23 early 1960s with SV40 as an example of something we
24 really want to avoid repeating.

25 And as many of you know, millions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people received SV40 contaminated polio and
2 adventitious vaccines into the late 1950s and early
3 1960s, and these vaccines were produced in primary
4 Rhesus monkey kidney cells.

5 SV40 was identified as a virus; it was
6 discovered after this, and the basis for discovering
7 SV40 was the fact that supernatants of primary Rhesus
8 monkey kidney cells cause tumors in laboratory animals
9 and also were shown to cause cytopathic effect in
10 primary Circa Pithacus (phonetic) monkey kidney cells,
11 or different species of monkey, which then led to the
12 discovery by Dr. Hillaman (phonetic) at Merck of SV40.

13 The vaccine seeds were treated with anti-
14 SV40 neutralizing antibodies in the early 1960s to rid
15 them of SV40. Epidemiological studies suggest luckily
16 that there was no adverse sequelae to the vaccinated
17 children, and however, recently SV40 DNA has been
18 detected in some human malignancies by PCR, and this
19 highlights a significant problem that one has with
20 adventitious agents, which is that sometimes the
21 concerns and the potential effect might not become
22 apparent for a substantial period of time, until a
23 substantial period of time after the contamination
24 event has occurred.

25 So a couple of lessons learned here are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obviously the value of insuring that the products are
2 free of adventitious agents, and also the particular
3 importance of insuring the freedom of products from
4 oncogenic agents or potentially oncogenic agents,
5 especially for vaccines that are given to children.

6 So I've listed here a few basic principles
7 of adventitious agent testing. We've already talked
8 about how this kind of testing should consider
9 quantitative issues and how important it is to
10 understand the sensitivity of one's assays and what
11 one precisely is tested for and what the meaning of a
12 negative result actually is.

13 It's important to consider issues that are
14 specific to the material in question, and I would
15 argue that also where possible one should use tests
16 that have the potential to detect unsuspected or even
17 undiscovered agents.

18 Now, to talk about adventitious agents, I
19 think it's useful to think a little bit about how
20 viral vaccines are produced. The center of viral
21 vaccine production is the cell substrate, and of
22 course, for live viral vaccines or inactivated viral
23 vaccines, some cell substrate is required to grow the
24 virus.

25 You add to that a vaccine seed and various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other raw materials, which can include serum, medium,
2 stabilizers or things like that, which then together
3 in the production process after usually some kind of
4 purification or inactivation lead to the final
5 product.

6 This entire thing takes place in a
7 facility which hopefully is designed in such a way as
8 to minimize the opportunity for contamination at any
9 of these steps, although there is the risk, of course,
10 that personnel who perform these steps might
11 contaminate a vaccine.

12 But a basic principle of producing these
13 vaccines is that all of the materials that go into the
14 vaccine, the vaccine seed, the raw materials and the
15 cell substrate, need to be shown to be free of
16 adventitious agents as they enter the process.

17 Now, purification steps could potentially
18 reduce adventitious agent burden if some were able to
19 get into the production process, and there is a model
20 for thinking about that that has been used in
21 evaluating therapeutic products at CBER in which some
22 cell substrates might contain viral particles, and the
23 ability of a purification scheme then to remove those
24 particles is then determined, and that's called
25 investigation of viral clearance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And a general principle there as well then
2 is that the purification scheme which is used needs to
3 be able to remove not only the amount of material that
4 you think could be present in the cell substrate, but
5 also some additional amount which represents a safety
6 factor.

7 It's also worth noting that for live
8 vaccines a purification process could actually
9 potentially concentrate adventitious agents.

10 Okay. So as we look at adventitious
11 testing then, I've talked about the importance of
12 quantitation, but I just wanted to go through four
13 individual points here because in policy making not
14 only do we need to understand how sensitive an assay
15 is, but it really does need to estimate the pretest
16 probability of a problem because that will influence
17 the kinds of assays that one thinks needs to be done.

18 One needs to consider the number of doses
19 or dose equivalents that can be tested. One needs to
20 understand the sensitivity of the assays, and a very
21 important component of that then is in performing
22 assays to include the appropriate controls that allow
23 one to define how sensitive any given assay was, and
24 the need to consider safety margins in deciding what
25 kind of assays need to be performed.