

1 true, the likelihood of a transcription error could  
2 occur, but then having a follow-up sample and a  
3 subsequent donation, the question of having an  
4 error on one donor two times in a row I think is  
5 incredibly remote.

6 DR. NELSON: Yes?

7 DR. CHAMBERLAND: Sue, what is your view  
8 or opinion about the interval of time that would be  
9 prudent to consider for follow-up testing,  
10 particularly for HIV? The FDA proposed algorithm  
11 states eight weeks, and then Mike Busch in his  
12 previous recommendation voiced a view for a more  
13 conservative six months. Just because we are going  
14 to be asked to look at that--

15 DR. STRAMER: I understand.

16 DR. CHAMBERLAND: --and I wanted to engage  
17 in a little bit of discussion on that.

18 DR. STRAMER: Fifty-six days would appear  
19 to be sufficient and very adequate for HIV. I  
20 mean, seroconversion occurs very, very quickly, and  
21 in fact in the Red Cross statements we will say 56  
22 days for HIV is in fact what we support.

23 However, probably for simplicity, six  
24 months just makes the process consistent, that we  
25 do six months for HIV, we do six months for HCV, no

1 one has to do any thinking. I mean, that's what  
2 the standard algorithm is. It just represents  
3 consistency. But certainly biologically eight  
4 weeks is more than adequate. Exactly.

5 DR. CHAMBERLAND: I just wanted, I guess I  
6 wanted to make sure that I understood the data that  
7 had been presented correctly, and I hope I'm not  
8 misinterpreting anything that Mike presented, but  
9 at least what I thought I saw and understood, it  
10 would seem biologically that you could really go  
11 with an eight-week period.

12 DR. STRAMER: HIV seroconversion is  
13 completely reproducible.

14 DR. CHAMBERLAND: Right.

15 DR. STRAMER: I mean, through the 10 or 15  
16 years that we've been looking at HIV  
17 seroconversion, it's been a reproducible event. I  
18 mean, the 2 out of 51 needle sticks are the only  
19 two exceptions.

20 DR. BUSCH: What I've tried to do is  
21 discriminate. If you're HIV RNA or antigen  
22 reactive and antibody negative, I also felt eight  
23 weeks was adequate time to seroconversion. That's  
24 a very conservative and adequate period.

25 What I tried to differentiate was, if

1 you're HIV antibody reactive and NAT negative,  
2 there I just--it's not so much that I think any of  
3 these people are infected. It's more a matter of  
4 letting that false seroreactivity dissipate, and  
5 that waiting six months--otherwise, if you test  
6 them too soon, you're going to be running the same  
7 reagents and you're going to get a double hit on  
8 two bleeds, and then they're permanently deferred  
9 or you have to start over.

10 DR. STRAMER: Although from studies that  
11 have been in the literature, p24 indeterminacy, for  
12 example, on Western Blot, will remain for years.  
13 So in many of these cases it doesn't matter if we  
14 wait 6 months or 10 years, people with persistent  
15 indeterminacy will remain quite EIA reactive.

16 DR. CHAMBERLAND: Right, and in fact the  
17 draft public or the draft CDC revised guidelines  
18 for HIV testing and counseling, not geared for  
19 donor testing, obviously, and do not include  
20 provisions as we have in blood donor testing for  
21 NAT testing, are going to recommend that if an  
22 individual tests EIA repeat reactive and Western  
23 Blot indeterminate, testing within four weeks, if  
24 it has not progressed, these people should be  
25 counseled that basically they are negative.

1 DR. STRAMER: That's right.

2 DR. CHAMBERLAND: But, again, I think that  
3 might on surface appear discrepant or confusing,  
4 but it's an entirely different setting without the  
5 benefit of NAT testing, which is what you say kind  
6 of mixes it up a bit.

7 DR. NELSON: Actually, I could see where  
8 if the reentry would require retesting a new  
9 donation, so that there would be two tests, you  
10 could accomplish both by retesting the blood at 56  
11 days, thereby reassuring the donor but not actually  
12 taking another unit of blood to be retested for six  
13 months. Because I can see that when somebody was  
14 told that they may be HIV positive, come back in  
15 six months, that's kind of a pretty bad message. I  
16 mean, you know, they may have gone crazy in that  
17 time. The quicker we could reassure people, the  
18 better, but we could wait to reenter the donor, I  
19 would think.

20 Okay, any--oh, John?

21 DR. BOYLE: Just one last question. Since  
22 the purpose of this is to save the donor, not save  
23 the donation, is it possible to reenter the donor  
24 without reentering the blood?

25 DR. STRAMER: Well, that's what a follow-

1 up sample is supposed to establish.

2 DR. BOYLE: But I'm thinking about on a  
3 permanent basis.

4 DR. STRAMER: Well, if you're reentered as  
5 a donor and that donor is not going to say, "I'm  
6 coming in for my next blood donation. I mean, I  
7 saw an appeal on TV and I want to make my  
8 contribution."

9 DR. BOYLE: And you said it's a relatively  
10 small number, and they give their blood, and you  
11 don't use it.

12 DR. NELSON: And then the donor finds out  
13 that you threw away that blood that they took, and  
14 he's not very happy.

15 DR. EPSTEIN: The question whether to  
16 treat the units of those donors differently was the  
17 subject of an NIH consensus conference in 1985, and  
18 it was felt to be unethical. You know, given the  
19 fact that we don't have conclusive results about  
20 serologic findings, if we're going to discard  
21 units, we tell donors that they're deferred.  
22 Conversely, if we reenter donors, we mean they're  
23 safe and we accept their donations.

24 DR. HOLLINGER: Sue, I have a couple of  
25 questions. Back to the issue on the viral bands on

1 Western Blots, viral bands positive, GP 120, 160,  
2 etcetera. You mentioned that you thought that  
3 these were false positive, and I'm assuming that  
4 they probably are, but do we know for a fact that  
5 they're false positive?

6           You know, we assume that everybody with  
7 HIV remains infected and usually does not resolve  
8 their infection. Of course, if we make that  
9 assumption, then everything that we find like this  
10 becomes a false positive, when in reality it may  
11 not occur that way. Do you honestly believe these  
12 are truly false positive results, or--

13           DR. STRAMER: Yes, I honestly believe  
14 that, and you don't have to, whether I'm honest or  
15 dishonest. There are other data sets that have  
16 followed up these types of donors to demonstrate  
17 that these are false positives, as published by the  
18 REDS Group in JAMA. There is a larger Red Cross  
19 data set that demonstrates the same phenomenon.

20           And these donors, although I haven't had  
21 time yet, I will enter into a follow-up study to  
22 demonstrate that these are in fact false positives,  
23 especially those that were positive for Western  
24 Blot high level EIA, that were NAT negative even by  
25 PCR. I mean, we want to understand what's the

1 status of these donors, why do they test NAT  
2 positive but have blazing antibody responses.

3 DR. HOLLINGER: Okay. The other one has  
4 to do with, just for my own information, if you  
5 would, those two donors that did not seroconvert--

6 DR. STRAMER: Yes, the immunosilent HCVs,  
7 yes.

8 DR. HOLLINGER: Yes. I've got some  
9 questions about that. Maybe you don't know the  
10 answers because you haven't investigated enough  
11 yet.

12 But the first one was that, on the ones  
13 that did not seroconvert, were they tested with  
14 several different EIA assays? That's the first  
15 one.

16 DR. STRAMER: Yes. They were tested with  
17 both FDA-licensed HCV antibody assays.

18 DR. HOLLINGER: And they were negative for  
19 both, both of those?

20 DR. STRAMER: Correct. And negative by  
21 RIBA.

22 DR. HOLLINGER: Did you look for  
23 cryoglobulins in these individuals that might have-

24 -

25 DR. STRAMER: Yes, and we've looked for T

1 cell responses, as well, and these both have  
2 healthy, normal immune globulin responses, and  
3 actually T cell responses to other toxoids that  
4 have been looked at, but we haven't found a T cell  
5 response for HCV in these individuals, either.

6 DR. HOLLINGER: Okay, but not  
7 cryoglobulins? I'm really looking for specifically  
8 cryoglobulin.

9 DR. STRAMER: No.

10 DR. HOLLINGER: What about their ALT  
11 levels?

12 DR. STRAMER: ALT levels have been flat.

13 DR. HOLLINGER: They've been normal?

14 DR. STRAMER: Yes, like 14, 20. I mean,  
15 we have sampled ALT every time we get a follow-up.  
16 Other than NAT, by PCR and TMA, these donors would  
17 have no idea that they are HCV positive, and both  
18 have been repeat donors.

19 DR. HOLLINGER: And has the genomic map  
20 been looked at in either one of those, to see what  
21 material or what is really being detected, and what  
22 portion? Is this a nonstructural portion of the--

23 DR. STRAMER: No, we have not yet done  
24 that.

25 DR. HOLLINGER: So it has not been looked



1 at?

2 DR. STRAMER: No.

3 DR. HOLLINGER: Okay. Thank you.

4 DR. STRAMER: We have lots of samples, if  
5 you would like to collaborate with us.

6 DR. HOLLINGER: Thank you.

7 DR. NELSON: All right.

8 DR. KOFF: I wondered, again for general  
9 information, of 100 donors who are notified that  
10 they are HCV RNA positive by NAT testing, what  
11 proportion of them have not gone back to the Red  
12 Cross but in fact have sought medical advice? Do  
13 you have follow-up information on what happens?

14 DR. STRAMER: The only follow-up  
15 information that I can give you, and I would assume  
16 one analyte is no different than another analyte,  
17 is for p24 antigen, because we do have a very  
18 active follow-up program and we do reinstate for  
19 p24 antigen. And we know only about 30 percent of  
20 donors do actually go through the whole process, so  
21 again the yield, even if I show you absolute  
22 numbers that are low, they further diminish because  
23 of the small numbers that actually pursue this.

24 And of those, based on serological  
25 testing, that are biological false positives, the

1 vast majority still retain their biological false  
2 positivity. So even for the largest group of yield  
3 samples, productive donations that we will get from  
4 them will be few.

5 So even from the follow-up sample, if that  
6 tests reactive again for serology but not by NAT,  
7 what we will have to tell the donor is, "You have  
8 persistent false positivity. You haven't  
9 progressed to seroconversion. Your NAT remains  
10 negative. So you are otherwise healthy, but have  
11 some cross-reactivity to the test."

12 DR. KOFF: I was specifically more  
13 concerned about the hepatitis C story.

14 DR. STRAMER: I don't have specific data  
15 on how many actually pursue, you know, follow-up  
16 information. I mean, we would know that from the  
17 lookback information and the lookback endeavors  
18 that we have pursued, and we know the yield of  
19 those is incredibly small.

20 DR. FITZPATRICK: Sue, I wanted to follow  
21 up on Blaine's questions, on the case you're  
22 calling the--

23 DR. NELSON: Speak into the mike.

24 DR. FITZPATRICK: I'm sorry. On the case  
25 you're calling the abortive hepatitis C, there is a

1 single NAT positive sample and that's from the  
2 donation? Not to draw a red herring, but have you  
3 checked the donors that were collected at the same  
4 time, and can you be 100 percent sure it wasn't a  
5 bag or sample mix-up?

6 DR. STRAMER: What we did, not to go into-  
7 -the husband of the donor called me and he was very  
8 anxious to have his wife cleared of her HCV  
9 infection, and he was so anxious that it made me  
10 suspicious. And all of the follow-up samples,  
11 because the tests were flat negative, my first  
12 guess was, we have two different individuals that  
13 we're testing here, and that's why we have a NAT  
14 positive followed by NAT negativity.

15 So we did--I have a plasma unit, so we did  
16 sensitive HLA, DNA tests from the follow-up  
17 samples, from two of the follow-up samples, and  
18 from the plasma. Within the confidence of the HLA  
19 tests we did, they typed to the same HLA types. So  
20 from the data we have, it looks like the follow-up  
21 samples and the first donation did come from the  
22 same individual. Because I said something's not  
23 right here.

24 DR. NELSON: I think that we're not going  
25 to get out of here much before midnight unless I

1 stop the discussion now, and I think I'd like to  
2 take a break now, before we have the next  
3 presentation. So let's try to be back at 11:15.  
4 That's a short break, maybe. Thanks.

5 [Recess.]

6 DR. NELSON: We have one more presentation  
7 before the open public hearing, and there are five  
8 people or groups of people who have asked to  
9 testify or give a talk at the public hearing, and  
10 then we have committee discussion on the proposed  
11 FDA reentry guidelines. And so I would ask, since  
12 we're--I want to give you a road map that we're  
13 actually probably an hour behind, so I'd ask the  
14 next speaker and those giving comments for the  
15 public hearing to be as concise as possible.

16 The next presentation, Susan Galel from  
17 Stanford University. Susan?

18 DR. GALEL: Thank you. Before I begin  
19 with the slides, I would just like to take a minute  
20 to address the question that seems to be recurring,  
21 as to why we want to reenter donors.

22 As a director of a blood center that does  
23 actively reenter donors, I would like to say that  
24 it is absolutely a donor retrieval issue, not just  
25 a donor counseling issue. When you consider that

1 we do seven infectious disease tests on every  
2 donation, and each test has changing performance  
3 over time, plus each manufacturer's test and each  
4 version of a test has a different donor population  
5 that it has false positive reactions with, you can  
6 see that your most dedicated donors over time are  
7 highly likely to have a false positive reaction on  
8 one or more of our tests, and we will lose our most  
9 dedicated donors if we keep permanently deferring  
10 them every time they have a false positive  
11 reaction. So I would like to make a plea for  
12 retaining the ability to reenter donors.

13 Now, in my other hat as a representative  
14 of the clinical trial, I will be reporting the  
15 experience of the 13 blood centers that have been  
16 performing the Roche AmpliScreen tests for HCV and  
17 HIV nucleic acid in pools of blood donors. This  
18 study is being performed at our blood center,  
19 Stanford University, plus 12 blood centers that are  
20 members of America's Blood Centers, and you can see  
21 that these centers are scattered throughout the  
22 United States.

23 In the Roche system, the original 24  
24 samples are pooled through an intermediate plate  
25 into a master pool, and at the same time that the

1 pool is made by a Hamilton sample handler, the  
2 Hamilton also delivers an aliquot of each donor  
3 sample into an archive plate, and all resolution  
4 testing is performed from the archive plate, not  
5 from the original tube.

6           The master pool, the RNA is extracted from  
7 the master pool manually, and then is physically  
8 separated into two different tubes, and each tube  
9 is extracted for either--is amplified and detected  
10 for either HIV or HCV, using a fully automated  
11 amplification and detection system. So when we get  
12 a reaction in the Roche system, we know immediately  
13 whether it's an HIV or an HCV reaction because  
14 those detections are done separately, so there is  
15 no discriminatory NAT in the Roche system.

16           If the master pool is reactive, then we go  
17 back to the archive plate and we recreate new  
18 minipools of six members each, so we have four  
19 minipools of six members each, again do the manual  
20 sample RNA extraction and automated amplification  
21 and detection. In this case we only do the  
22 amplification and detection for the marker that was  
23 reactive on the master pool. And if a secondary  
24 pool is reactive, then we again go back to the  
25 archive plate, take samples from the individual

1 wells that were in that reactive pool, and extract,  
2 amplify and detect them individually, and identify  
3 the sample that was NAT reactive.

4           This is a data set from relatively early  
5 in the trial, at six months of experience. You can  
6 see that in this data set just under 2 percent of  
7 donations were included in a positive master pool,  
8 and just 1 in 1,000 donations were individual NAT  
9 reactive. And I should clarify that in this trial  
10 we are not permitted to treat seropositive samples  
11 different from seronegative samples, so they are  
12 mixed randomly in with, seropositives are mixed  
13 randomly in with all of our donor samples.

14           Looking at the donations that were  
15 individual NAT positive, meaning individual well  
16 from the archive plate, 90 percent of them were EIA  
17 reactive and 10 percent of them were EIA negative.  
18 Among the samples that were NAT positive, EIA  
19 negative, we had only 7 percent that appeared to be  
20 true positive, meaning that we were able to confirm  
21 NAT reactivity either on a specimen from the plasma  
22 of that unit, that is, the unit itself, or on  
23 follow-up samples. Fifty-seven percent of the  
24 samples appeared to be false positives, in that NAT  
25 performed on the plasma of the donation unit itself

1 or on follow-up or both tested negative for all HCV  
2 markers, and in this data set, about one-third of  
3 the samples we have no further information on.

4 Dr. Gary Tegmeier from the Blood Center of  
5 Greater Kansas City, which is one of our largest  
6 test sites, provided this detailed analysis of the  
7 false positive donors. This center has tested  
8 almost 1 million donations for HCV nucleic acid.  
9 They have identified eight donors which they  
10 believe are true positive, NAT reactive and EIA  
11 negative. By true positive, that means that we  
12 confirmed NAT reactivity on a second specimen from  
13 that donor, either from the--in seven cases it was  
14 confirmed on follow-up, and in one case the donor  
15 refused to enroll in follow-up, and the index  
16 donation was tested and it was NAT reactive. So  
17 this yield is about 1 in 123,000.

18 There were 48 samples that were NAT  
19 reactive, EIA negative, suspected to be due to  
20 contamination, in that NAT was negative on a second  
21 specimen, either the index donation itself or a  
22 follow-up, so that is a false positive rate or  
23 suspected false positive rate of about 1 in 20,000.

24 Now, looking at these suspected false  
25 positives, in 44 out of the 48 cases there was an



1 EIA positive, NAT positive, that is a true  
2 seroprevalent specimen somewhere else on the  
3 archive plate, and in half of those cases the true  
4 positive specimen was neighboring the false  
5 positive, that is, either next to or diagonally  
6 related to the false positive specimen.

7           Five samples appear to be contaminated  
8 when an archive plate was dropped. This was early  
9 in the trial, and the staff didn't realize the  
10 potential for splashing. The remainder of the  
11 suspected false positives occurred when there was a  
12 true positive, that is, an antibody positive, NAT  
13 positive, somewhere else on the archive plate.

14           In four cases there was no EIA positive,  
15 NAT positive specimen on the archive plate, and yet  
16 we have some other reason to think that this was a  
17 false positive reaction. In one case, further  
18 testing on tubes from the donors was all negative.  
19 In one case, tubes and the unit were tested and  
20 were negative. And in two cases, the tube, unit,  
21 and follow-up were all negative. So we don't know  
22 where that reactivity came from.

23           I would like to reiterate what Susan  
24 Stramer said about the potential for tubes being  
25 contaminated. Dr. Tegmeier evaluated the value of

1 going back to testing the original tube and trying  
2 to clear donor on the basis of that testing.

3 In 30 cases of the suspected false  
4 positive well on an archive--suspected  
5 contamination of the archive well, the tubes were  
6 negative, and in some of these cases the donors  
7 were tested by additional specimens and they are  
8 all negative. However, in 6 out of the 36 cases,  
9 the original tube was positive, suggesting that the  
10 contamination occurred not at the level of the  
11 archive plate but at the level of the tube. And in  
12 these cases, we still believe they are false  
13 positives because additional specimens from these  
14 donors were all negative.

15 And I would like to also reiterate what  
16 Susan said, that if you do supplemental NAT on  
17 these specimens they will be positive, so these are  
18 truly contaminated specimens, and doing  
19 supplemental NAT on a contaminated specimen should  
20 not be reason to defer the donor.

21 In 25 cases the units were available for  
22 testing from these suspected contaminated  
23 specimens, and they all tested negative. And nine  
24 of these donors for whom the units were available  
25 were enrolled in follow-up, and all of them were

1 negative consistently for all HCV markers on  
2 follow-up.

3 I would like to turn our attention to the  
4 donors that we think are true positives. We began  
5 to analyze this data after about 13 months into the  
6 trial, when we had screened about 5.5 million  
7 donations for hepatitis C nucleic acid. By that  
8 time we had accumulated 23 donors that we believed  
9 to be HCV NAT true positive, EIA negative, and the  
10 reason we thought they were true positive is that  
11 NAT reactivity was confirmed either on a follow-up  
12 specimen in the case of 19 donors, and in the case  
13 of four donors who refused to enroll in follow-up,  
14 the NAT reactivity was confirmed on the plasma of  
15 the index donation. So this overall yield is about  
16 1 in 240,000, similar to what Sue Stramer reported.

17 However, when we segregated the yield data  
18 according to whether the laboratories had used the  
19 Abbott second generation antibody test as the  
20 screening test, versus using the Ortho third  
21 generation screening test as the antibody screen,  
22 we saw a dramatic and statistically significant  
23 difference in yield, a much higher yield for NAT  
24 testing, that is, NAT positive, apparent EIA  
25 negative, in laboratories that were using the

1 second generation Abbott EIA as the screening test  
2 of record.

3 We tried to get these index donations and  
4 retest these EIA 2.0 negative specimens using Ortho  
5 EIA 3.0, and 70 percent of them were reactive.  
6 That is, the PCR positive, EIA 2.0 negative, 70  
7 percent of them were reactive by Ortho EIA 3.0, and  
8 therefore would not have been called PCR positive,  
9 EIA negative, had they been screened by a  
10 laboratory performing the Ortho EIA 3.0 assay.

11 From these 23 donors we had 19 that agreed  
12 to enroll in follow-up, and this slide shows you  
13 the progression of test positivity over time during  
14 follow-up. Among the donors enrolled in follow-up,  
15 eight were reactive for EIA 3.0, that is, they were  
16 EIA 2.0 negative but EIA 3.0 reactive on the index  
17 donation. One additional specimen was unavailable,  
18 the index donation was unavailable for EIA 3.0  
19 testing, but a five-day follow-up was obtained and  
20 was reactive by EIA 3.0.

21 The remaining donors were nonreactive by  
22 both EIA 2.0 and EIA 3.0 on the index donation, but  
23 you can see they all became EIA 3.0 reactive  
24 promptly on follow-up. And I should point out that  
25 our follow-up was done at monthly intervals, so

1 these donations that were found to be EIA 3.0  
2 reactive at 68 and 70 days could have converted  
3 earlier, but we only sampled the donors monthly.  
4 It might be more important to specify the date of  
5 the last negative test, and the last negative test  
6 that we have was obtained on day 39. So all I can  
7 say is, by day 70 all of our donors have become EIA  
8 3.0 reactive.

9           However, the story is different when you  
10 look at EIA 2.0 reactivity. You can see that some  
11 donors have a very prolonged period in which they  
12 are EIA 3.0 reactive but EIA 2.0 negative, some  
13 more than six months.

14           In most cases the RIBA is also not  
15 positive for these donors. It is indeterminate,  
16 and consistently with a c33c band. In most cases,  
17 the RIBA changes from indeterminate to positive at  
18 about the same time that EIA 2.0 becomes reactive.  
19 In some cases, however, there is a difference,  
20 still a lag in time between EIA 2.0 reactivity and  
21 RIBA reactivity.

22           I cannot say for sure that all donors will  
23 eventually seroconvert to EIA 2.0. We do have some  
24 donors who are still EIA 2.0 negative after fairly  
25 significant periods of time.

1           The same data shown graphically makes it a  
2 little bit easier to see the patterns of  
3 seroconversion. Donors that are EIA 3.0 reactive  
4 on the index specimen but EIA 2.0 negative, many of  
5 them have a prolonged period before they become EIA  
6 2.0 reactive, whereas among donors that are  
7 negative for all markers at the index donation,  
8 most of them seroconvert fairly promptly. And the  
9 laboratories that were using EIA 2.0 seem to be  
10 selectively enriching this donor population, that  
11 is, those who have the prolonged EIA 2.0 negative  
12 phase, although we can see one of those also among  
13 the samples that were negative for all markers at  
14 the index donation.

15           So, to summarize our observations of the  
16 follow-up study, about 30 percent of donors showed  
17 a significant time lapse of greater than 90 days  
18 between EIA 3.0 positivity compared to EIA 2.0  
19 positivity, and during this EIA 3.0 positive/EIA  
20 2.0 negative interval, almost all specimens are  
21 RIBA 3.0 indeterminate with a c33c pattern.

22           There is one case of a donor who was RIBA  
23 negative during this phase. The donor was EIA 3.0  
24 positive, EIA 2.0 negative, RIBA negative, on two  
25 different specimens, days 17 and 54 of follow-up,

1 and became RIBA positive on day 115. So from this  
2 one sample it appears that EIA 3.0 is more  
3 sensitive than RIBA 3.0. And it is not clear from  
4 our follow-up whether all infected donors will  
5 ultimately become EIA 2.0 positive and RIBA  
6 positive.

7           Looking at the NAT reactivity among the  
8 follow-up specimens, again I want to report that  
9 all 19 donors became EIA 3.0 reactive by the second  
10 follow-up visit and by day 70. Five out of the 19,  
11 or about one-quarter of the donors, had one or more  
12 individual NAT negative samples during the follow-  
13 up period, but this is after they became EIA 3.0  
14 reactive, so that every single follow-up sample was  
15 either EIA 3.0 reactive or NAT reactive.

16           Among the donors who had some negative NAT  
17 samples after they became EIA 3.0 reactive, three  
18 had a positive NAT on further testing, so that was  
19 an intermittent negative NAT that was reported by  
20 the other speakers. Two of the donors had two  
21 consecutive negative NAT samples after they became  
22 EIA 3.0 reactive, and their follow-up was  
23 terminated because they had fully seroconverted, so  
24 we don't know if they have permanently cleared the  
25 virus or not.

1 Just to now update the data for our now  
2 two years of experience with HCV and one and a half  
3 years of experience with HIV, for HCV, we have  
4 screened 8.1 million donations for HCV nucleic  
5 acid. We have a total now of 32 cases which we  
6 believe are true NAT reactive, EIA negative, for an  
7 overall yield of 1 out of 253,000. Of these 32, we  
8 have 24 in follow-up, and in all cases every  
9 follow-up sample was either NAT positive or EIA 3.0  
10 positive or both during the follow-up period.

11 In the trial we have about 300 suspected  
12 false positive reactions, for an overall rate of  
13 about 1 in 27,000, and we try to enroll these in  
14 follow-up. Among the donors in follow-up, we have  
15 97 donors for whom we have obtained two or more  
16 follow-up samples with no evidence of infection,  
17 and 21 donors who had a negative unit that was  
18 tested and who were enrolled in follow-up with no  
19 evidence of infection.

20 And we believe that, therefore, if you  
21 have any one negative specimen, whether it be the  
22 original unit or a follow-up specimen that is  
23 negative by both EIA 3.0 and individual NAT, that  
24 that donor is uninfected. We have not yet had a  
25 person who tested completely negative on any



1 follow-up specimen, who later tested positive on a  
2 subsequent follow-up specimen. But I have to  
3 emphasize that that means we are talking about EIA  
4 3.0. We certainly do have donors that are EIA 2.0  
5 negative during follow-up, who are truly infected.

6 For HIV, we have screened approximately  
7 5.4 million donations. We have one case that we  
8 believe is a true window case, that was reactive  
9 only for HIV NAT and negative for all other HIV  
10 markers. On the first follow-up specimen obtained  
11 16 days after the index donation, the donor tested  
12 positive for everything: NAT, p24, and antibody  
13 and Western Blot. By day 24, the donor had become  
14 negative for p24 antigen but was still reactive for  
15 NAT and antibody.

16 Out of the 5.4 million donations, I have  
17 only been able to verify one suspected false  
18 positive donor. There may be more, but I'm having  
19 a little trouble getting that data. But at any  
20 rate, the prevalence of false positive reactions on  
21 the HIV NAT appears to be extremely low.

22 So just to apply this data to the  
23 questions that are being addressed to the  
24 committee, the first question: Is it useful to  
25 consider reentry for donors who had an individual

1 donation NAT positive reaction, anti-HCV EIA  
2 reactive, and RIBA 3.0 indeterminate or negative?

3 My answer, it's probably not, because in  
4 our experience donors who are NAT positive and RIBA  
5 indeterminate are most likely in the process of  
6 seroconverting. However, even donors who are NAT  
7 positive and RIBA negative may be seroconverting,  
8 and in our experience a false positive on both NAT  
9 and EIA is a rare event. However, it would be  
10 very, very easy to resolve these false positives by  
11 simply either testing the original unit or testing  
12 one follow-up specimen for both NAT and EIA 3.0.  
13 If the EIA 3.0 reactivity goes away, then that was  
14 a false positive EIA reaction.

15 Question 2: Should reentry be considered  
16 for donors who were NAT negative on pooled  
17 screening and serologically reactive with RIBA  
18 indeterminate results? And I would say probably  
19 not, unless you can--unless EIA reactivity goes  
20 away on an EIA 3.0 or more sensitive test. The  
21 concern is that these donors could be in the  
22 process of seroconverting. A negative result on  
23 pooled NAT is not necessarily comforting because  
24 pooled NAT is less sensitive than individual unit  
25 NAT, and we know from our data and the other two

1 speakers that some donors are intermittently NAT  
2 negative during seroconversion, and they may be  
3 RIBA indeterminate during seroconversion.

4 We do agree that individual NAT testing is  
5 useful for donor counseling for these donors, and  
6 if a second sample, a second pristine sample not  
7 subjected to the pooling process, is reactive for  
8 NAT, that that donor should be counseled as if they  
9 are positive. However, we disagree that a second  
10 NAT performed on the suspected contamination sample  
11 should be used for donor deferral or counseling.

12 And the question is whether some of these  
13 RIBA indeterminate donors may be uninfected, but it  
14 is true that probably the vast majority of RIBA  
15 indeterminate donors are uninfected, and I think it  
16 would be worth reconsidering when the next  
17 generation of screening tests is licensed, as long  
18 as the screening test is at least as sensitive as  
19 EIA 3.0. If the EIA reactivity goes away, then you  
20 don't have to worry about the indeterminate, the  
21 RIBA indeterminate reactivity, because it appears  
22 that EIA is actually more sensitive than RIBA.

23 Regarding the option of following up with  
24 an additional HCV NAT test at any time up to six  
25 months, we agree that testing of a second specimen

1 is extremely useful not just for donor counseling  
2 but for determining the true infectious status of  
3 the donor, and I believe that we and Sue Stramer  
4 would agree that the plasma from the index donation  
5 may be used for this purpose without need for  
6 bringing the donor in for follow-up, if the plasma  
7 is available and if the storage conditions were  
8 validated and approved by the manufacturer.

9 Additional testing of tubes from the  
10 original donation should not be used for decisions  
11 about donor deferral because they may be  
12 contaminated, and donors should not be deferred on  
13 the basis of a repeat or supplemental NAT on the  
14 original specimen because it was probably  
15 contaminated during the pooling process. We do  
16 agree that a NAT positive result on any second  
17 pristine specimen, whether it be the index donation  
18 itself or a follow-up specimen, should be cause for  
19 deferral.

20 Question 3: What should be the minimum  
21 time period for waiting for follow-up testing?

22 All of our window case donors for HCV were  
23 positive for either EIA 3.0 or individual NAT or  
24 both at every follow-up visit, so we would question  
25 whether any waiting period is required at all. All

1 of the donors were EIA 3.0 reactive by day 70.  
2 Most of them or eight of them were positive on the  
3 index specimen itself, and the remaining donors  
4 were positive on the first or second follow-up.

5           If you want to wait for the EIA to be  
6 reactive, eight weeks should be--I'm sorry--eight  
7 weeks should be sufficient for follow-up if you are  
8 using both individual NAT and EIA, and EIA 3.0 must  
9 be used for reentry purposes. If you want to allow  
10 enough time for EIA 3.0 to become positive, then  
11 six months should be more than sufficient, since  
12 all of our donors were reactive by day 70. We  
13 agree that RIBA should not be required for reentry  
14 so long as EIA 3.0 is negative, because RIBA 3.0  
15 appears to be less sensitive than EIA 3.0.

16           The last HCV question: Should the blood  
17 establishment have the option of continuing to  
18 follow up a donor with individual sample NAT  
19 negative but persistent EIA reactivity? And the  
20 answer is, absolutely. Each manufacturer has a  
21 different donor population that it has false  
22 positive results on, and these donors may become  
23 nonreactive on the next generation screening test  
24 or on another manufacturer's licensed screen. So  
25 as long as the follow-up test has, follow-up EIA

1 has sensitivity at least equivalent to the original  
2 reacting test, then the donor should be  
3 reenterable.

4 And one request from members of our  
5 clinical trial group, since we are anticipating  
6 licensure of another technology which will not be  
7 called enzyme immunoassay, we would like for the  
8 reentry algorithm to use terminology that doesn't  
9 refer to EIA but rather to something like a  
10 licensed serologic screening assay, so that it will  
11 be applicable to the PRISM assay.

12 We have very little data on HIV because,  
13 as I showed you, we had only one true positive and  
14 one or very few false positives. But just looking  
15 at the antibody screen, this is data from just over  
16 1 million donations from three of our trial sites,  
17 you can see that the vast majority of EIA, HIV EIA  
18 reactive specimens are negative by NAT and Western  
19 Blot negative or indeterminate.

20 Questions for HIV. Question 1: Is it  
21 useful to consider reentry for donors who are NAT  
22 positive, EIA reactive, and Western Blot  
23 indeterminate or negative?

24 The answer from our system is probably  
25 not, because in the Roche system false positive NAT

1 seems to be an extremely rare event, and the  
2 probability of false positive results on both EIA  
3 and NAT is extremely unlikely. However, again, it  
4 should be very easy to determine the infectious  
5 status of that donor from one follow-up visit.

6           Question 2: Should reentry be attempted  
7 for a donor who is pooled NAT negative, antibody  
8 reactive, and Western Blot indeterminate?

9           The answer is yes, not on the basis of  
10 data that I have presented today, but it is clear  
11 from the literature and data that were presented  
12 previously to this committee that most Western Blot  
13 indeterminate donors are uninfected.

14           Question 3: Follow-up testing prior to--  
15 sorry--What should the minimum time period be for  
16 waiting prior to follow-up testing?

17           We believe that follow-up testing prior to  
18 eight weeks or testing of the second specimen from  
19 the time of donation, something that was not  
20 exposed to the pooling process, may be very useful  
21 for donor counseling. For reentry, eight weeks  
22 should be sufficient based on the time period of  
23 EIA conversion after NAT reactivity appears, from  
24 published literature.

25           For Group 3 donors, that is, those who are

1 reactive only on an EIA and not on NAT, we would  
2 suggest that the donor could be reentered if the  
3 EIA reactivity disappears, that is, if you switch  
4 to another manufacturer's assay and the EIA  
5 reactivity disappears, that you may even be able to  
6 consider reentering the donor without doing an  
7 individual NAT, although it's certainly easy to do  
8 an individual NAT.

9           We agree that Western Blot should not be  
10 required if the repeat EIA is nonreactive, that the  
11 EIA alone should be sufficient for reentering the  
12 donor. And we agree that a positive individual NAT  
13 on a pristine specimen, but not a repeat NAT on the  
14 original contaminated specimen, should be cause for  
15 permanent deferral.

16           Last question: Should the blood  
17 establishment have the option of continuing to  
18 follow up a donor with NAT negative persistent EIA  
19 reactivity for potential reentry?

20           And the answer is, absolutely. The  
21 argument is same as for HCV, that this donor may be  
22 nonreactive on another licensed serologic screening  
23 assay, and so we should be able to reenter those  
24 donors if they are nonreactive by a screening assay  
25 of sensitivity at least equivalent to the reaction-



1 -to the test that they reacted on originally.

2           And one final comment, a request from some  
3 of the centers in our trial. We would like to make  
4 sure that IFA negative donors are included in the  
5 reentry strategy for HIV, since many centers use  
6 IFA instead of Western Blot as their HIV  
7 supplemental testing. I personally don't have data  
8 on IFA indeterminates, and I'm not aware of the  
9 data that would support or refute treating the  
10 indeterminates, IFA indeterminates, similar to blot  
11 indeterminates, but I understand that IFA  
12 indeterminates are a relatively rare event. And I  
13 think that's the last slide.

14           DR. NELSON: Thank you very much.

15           Are there questions?

16           My understanding is that the FDA proposed  
17 guidelines just say a multi-antigen test, not EIA  
18 3.0. Is that correct, Paul?

19           DR. MIED: For HCV, yes, that's correct.

20           DR. NELSON: Right, so in view of these  
21 data, I think we may want to consider modifying the  
22 criteria. I think that was one of the most  
23 impressive and interesting new data that you  
24 presented.

25           Okay. I think if there are no questions,

1 and thank you very much, there are five people that  
2 wanted to make a presentation, and I would urge  
3 these speakers to be as brief as possible,  
4 particularly if their comments have already been  
5 covered or discussed by previous speakers.

6 **OPEN PUBLIC DISCUSSION**

7 The first, Dr. Chyang Fang from  
8 GenProbe/Chiron.

9 DR. CHYANG FANG: Thank you, Mr. Chairman.  
10 May I have my slides?

11 DR. NELSON: Are there problems? The  
12 machine took a break?

13 DR. CHYANG FANG: Thank you. Today we  
14 will present our pivotal clinical study data as it  
15 relates to the proposed donor reentry algorithm.

16 Background: In the study, a total of  
17 191,648 donor samples were tested in 11,978 pools  
18 of 16. In pool testing, 175 or 1.46 percent of  
19 pools were reactive. All samples composing these  
20 reactive pools were tested individually. One  
21 hundred and forty-two pools contained at least one  
22 NAT reactive sample, and 33 pools contained no NAT  
23 reactive sample.

24 A total of 156 NAT reactive samples were  
25 identified in the study. All samples composing

1 negative pools, and negative samples from reactive  
2 pools, were considered negative. These units were  
3 released if also seronegative. This accounted for  
4 99.91 percent of donations in the study.

5 Units associated with the 166 individually  
6 reactive samples were discarded. These donors were  
7 temporarily deferred, samples were further tested  
8 with the HIV-1 and HCV discriminatory NATs. Of  
9 these, 13 were positive only for HIV-1, and all 13  
10 were also Western Blot positive. One hundred  
11 thirty-eight were positive only for HCV, which  
12 included 129 RIBA positive, 2 RIBA indeterminate,  
13 and 7 HCV EIA negative samples.

14 The remaining 15, or 0.008 percent of the  
15 total sample tested, were negative in both  
16 discriminatory assays. All 15 samples were  
17 retested in the HIV-1, HCV multiplex NAT and were  
18 negative. Based on the non-discriminate and repeat  
19 negative NAT results, the donor deferral on these  
20 15 donors were reversed. This reversal of donor  
21 deferral differs from the FDA-proposed reentry  
22 algorithm. I'll present data later to support the  
23 fact that this non-discriminate NAT reactivity were  
24 false positives.

25 For the next two slides, I will show how

1 our clinical study data, including both samples  
2 tested first in pools and 640 samples tested  
3 individually, correlate to the proposed donor  
4 reentry algorithm, first for HIV and then for HCV.

5 For HIV there was one sample in Group 1.  
6 This sample was HIV EIA reactive, Western Blot  
7 indeterminate, but HIV-1 discriminate, NAT  
8 negative. It was HCV discriminate, NAT and RIBA  
9 positive. Therefore, the NAT reactivity was due to  
10 HCV, not HIV-1.

11 There were 156 HIV EIA negative samples in  
12 Group 2. Of these, 139 were positive only in the  
13 HCV discriminate NAT. The remaining 1 samples were  
14 those negative in both discriminatory assays. All  
15 were retested multiplex NAT negative.

16 There were 146 NAT negative, HIV EIA  
17 reactive samples in Group 3. Of these, 94 were  
18 Western Blot negative and 52 were Western Blot  
19 indeterminate. According to the study protocol, 48  
20 available Western Blot indeterminate samples were  
21 tested with the supplemental NAT, and all were  
22 negative.

23 For HCV, two samples were in Group 1.  
24 Both samples were HCV discriminate, NAT positive,  
25 and RIBA indeterminate. According to the study

1 protocol, these two samples were considered true  
2 positive.

3           Thirty-seven samples were qualified for  
4 Group 2. Of these, 13 were HIV-1 discriminate, NAT  
5 positive only, and all 13 were also Western Blot  
6 positive. Seven were HCV discriminate, NAT  
7 positive only. These were potential yield cases,  
8 and donors were entered into the follow-up study  
9 which will be shown in the next slide. The  
10 remaining 17 were those samples that tested NAT  
11 negative in both HIV-1 and HCV discriminatory  
12 assays.

13           For Group 3, 136 samples were NAT negative  
14 and HCV EIA reactive. Of these, 92 were RIBA  
15 negative and 44 were RIBA indeterminate. Forty of  
16 these RIBA indeterminate samples were available for  
17 the supplemental NAT, and all were negative.

18           In this study there were 7 HCV NAT  
19 positive, EIA negative samples. Six of the seven  
20 were from two pools which each contained at least  
21 one HCV NAT positive, seropositive sample. Five of  
22 these donors returned once, 14 to 46 days after the  
23 index donation. for follow-up testing, and all were  
24 NAT negative and seronegative.

25           The bag plasma, if available, was used for

1 repeat NAT and/or supplemental NAT. The results  
2 show that at least some of these NAT false positive  
3 results were due to contamination of the original  
4 NAT tubes. Bag plasma for sample number six was  
5 also NAT positive. The serum sample of this  
6 donation was retested and found to be EIA reactive  
7 but negative in RIBA. Unfortunately, this donor  
8 declined follow-up.

9 In summary, the Chiron Procleix HIV-1/HCV  
10 assay demonstrated high specificity in the pivotal  
11 clinical study. Ninety-nine point nine one percent  
12 of donor samples tested negative. Zero point zero  
13 eight percent were NAT positive and seropositive.  
14 Based on the proposed algorithm, only 0.01 percent  
15 will be deferred based solely on NAT reactivity,  
16 and will be eligible for donor reentry.

17 Second, non-discriminate NAT reactivity  
18 were likely due to reaction tube contamination or  
19 technical errors, since these samples retested as  
20 NAT negative. According to the clinical study  
21 protocol, donations with these results were  
22 discarded but donors were not deferred.

23 In the military NAT blood screening  
24 program on individual samples from April to  
25 December of last year, there were 21 cases where a

1 donor with reactive, non-discriminate NAT results  
2 returned for follow-up testing or subsequent  
3 donation. Most of these visits took place between  
4 50 to 100 days after the index donation.

5 Of these donors, three returned twice and  
6 two returned three times. The intervals between  
7 subsequent repeat visits ranged from nine days as  
8 for Case No. 17 to more than six months as for Case  
9 No. 21. All follow-up test results were NAT  
10 negative and seronegative, indicating that none of  
11 these donors were infected with either HIV-1 or  
12 HCV, and therefore the initial NAT reactivity was  
13 confirmed as false by test results on follow-up  
14 bleeds.

15 Finally, our clinical data results suggest  
16 that a qualified alternate sample of the index  
17 donation, such as plasma from the bag, may be  
18 useful for determining false positivity at index by  
19 repeat NAT and/or supplemental NAT, since most of  
20 the NAT false positive results were caused by  
21 sample-to-sample cross-contamination due to the  
22 pooling and/or the testing processes.

23 Thank you.

24 DR. NELSON: Thank you.

25 Comments? Questions? Okay. Thanks very

1 much.

2 The next person that has asked to speak,  
3 Dr. Celso Bianco for America's Blood Centers.

4 DR. BIANCO: Well, thank you for the  
5 opportunity to speak. America's Blood Centers is  
6 an association of 75 not-for-profit, community-  
7 based blood centers that collect nearly half of the  
8 U.S. blood supply from volunteer donors.

9 I would like, before I get into the real  
10 statement, to make a couple of additions. One,  
11 about the value of the reentry that has been  
12 discussed here, there is one side that is obviously  
13 the donor, and that is the most important side.  
14 There is also a side of the recipient that we have  
15 not talked about.

16 Essentially, all those that are identified  
17 as positive according to the criteria will lead to  
18 a lookback and notification of recipients and a  
19 request that those recipients be tested. Not  
20 infrequently, those recipients are tested, and even  
21 if they get test results, there remains that doubt  
22 that they could have received an infected unit, or  
23 even in legal cases. So in those cases also,  
24 having had negative data in the follow-up from  
25 these false positives, we have useful things.



1           The second thing is that the donors I  
2 think in recent years feel that they are being  
3 treated as raw materials, and I want to remind all  
4 of us that they are human beings and they think,  
5 they feel, and they cry.

6           And finally I want to thank particularly  
7 Dr. Paul Mied for having addressed many of the  
8 issues that I am going to raise here during his  
9 presentations.

10           I am not going to address the algorithm.  
11 I think several people did. But we are very  
12 concerned, ABC members, about the increasing  
13 complexity of the proposed algorithms for  
14 resolution of initial screening test results.  
15 Complexity discourages reentry and offers  
16 opportunity for error.

17           The victims of such complexity are the  
18 volunteer donors, who often are told that their  
19 results have no clinical significance, they are  
20 deferred for life to protect the health of the  
21 recipients. Most sophisticated donors have told us  
22 personally that this message is schizophrenic. Why  
23 can't they donate if they are not infected, if we  
24 are confident that they are not infected?

25           The requirement for additional samples

1 obtained outside the donation process for  
2 performance of reentry protocols also increases  
3 complexity without obvious benefits. There may be  
4 an impression that these samples--that the unit  
5 inside the system would represent some risk, but  
6 actually centers frequently have access to backup  
7 specimens and plasma units for performance of  
8 additional screening. Testing of those specimens  
9 should be allowed.

10           Specimens collected at a subsequent date  
11 require that the donor return exclusively for the  
12 purpose of being retested. Many donors are so  
13 frustrated at being deferred that they refuse to  
14 return. Moreover, when those samples are collected  
15 successfully, they must be processed separately,  
16 outside the well controlled environment of  
17 collections, manufacture, and distribution,  
18 computer controls, bar codes, and all that. It's a  
19 separate system.

20           It's our belief that they are subject to  
21 greater error than specimens that undergo routine  
22 screening. Furthermore, routine specimens obtained  
23 in the course of a blood donation are subjected to  
24 the entire battery of screening assays, providing a  
25 better picture of the infectious disease status of

1 the individual. So we would like to see the  
2 individuals coming back to donate, not just to give  
3 a sample.

4 Additional, more specific supplemental  
5 tests were very useful in the early days of HIV and  
6 HCV testing because of the low specificity of the  
7 available screening assays. Today, however, the  
8 licensed supplemental tests for HIV and for HCV are  
9 actually less sensitive and less specific than the  
10 initial screening tests. These supplemental assays  
11 also generate a percentage, a high percentage of  
12 the dreaded indeterminate test results. Donors  
13 with indeterminate test results are in eternal  
14 limbo.

15 There are better approaches for the  
16 resolution of repeatedly reactive screening tests.  
17 The most important one is being considered today as  
18 part of the algorithms that were discussed. It is  
19 time to seroconversion. Time is better than any  
20 confirmatory test that we have available in the  
21 market today.

22 Essentially, 100 percent of the HIV  
23 infected individuals become, after a short period  
24 of time, repeatedly reactive on currently licensed  
25 antibody screening tests. FDA recognized this fact

1 when it licensed the screening assay for HIV-1 p24  
2 antigen, because donors who are negative on the  
3 antibody test are eligible to donate again after  
4 eight weeks for reentry.

5           The introduction of NAT for HIV made those  
6 algorithms redundant. A donor who is positive on  
7 NAT for HIV, and negative for HIV, should simply be  
8 allowed to donate after eight weeks. Neither the  
9 HIV-1 p24 antigen screening, the Western Blot, or  
10 the IFA contribute to the resolution of the initial  
11 result. Time and test repeat resolve the issue.

12           The same is true for HCV. The  
13 supplemental RIBA test does not contribute to the  
14 resolution of the initial screening test result.  
15 RIBA only complicates matters by generating  
16 indeterminate test results, such as those  
17 associated with NS-5, that have no significance.

18           An individual that is positive on NAT for  
19 HCV and positive on a third generation antibody  
20 assay for HCV, is positive, period, even if there  
21 are some aberrations. But in the absolute majority  
22 of the cases, these individuals should be deferred,  
23 lookback should be performed as soon as possible.  
24 There is no reason to wait for weeks for a RIBA  
25 test result.

1           Individuals who are positive on antibodies  
2 to HCV and negative on NAT should be eligible for  
3 reentry when NAT and new technologies become  
4 available. Individuals who are positive on NAT and  
5 negative for antibodies to HCV, to third  
6 generation, they should be allowed again in the  
7 future. Both groups will be screened again, using  
8 procedures that are more sensitive and more  
9 specific. In these cases, the requirement for a  
10 six-month interval between the reactive donation  
11 and the reentry donation would be sufficient to  
12 allow time for seroconversion.

13           In the case of screening tests for which  
14 there is no licensed supplemental test, donors  
15 should be automatically eligible to donate upon  
16 licensure of new or more sensitive and more  
17 specific technologies, because they will be  
18 rescreened with newer, more sensitive and more  
19 specific assays. The introduction of new  
20 technologies is a major opportunity to reenter  
21 donors, because the sources of false positive  
22 results are different from the old technology.

23           Thus, reentry algorithms should take this  
24 into account. The rule that is part of many of the  
25 FDA guidances, that an individual that had reactive

1 results on two separate occasions must be  
2 permanently deferred, should be eliminated because  
3 it does not contribute to recipient safety,  
4 particularly when the rule is applied to multiple  
5 tests performed on the same specimen. It only  
6 perpetuates errors.

7           Upon licensure of newer screening  
8 technologies such as NAT or chemiluminescence, that  
9 is, the PRISM, donors who were reactive on EIA and  
10 had negative supplemental tests should be eligible  
11 for reentry. This should also be true in the case  
12 of donors who were reactive on antibodies to HCV-2.  
13 They should be eligible to donate again, except for  
14 those with a positive NAT or RIBA results. This  
15 does not mean that their donations will be  
16 automatically accepted. They will always be  
17 subjected to the complete battery of screening  
18 assays. If negative in all assays, including the  
19 licensed NAT, their donations are suitable for  
20 transfusion.

21           NAT for HIV has totally obviated the  
22 already small value of HIV-1 p24 antigen tests.  
23 The amount of data documenting this fact is  
24 overwhelming. ABC members respectfully request  
25 that FDA eliminate the requirement for HIV p24

1 tests upon implementation of a licensed NAT test  
2 for HIV. In addition, ABC members request that  
3 individuals with more than one unconfirmed HIV-1  
4 p24 antigen test result, because those were samples  
5 that were taken in the course of follow-up, also be  
6 allowed to donate again.

7 ABC member are looking forward to simpler,  
8 more rational confirmatory algorithms. We believe  
9 that simplicity reduces opportunity for errors,  
10 leads to more effective compliance, and  
11 consequently increases the safety of the blood  
12 supply.

13 Thank you for the opportunity to comment.

14 DR. NELSON: Thanks, Celso.

15 DR. BIANCO: If there are any questions, I  
16 will be glad to answer them.

17 DR. NELSON: Questions or comments for  
18 Celso?

19 Next on the list is, and I haven't seen  
20 him, Dr. Lou Katz representing American Association  
21 of Blood Banks. Lou looks different today.

22 [Laughter.]

23 Kay Gregory will be--

24 MS. GREGORY: Yes. Obviously I am not Dr.  
25 Lou Katz, but unfortunately at the last minute he

1 was unable to make the meeting, and since I am his  
2 right-hand person for our TTD Committee, you can  
3 guess who he called and said, "Guess what you get  
4 to do?"

5           The American Association of Blood Banks is  
6 the professional society for over 8,000 individuals  
7 involved in blood banking and transfusion medicine,  
8 and represents 2,000 institutional members,  
9 including community blood collection centers,  
10 hospital based blood banks, and transfusion  
11 services, as they collect, process, distribute, and  
12 transfuse blood and blood components and  
13 hematopoietic stem cells. Our members are  
14 responsible for virtually all of the blood  
15 collected in this country, and more than 80 percent  
16 of the blood transfused. For over 50 years, the  
17 AABB's highest priority has been to maintain and  
18 enhance the safety and availability of the Nation's  
19 blood supply.

20           I would like to thank the agency and the  
21 committee for this opportunity to address them.

22           The greatest value of HIV and HCV reentry  
23 has always been the sense of closure or certainty  
24 they provide the donor to whom the difficult  
25 message of false positive test results has been



1 given. Nevertheless, it is apparent from a survey  
2 of the major blood collection organizations  
3 conducted in preparation for this meeting, that  
4 reentry of donors with false reactivity for these  
5 two agents, while permitted by the FDA, is not  
6 universally embraced.

7           As you have already heard, the American  
8 Red Cross does not engage in donor reentry, and in  
9 a survey of members of America's Blood Centers that  
10 had a 57 percent response rate, 63 percent of the  
11 centers reenter for HIV and 63 percent for HCV,  
12 representing 63 percent and 80 of the donations to  
13 responding centers, respectively. These two  
14 organizations, the Red Cross and the ABC members,  
15 reflect over 95 percent of the volunteer donor  
16 blood collected and distributed in the United  
17 States.

18           The reasons that reentry is not universal  
19 are fairly straightforward. The regulatory  
20 implications of a mistake are substantial, and most  
21 of the activity, as already noted, is performed  
22 manually; that is, there are no computer controls.  
23 The algorithms, both available and proposed, are  
24 cumbersome and expensive relative to the number of  
25 donors salvaged. In particular, access to some of

1 the assays required for reentry is perceived as  
2 limited by some centers.

3           Persistent false serological reactivity  
4 makes the yield of salvaged donors low. Our  
5 ability to counsel donors effectively and allay the  
6 fear provided by false positive tests has improved  
7 greatly over long years of extensive experience.  
8 We have now added NAT in minipools to our arsenal  
9 of tests, allowing further refinement of the  
10 messages that we provide to donors.

11           As you have heard at this and prior  
12 meetings, the specificity of the systems in use in  
13 the U.S. is admirable. At Dr. Katz's center, which  
14 draws about 60,000 donations annually, they have  
15 had a single false positive HCV PCR in over two  
16 years of screening, and no false HIVs.

17           The draft algorithms provided by the FDA  
18 continue the tradition of complicated approaches to  
19 reentry of donors with clinically irrelevant test  
20 reactivity. The requirement for an interim visit  
21 for repeat testing is an example. We would prefer  
22 that use of an independent aliquot, including  
23 residual plasma appropriately stored from the index  
24 donation, be explored as an acceptable sample.

25           This would allow required testing and more

1 rapid resolution of false NAT reactivity without a  
2 second visit by the donor, and can open the door to  
3 a testing algorithm similar to those in use for  
4 anti-core and anti-HTLV 1/2, wherein the donor is  
5 not notified of clinically irrelevant results until  
6 they arise a second time. The medical director of  
7 the collection facility could make a medical  
8 determination of the need for further immediate  
9 diagnostic testing on a case-by-case basis.

10 With regard to the specific questions  
11 posed to the committee, in Question 1 we are asked  
12 about an event that must be incredibly rare, if it  
13 has yet been observed. It posits the existence of  
14 a population of donations that are simultaneously  
15 HIV or HCV false positive in both the screening  
16 antibody assay and NAT. While we will be happy to  
17 have the flexibility to reevaluate such donors,  
18 it's not a priority compared to other issues.

19 Question 2 relates to NAT negative  
20 donations with unconfirmed indeterminate repeat  
21 reactive serology. With regard to HIV, there is a  
22 large body of historical data and experience that  
23 tells us these donors are uninfected, using  
24 appropriate criteria on immunoblotting and IFA.  
25 They must certainly be given an opportunity for a

1 simple reentry.

2           Where HCV is concerned, a small proportion  
3 of donors with isolated c33c may be infected, and  
4 here there is a need to use single donor NAT to  
5 exclude real infection. Under any circumstance, if  
6 resolution testing on the index donation is  
7 inconsistent with infection, we would ask the  
8 committee to consider if follow-up testing at the  
9 specified interval is allowable on a donation,  
10 rather than requiring an independent visit just for  
11 a sample.

12           Question 3 addresses the minimum time  
13 period prior to reentry. This may be different,  
14 depending on the screening assay used to identify  
15 the donor. It is apparent that for HIV screening  
16 serologies in use currently, the standard eight-  
17 week interdonation interval for whole blood would  
18 work.

19           Where HCV is concerned, and the Abbott HCV  
20 2.0 EIA is still widely used, there appear to be  
21 some individuals with delayed seroconversion and  
22 intermittent low-level viremia on NAT assays. The  
23 data on these donors will need careful scrutiny to  
24 select a minimum interval. This may not be an  
25 issue with EIA 3.0, nor with the PRISM

1 chemiluminescence assay, and the six months  
2 proposed in the draft algorithms would appear to be  
3 more than adequate.

4           Question No. 4 is fundamental to the  
5 relationship of collection facilities and donors.  
6 The answer is yes, this option must be available.  
7 With current and future testing algorithms as  
8 sensitive and specific as they are and will be, we  
9 need to be allowed, without complication, to take  
10 advantage of current licensed technology to provide  
11 closure to donors with aberrant test results. The  
12 ultimate closure is allowing the donor to return to  
13 the volunteer donor base.

14           Although not addressed in the algorithms  
15 proposed, we would like to see reentry of the  
16 substantial number of donors with repeatedly false  
17 positive and indeterminate  
18 HIV-1 p24 antigen reactivity, presuming the antigen  
19 test will no longer be required after licensure of  
20 NAT assays. We propose that donors historically  
21 deferred for repeatedly false reactivity with this  
22 marker be permitted to return for a donation, and  
23 reentry be allowed on the current test results,  
24 irrespective of the historical deferral.

25           A couple of smaller points we would

1 reiterate that have already been made. That is,  
2 you need to look carefully at the terminology that  
3 you are using, now that EIA and blot technologies  
4 are not the only mechanisms available for testing.

5 We appreciate the flexibility of the  
6 agency in providing an endangered species, the  
7 volunteer blood donors, simple reentry algorithms.

8 DR. NELSON: Any comments or questions  
9 from the committee?

10 Thank you.

11 The next person that has asked to speak,  
12 David Cavanaugh from The Committee of Ten Thousand.

13 MR. CAVANAUGH: Thank you, Dr. Nelson. I  
14 am Dave Cavanaugh, the government relations person  
15 for The Committee of Ten Thousand, and I am pleased  
16 to be able to be here. The Committee of Ten  
17 Thousand got its name from the fact that there were  
18 20,000 people with hemophilia in 1980, and  
19 approximately half of them--sorry, is that signal  
20 better?

21 The Committee of Ten Thousand, the name is  
22 from the fact that there were approximately 20,000  
23 people with hemophilia in 1980, and approximately  
24 50 percent of them contracted HIV from the  
25 antihemophilic factor, their medicine, basically.

1 We are not ones to use acronyms and present slides  
2 with data ranges, but we saw a few things already  
3 this morning we were a little concerned about, and  
4 I have about a total of five points to make.

5           The concern arises from hearing, as  
6 consumers of, recipients of potentially  
7 contaminated blood, terms like "bang for the buck,"  
8 terms like "probably not." I think there was--  
9 we're very glad that NAT exists. It has obviously  
10 raised the bar quite a lot, and we appreciate that,  
11 and we know that in the work of the research field,  
12 the product is a sound professional research paper.  
13 However, even then we cannot say that we're  
14 overjoyed to hear that the main job is to tell  
15 donors they're healthy.

16           We are a little concerned that there was a  
17 lot of discussion of NAT pools, matrix pools, but  
18 not an acknowledgement of the blood products side  
19 of things. Blood products are manufactured in  
20 pools ranging from 50,000 units to 250,000 units,  
21 and a contaminated unit contaminates the pool,  
22 unlike in the NAT testing where they are all nice  
23 and discrete. And so we are very chary about the  
24 manufacturing process.

25           When we hear about collection centers or

1 see a collection center, we know that sometimes  
2 you'll see pictures of grateful donors in hospital  
3 beds receiving a good unit, and that's important.  
4 That's a motivator. But they don't show a person  
5 infusing hemophilic factor at home. You know, all  
6 of the consumers of blood products are very  
7 frequent consumers. They are not getting one  
8 transfusion after one car accident, ever. And  
9 again, as you know, that is what has made us very  
10 much at risk.

11 So that is what I would like to say.  
12 Thank you.

13 DR. NELSON: The next person is Bob Marks  
14 from the Hemophilia Federation of America.

15 MR. MARKS: Good morning. I'm here  
16 speaking on behalf of the Hemophilia Federation of  
17 America, and also as a consumer of the blood  
18 products that you're speaking of at this point in  
19 time.

20 While I understand the concern over an  
21 individual who has been tested false positive being  
22 reassured that their test results come back  
23 negative, and then being informed of that  
24 information, I'd like to bring three points that I  
25 believe are very important, at least for myself and



1 my community, the first being a question:

2 Did the number of units to be returned to  
3 the blood pool from the country warrant the amount  
4 of risk of one contaminated unit?

5 The second question I have: Does the  
6 assurance of those tests with the false positives  
7 and informed to be negative, outweigh that risk of  
8 just that one unit?

9 And lastly, I think one of the things that  
10 everybody up here should be considering when they  
11 make their decisions in this area is, if just that  
12 one unit of blood comes through, we're talking  
13 about your mother, your father, your wife, your  
14 husband, your son, your daughter. And to sit there  
15 and to think, "It can't happen to me, it won't  
16 happen to me," I assure that my mother and father  
17 never thought that it would happen to them, and I  
18 assure you I never thought that it would happen to  
19 me.

20 So to talk in terms of probably, maybe, we  
21 think so, one unit of blood is all it takes, and I  
22 think that needs to be the overriding consideration  
23 here, that we're talking about human lives, and  
24 dollar signs don't come into this.

25 Thank you.

1 DR. NELSON: Thank you very much.

2 Dr. Sue Stramer wanted to also present a  
3 statement from the American Red Cross.

4 DR. STRAMER: Thank you. Mr. Chairman and  
5 members of the committee, the American Red Cross  
6 would like to thank the FDA for the opportunity to  
7 address the issue of the reentry of donors deferred  
8 because of HIV or HCV NAT or serological test  
9 results.

10 At the March meeting of the BPAC this  
11 year, I presented data on the types, frequencies,  
12 and causes of NAT false positive test results, and  
13 how the false positive results relate to donor and  
14 product management. Earlier today I presented data  
15 on the number of donors who test false positive for  
16 either HIV or HCV within the three FDA categories.  
17 Data were also presented supporting reentry of  
18 those donors that test seronegative but NAT falsely  
19 reactive, that is, Group 2, and those donors who  
20 are NAT negative but test falsely reactive in  
21 screening tests for HIV or HCV, that is, Group 3.

22 The Red Cross has submitted to FDA a NAT  
23 donor reentry algorithm and supporting data through  
24 our Investigational New Drug amendments submitted  
25 in January 2000 and in February 2001. We have not

1 yet initiated donor reentry for donors testing  
2 falsely reactive on NAT, pending a written response  
3 from FDA as requested in our IND amendments, or  
4 pending formal FDA guidance.

5 We believe donor reentry algorithms,  
6 whether for NAT false positive donors or serology  
7 false positive donors, should be simple so that  
8 maximum yield is achieved, while at the same time  
9 ensuring maximum safety to the blood supply. They  
10 should require a single follow-up sample from the  
11 donor to ensure that they are in fact test negative  
12 prior to the collection of a subsequent unit.

13 They should include an interval between  
14 the reactive index donation and the subsequent  
15 donation, including the test negative follow-up  
16 sample, of six months for HCV and 56 days for HIV.  
17 NAT and serology test negativity on the follow-up  
18 sample, followed by test negativity on the  
19 subsequent donation, constitutes two test points  
20 beyond the reactive index donation to confirm that  
21 the donor is truly negative. This addresses  
22 Question 3.

23 Not include a requirement for an HIV-2 NAT  
24 because of the low frequency of HIV-2 infected  
25 donors in the U.S., less than 1 per 29 million

1 donations, and the low priority test manufacturers  
2 have given to HIV-2 NAT detection. Importantly,  
3 four HIV-2 infected donors detected by the Red  
4 Cross since June 1992 have been identified by  
5 current HIV antibody screening assays and the HIV  
6 Western Blot. It should be noted that in the last  
7 version of the FDA proposed algorithms, this  
8 requirement has been deleted. I just wanted to  
9 mention it for emphasis.

10           The algorithms should not consider reentry  
11 of donors with NAT reactive and antibody repeat  
12 reactive test results, even if unconfirmed. The  
13 yield for this category of donors is very small,  
14 approximately 105 donors annually for Red Cross,  
15 and the risks are higher for infection in donors  
16 who test reactive by two independent test methods.  
17 This addresses Question 1.

18           Include reentry for donors who test  
19 serologically negative but NAT falsely reactive,  
20 provided that these donors test negative for both  
21 NAT and serology on a follow-up sample and negative  
22 upon subsequent donation. Include reentry for  
23 donors who test NAT negative but seroreactive  
24 unconfirmed for HIV or HCV, provided that these  
25 donors test negative for both NAT and serology on a

1 follow-up sample, and negative again upon  
2 subsequent donation. That's the response to  
3 Question 2.

4           Regarding Question 4, for the purposes of  
5 reentry, not continue follow-up of a donor with a  
6 NAT negative test result when that donor is  
7 persistently HIV or HCV repeatedly reactive.  
8 Published data on such donors indicate that these  
9 individuals maintain persistent antibody reactivity  
10 over long periods of time.

11           The Red Cross believes these  
12 recommendations are prudent actions that should be  
13 taken to enhance the blood supply and the patients  
14 we serve, while at the same time allowing for  
15 reentry of donors who have tested falsely reactive  
16 by either NAT or serology. Thank you.

17           DR. NELSON: Thank you very much.

18           Questions? Sue, could you stay there a  
19 second? Ray?

20           **QUESTIONS FOR THE COMMITTEE AND VOTES**

21           DR. KOFF: What is your suggested interval  
22 between the follow-up sample and subsequent  
23 donation? Does it matter?

24           DR. STRAMER: No, I don't believe it  
25 matters, because the false positive, as every

1 single speaker has shown, is really an artifact of  
2 an assay contamination event. An independent  
3 sample, actually probably even taken at the same  
4 time from plasma, probably would serve the same  
5 purpose, but the reason--the intermittent viremia  
6 would be the only cause for concern, because two  
7 samples, be it a follow-up sample and then the  
8 subsequent donation going over that six-month  
9 period of time, really gives three then independent  
10 test points to assess whether the donor is truly  
11 HCV reactive or not.

12 DR. NELSON: Blaine, you had a comment?

13 DR. HOLLINGER: Yes. On the other hand,  
14 Sue, I agree that for a false positive test it  
15 doesn't matter. He could come back the next day or  
16 a couple days later. But if you're looking for a  
17 resolution of something that may be occurring over  
18 time, in terms of the education then of that donor,  
19 then the time interval I think becomes--at least to  
20 me would seem to be more important, to try to  
21 establish an actual infection or something else  
22 going on. I mean, as a clinician it would be  
23 critical to have that piece of information.

24 DR. STRAMER: But it's really an arbitrary  
25 time period when we take the follow-up sample,

1 because then if we wait the full six months for the  
2 subsequent donation, at least we would have had the  
3 index, a follow-up, and subsequent donation as  
4 three independent test measurements.

5 DR. HOLLINGER: Right.

6 DR. NELSON: Others? Okay, thanks very  
7 much.

8 I will tell everyone my goal. My goal is  
9 that we could vote on these four questions within  
10 the next half hour, and hopefully we'll be able to  
11 do that, because the afternoon is fairly heavy and  
12 there are reams of people that want to make  
13 statements.

14 So, Paul, could you--maybe we could  
15 consider Question 1. I think we have to vote on  
16 these questions separately for the two agents, HIV  
17 and hepatitis C, but I think for Question 1 we  
18 could present them together and then vote  
19 separately, because I think they are perhaps more  
20 lumpable than the other four questions.

21 DR. MIED: You're saying to present  
22 Questions 1 and 5?

23 DR. NELSON: No, no, no. I would say 1  
24 for HIV and 1 for HCV, present together. We could  
25 vote on that, and then we could decide whether we

1 need to separate the other questions.

2 DR. MIED: Yes, we'll do that.

3 Question 1, which pertains to HIV reentry:

4 Is it useful to consider reentry for donors in  
5 Group 1 with NAT positive, anti-HIV-1/2 EIA  
6 repeatedly reactive, HIV-1 Western Blot or IFA  
7 indeterminate or negative results? Again, this is  
8 the numerically small Group 1 set of donors.

9 DR. NELSON: Okay, discussion? Yes?

10 DR. FITZPATRICK: Could you do me a favor,  
11 because there's been a lot of discussion about  
12 multiplex and positive pool and resolution of  
13 indeterminates. Could you define what FDA's  
14 definition of NAT positive in this question is?

15 DR. MIED: A NAT positive in this case  
16 would be a positive result that was obtained on the  
17 master pool and then was found to be positive, an  
18 individual donation was found to be NAT positive.

19 DR. NELSON: For the committee, or those  
20 who weren't here, we voted on this last time, that  
21 if there was a pool that could not be resolved  
22 either in the subpool or particularly the  
23 individual sample, it was regarded as a  
24 contamination event.

25 DR. MIED: Correct.



1 DR. NELSON: But this one that's not a  
2 contamination event by that definition.

3 DR. MIED: Right. We do have a NAT  
4 positive result on an individual donation here. If  
5 a supplemental NAT test was done, if it was done,  
6 it needs to be negative to consider the donor for  
7 reentry. And we're not differentiating here, when  
8 we talk about a NAT positive individual donation,  
9 we're not differentiating between a discriminated  
10 NAT result and a non-discriminated NAT result, so  
11 we just have a NAT positive result on the  
12 individual donation.

13 DR. NELSON: Okay. Are there any other  
14 comments? Are we ready to vote on this one? Yes,  
15 Toby?

16 DR. SIMON: Did you want to vote on this  
17 one and the HCV one together, then? That's what  
18 you said earlier.

19 DR. NELSON: Well, why don't--yes,  
20 together, but let's do them separately and  
21 separately. Together but separately, if you know  
22 what I mean.

23 DR. SIMON: Yes. There seems to be  
24 little--there seems to be consensus that there's  
25 little reason to vote yes on this, from what I

1 heard.

2 DR. NELSON: Right. Okay. So a "yes"  
3 vote would mean it's useful, and a "no" vote would  
4 mean it's not useful. So how many would vote yes  
5 on this question?

6 [A show of hands.]

7 DR. NELSON: How many would vote no?

8 [A show of hands.]

9 DR. NELSON: How many would vote  
10 indeterminate or undecided?

11 [Laughter.]

12 DR. NELSON: The consumer representative?

13 MS. KNOWLES: No.

14 DR. NELSON: The industry representative?

15 DR. SIMON: No.

16 DR. NELSON: Okay.

17 DR. SMALLWOOD: Results of voting on  
18 Question 1: There are 15 eligible to vote on this  
19 question. There was one "yes" vote, 14 "no" votes,  
20 no abstentions. The consumer representative agreed  
21 with the "no" vote, and so did the industry  
22 representative.

23 DR. MIED: Question 5. Question 5 is a  
24 similar question for HCV: Is it useful to consider  
25 reentry for donors in Group 1 with NAT positive,

1 anti-HCV EIA repeatedly reactive, RIBA  
2 indeterminate or negative results? And here again,  
3 here is the numerically small Group 1 subset of  
4 donors that this question pertains to.

5 DR. NELSON: Okay. Are there any comments  
6 or discussion about this? Blaine?

7 DR. HOLLINGER: I sort of, just as an  
8 issue, you know, I think what has been mentioned  
9 here for many of the speakers has been the  
10 complexity of these issues. It seems just  
11 relatively simple to me, and maybe I'm wrong here  
12 but I'll throw it out for just discussion among the  
13 group, if there is some discussion, is that if  
14 you've got anything that's positive, things like  
15 this, the patient, the person comes back, the donor  
16 comes back, say three months for HIV, six months  
17 for HCV, at least, at least that time period, and  
18 it's repeated.

19 If they are NAT negative and antibody  
20 negative, then they could be reentered. Anything  
21 other than that, they don't. I mean, that to me is  
22 how I view most of these questions here, is  
23 anything outside of that makes it difficult for  
24 them to be brought back into the system. But if  
25 they're negative for those two, then to me that

1 becomes an issue that these were false positives.

2 DR. NELSON: Yes. It's certainly  
3 conceivable, and happens in the 12 million donors  
4 or whatever, that there could be a sample mix-up  
5 and, you know, Joe Jones is not really Joe Jones'  
6 sample, and it could be positive on both NAT and  
7 ELISA.

8 The other thing that I think is a little  
9 more complicated here is that with the antibody  
10 testing for hepatitis C, we've seen data that  
11 looks, the second generation and certainly the  
12 first, but no blood banks are testing with the  
13 first but there are many testing with the second  
14 generation. The third generation narrows the  
15 window period, but some data that we did in the FAC  
16 study also suggests that the third generation may  
17 be more specific, and that there may be false  
18 positives on the second generation that aren't  
19 positive on the third generation.

20 So I wonder if we should specify not only  
21 just "a licensed assay," but should we specify a  
22 third generation EIA or test of equivalent  
23 sensitivity? Does the FDA have any response to  
24 that suggestion?

25 DR. MIED: I mean, we'll certainly

1 consider that. The data is certainly striking on  
2 the usefulness of the EIA 3.0 relative to the EIA  
3 2.0 when reentering donors.

4 DR. NELSON: Right, but yet I guess there  
5 are blood banks that are still using the--it says a  
6 licensed test, so an EIA 2.0 result would be  
7 considered equivalent in terms of the FDA  
8 regulations. Is that right, Toby?

9 DR. SIMON: Right. Yes, a 2.0, for those  
10 who are using the Abbott system at this point, they  
11 wouldn't have a choice. That's what they would be  
12 using. So a large part of the country would be  
13 using it until, as was commented, the new PRISM is  
14 licensed.

15 I was just going to comment further that  
16 in terms of your question about the sample mix-up,  
17 ordinarily the RIBA would be sent from the same  
18 sample, so if there was sample mix-up, you would  
19 expect the RIBA to be positive, in other words, if  
20 you had a true positive that you mixed up.

21 DR. NELSON: Yes, a good point.

22 DR. SIMON: So I think there's a lot to be  
23 said for Dr. Hollinger's approach, to ask the FDA,  
24 as some of the speakers have suggested, to look at  
25 simplifying some of these algorithms. But I think

1 given the status of where we are now and the  
2 questions we have here, I think with this question  
3 we are faced, as we were before, with very little  
4 reason to believe--it's going to be a very rare  
5 situation and I think probably not useful to have  
6 this algorithm available.

7 DR. NELSON: Yes. I think this is a place  
8 where the blood banking issues, in terms of adding  
9 new donors, etcetera, and the individual donor's  
10 interests are perhaps somewhat different. Any  
11 donor who tests positive for both NAT and ELISA for  
12 either HCV or HIV, he must be followed and he must  
13 be retested. But the issue is, does the blood bank  
14 have to do that, and if so, at what interval? And  
15 I don't know how the FDA deals with this, but the  
16 issues now are discussing what is a blood bank  
17 algorithm, essentially.

18 Yes?

19 MR. TABOR: Yes. I don't know whether  
20 you're going to follow up on your last comment  
21 about the EIA 3.0, but I'd like to caution you  
22 about using the term "third generation" if you do  
23 follow up on that, and just refer you to the  
24 discussion this committee had when that test was  
25 discussed a couple of years ago.

1 DR. NELSON: Yes, Paul?

2 DR. SCHMIDT: I would like to confirm that  
3 there is no hidden agenda. Is all of this optional  
4 for the blood center to do? Is this continued,  
5 that this is the way the FDA would accept but would  
6 not require people to go through all of this?

7 DR. MIED: It would not be required,  
8 that's true. It would remain optional.

9 DR. NELSON: It would be regarded as  
10 acceptable, and not to be followed up by a court  
11 summons, no.

12 All right. Are we ready to vote on this?  
13 So, again, a "yes" vote means that a person could  
14 be considered to be reentered; a "no" vote means  
15 no. Those voting yes?

16 [A show of hands.]

17 DR. NELSON: Okay. "No" votes?

18 [A show of hands.]

19 DR. NELSON: Uncertain? Indeterminate?

20 No?

21 Consumer rep?

22 MS. KNOWLES: No.

23 DR. NELSON: Industry?

24 DR. SIMON: No.

25 DR. SMALLWOOD: Results of voting on this

1 question is, there is one "yes" vote, 14 "no"  
2 votes, no abstentions. Both the consumer and  
3 industry representative agreed with the "no" votes.

4 DR. NELSON: Well, let's move to Question  
5 2 in the HIV algorithm. which is, should reentry be  
6 considered for donors who are NAT negative, anti-  
7 HIV-1/2 EIA repeat reactive, and Western Blot  
8 indeterminate--

9 DR. MIED: With viral bands.

10 DR. NELSON: --with viral bands present?

11 DR. NELSON: Yes. These are donors in  
12 Group 3. There's a subset of donors in Group 3 who  
13 are indeterminate with viral bands.

14 DR. NELSON: I have one question about  
15 this. We have a study at Hopkins, and there are  
16 about seven or eight centers in the United States  
17 that are trying to identify people early after  
18 infection, to try to see if they can be treated and  
19 the immune response be preserve so that they become  
20 long-term nonprogressors, and we would welcome any  
21 blood bank who finds such a person that is NAT  
22 positive prior to--I guess it doesn't--we would  
23 look at NAT positive, but this is NAT negative, so  
24 perhaps it doesn't.

25 But I wonder if the blood bank would ask



1 whether or not this person might have gone to see a  
2 physician or somebody after receiving this notice  
3 from the blood bank, and be put on antiretroviral  
4 therapy, in which case a person might be antibody  
5 positive and NAT negative. And I assume that the  
6 blood bank would take this history, but this is  
7 something of a complication in present day. With  
8 HART therapy a donor could be NAT negative and EIA  
9 positive.

10           Toby, is that--I mean, I assume that this  
11 is an individual--you know, that there would be a  
12 detailed interview and what have you.

13           DR. SIMON: Yes. I mean, the interview  
14 should certainly pick up that the individual is  
15 under medical care and is taking this type of  
16 medication, so we would not anticipate this type of  
17 donor showing up.

18           I think this case, this instance really  
19 goes back to what the committee considered before  
20 several years ago and voted, as I believe I'm  
21 correct, in favor of allowing reentry for Western  
22 Blot indeterminates.

23           DR. NELSON: Right.

24           DR. SIMON: And it simply says now with  
25 NAT we have even more support for that position,

1 because they are NAT negative. So this would seem  
2 to be to be the obvious case where we will have a  
3 pick-up, and that's your 14,000, correct, in this  
4 group? So we're talking now about a not  
5 unsubstantial number of donors in the United States  
6 who could help with the current blood shortage, as  
7 well as a group of people who are going to be  
8 saddled with some indeterminate result who don't  
9 need to be, because we have the NAT result.

10 DR. NELSON: Right.

11 DR. SIMON: So I would think it would be  
12 strongly favorable to move ahead to reenter these  
13 individuals.

14 DR. NELSON: And this presumes a repeat  
15 test after an interval, which was proposed to be 56  
16 days.

17 DR. SIMON: Yes, you would have to go  
18 through--

19 DR. NELSON: And the issue is, is there a  
20 test and then six months later a donation at the  
21 time of reentry, where there is another test?  
22 That's one possible scenario.

23 Yes, John?

24 DR. BOYLE: Particularly in light of the  
25 comments by some of the consumer groups, blood

1 users, I think it's important before we vote on  
2 this to sort of quickly review some elements in the  
3 bidding here.

4 HCV and HIV we know can be transmitted by  
5 blood and blood products. The data presented here  
6 was very compelling that the risk of false  
7 negatives on NAT is quite low, but it also said  
8 that it is not zero, particularly in terms of  
9 plasma products where pooling dramatically  
10 increases the consequences of an infected unit  
11 getting into the blood. On the other hand,  
12 inactivation reduces the risk. On the other hand,  
13 GMP failures that we're told about increases it  
14 again. So if you want to follow the math, if you  
15 take apples and multiply them by oranges and divide  
16 by bananas, you've got a sense of the risk.

17 And against all of this, what we were told  
18 is that we're not going to retrieve 14,000 donors.  
19 What we're hearing is, relatively few of those  
20 people who would be allowed reentry are probably  
21 going to donate. The primary value, we have heard,  
22 is the reassurance of the donors who have positive  
23 results that, with follow-up, that it is either  
24 clinically not serious or we've got an error.

25 To put it in perspective, at the same time

1 we're talking about this, we also have a European  
2 deferral, and we don't know about the transmission,  
3 there is no test for it, there is a major loss of  
4 donation, and I'm curious what people are told who  
5 have spent a year in France in school. Are they  
6 told that, you know, they are at unknown and  
7 permanent risk for Jakob-Creutzfeldt disease?

8           So, I mean, just to put it in perspective,  
9 if you haven't guessed, I'm going to vote no, and  
10 I'll pass.

11           DR. NELSON: Okay. Other comments? Pat?

12           DR. CHARACHE: Most of this group with the  
13 indeterminate Western Blot are going to have the  
14 same pattern. Maybe it's p24 only or something of  
15 this kind. And I wonder if there should be  
16 consideration to this fact. And this reentry  
17 group, certainly if they are indeterminate, doesn't  
18 change over time, and that point was made in  
19 discussion. It's very strong evidence that it's a  
20 cross-reaction.

21           DR. NELSON: Right. These are not only  
22 indeterminate Western Blot but they are EIA repeat  
23 reactives.

24           DR. CHARACHE: Right.

25           DR. NELSON: You know, when we went to

1 doing Western Blots on everybody, we found that a  
2 lot of people have Western Blot--

3 DR. HOLLINGER: And along those same  
4 lines, John, I think that the fact that they are  
5 EIA repeat reactive keeps their blood from being  
6 administered.

7 DR. SIMON: Yes. I think that's a point.  
8 They would have to qualify on the follow-up sample,  
9 and any positive would not be used.

10 I'd just like to make a comment about the  
11 plasma industry, since I've gotten some attention.  
12 I think Dr. Busch's answer was essentially correct,  
13 that it's unlikely that much of the plasma industry  
14 would use the reentry protocol, but this is a  
15 company-by-company decision. If the FDA were to  
16 approve this, there would be such an option, and I  
17 think the industry stand basically is positive  
18 about having appropriate reentry protocols go  
19 forward and then being able to make its own  
20 decisions.

21 There are some specialized donors who have  
22 particular use, who have been reentered by the  
23 plasma industry in the past because of special  
24 needs for those individuals. But I think it  
25 becomes--this all is a very complex ethical, blood

1 supply, medical kind of question, but for those of  
2 us who have dealt with donors over time it has  
3 become a serious problem in terms of what we tell  
4 donors and the way we leave them, and the way the  
5 blood center or the plasma donor center appears to  
6 the community, as somebody who doesn't know what's  
7 going on, who can't seem to follow through with the  
8 testing and the information they have.

9           So I think this would be a step forward.  
10 I agree it's not going to be a huge step forward.  
11 I think, however, as we've heard the Red Cross,  
12 when it might now start to do this, we might begin  
13 to see, at least on the whole blood and  
14 plateletpheresis side, a fair number of donors  
15 reentered.

16           And just a small point that never gets--  
17 that I don't think gets brought up in these  
18 discussions. There are donors and then there are  
19 donors. There's the donor who is the base  
20 commander or the minister of the church, who when  
21 lost may impact on that donor group. There's the O  
22 neg, CMV neg, who comes in every eight weeks for  
23 infants. There's the plateletpheresis donor who is  
24 CMV negative. So there are particular critical  
25 donors that, if they could be reentered, would be

1 very helpful to the blood program.

2 DR. NELSON: Mike?

3 DR. BUSCH: Just two comments. With  
4 respect to the reentry potential, and not speaking  
5 to the British and European deferral, which I think  
6 we all are very uncomfortable with, part of the  
7 issue will be, as we begin to notify these donors  
8 more reassuringly that there is a reentry option,  
9 that they were negative by NAT initially, I think  
10 many more will be interested in reentry.

11 Because the historical data you're hearing  
12 are donors who were deferred with a mixed message,  
13 that there's no reentry program. Then we come to  
14 them years later and say, "Do you want to be  
15 reentered?" And by then they're so ticked off. So  
16 I think we're changing the message, now that we  
17 have NAT to give these donors, and I'm optimistic  
18 the reentry will be greater.

19 In terms of these indeterminate bands,  
20 there has been extensive follow-up studies on  
21 donors with viral bands that has shown that they  
22 are almost universally not infected. There has  
23 been large studies that have looked at other virus  
24 cross-reactivity, at amplified reverse  
25 transcriptase, and these donors are not infected

1 with any other viruses. They are just nonspecific  
2 noise, and even though many will have persistent  
3 bands on Western Blot, many will revert on the  
4 EIAs, particularly as we have moved to generations  
5 of improved EIAs, and would be reenterable because  
6 we are not requiring a repeat Western Blot.

7           And probably the most convincing data is  
8 the study Harvey Alter did many years ago, where  
9 they went back and did Western Blots on units that  
10 had been transfused, and as Ken said, 20 percent of  
11 these donors had viral bands. None of the  
12 recipients developed any viral bands, so these are  
13 non-transmissible phenomena that have nothing to do  
14 with any virus.

15           DR. NELSON: My only concern, that has  
16 been addressed a little bit, is the genetic  
17 variation in recombination of HIV viruses around  
18 the world. I just came back from Russia, and they  
19 have got every conceivable virus, even those that  
20 haven't yet been described, in some populations  
21 there. And as the viruses recombine, I can see a  
22 possibility that you might get a negative NAT  
23 assay, but in a whole virus ELISA you might get  
24 positive. Now, hopefully the RIBA would also not  
25 be indeterminate but positive.



1           But it's a lingering concern. I think  
2 like anything, though, we have to monitor it. It  
3 hasn't happened yet. There is no data indicating  
4 that it's a current concern, but theoretically,  
5 yes.

6           DR. McCURDY: I'm a little bit concerned  
7 about--I'm not concerned about the Western Blot but  
8 I am concerned about the repeat reactive EIA, and  
9 if the donor comes back in eight weeks or six  
10 months or something like that and tests negative,  
11 you now have one vote positive and one vote  
12 negative, and I think I might be a little bit more  
13 comfortable if there were a third test before you  
14 reentered him.

15           DR. NELSON: That's an option, because we  
16 talked about 56 days and six months before reentry,  
17 and that's Question No. 3. We haven't gotten there  
18 yet.

19           DR. McCURDY: The other thing is that  
20 there is, I think, a considerable distinction  
21 between the use of a laboratory test to screen  
22 donors and prevent transmission of disease, and the  
23 use of a laboratory test in clinical medicine, and  
24 one's response to whether it is positive or  
25 negative and your determination as to whether the

1 individual is infected or not is a great deal  
2 different if you're worried about an individual  
3 donation that's going to go to patients. And I  
4 think there's ample evidence that we are not happy  
5 with 1 in 500,000 transmissions or even 1 in a  
6 million transmissions. So I think you have to  
7 distinguish between how you deal with donors.  
8 Clinical medicine has been for years replete with  
9 uncertainty.

10 DR. NELSON: Mary?

11 DR. CHAMBERLAND: A couple of things. One  
12 is, just to follow up some of John's comments and  
13 concerns, I just--I guess I wanted to make sure I  
14 understand how this vote for the Question No. 2  
15 works and the implication of a vote.

16 We are being asked, for Group 3, should  
17 they be considered for reentry. And as I follow  
18 Paul down the algorithm here, if we vote yes, that  
19 they should be considered for reentry, then there's  
20 a couple of possibilities. Well, there's four  
21 possibilities. But I think the expectation is that  
22 many of these people are going to remain NAT  
23 negative, and as Mike said, you know, if they  
24 revert, their EIA reverts to negative, then in fact  
25 they will be able to be reentered.

1           So reentry is for sure only going to  
2 occur--we have to vote--there is a separate  
3 question, Question 4, for people who remain EIA  
4 repeat reactive, but two things: One is, if we  
5 vote for Group 3 to be considered for reentry,  
6 they're going to be tested at, even though some  
7 people are unhappy about this, the proposal on the  
8 algorithm is that there is an interval of eight  
9 weeks, a follow-up sample, and then in point of  
10 fact if they are NAT negative and EIA 1.0 and 2.0  
11 negative, then they can be reentered, and in point  
12 of fact they would be tested a third time at the  
13 time of donation, and that would address the  
14 tiebreaker situation that Paul McCurdy raised.

15           I want to make sure, do I have that  
16 correct, and does that make you--would that impact  
17 on some of your earlier comments, John?

18           DR. BOYLE: What you've described is  
19 different than what's put up there. What appears  
20 there with the slashes would suggest an "or". If  
21 in point of fact we're talking about "ands" it  
22 obviously would increase my comfort level. It's  
23 not clear from the box or from the other that we're  
24 talking about you need both or you need either.

25           DR. CHAMBERLAND: Well, I think what you

1 have to do--what I'm finding hopefully helpful is  
2 that where Question 2 applies to on the algorithm  
3 is early up, so it's not down here. It's like  
4 should you allow these people to proceed to a  
5 follow-up test, and then if that's negative and  
6 they're eligible for reentry, they show up again  
7 for donation.

8 DR. MIED: That's correct. Yes, what  
9 we're talking about here is a subset of this  
10 indeterminate group--

11 DR. CHAMBERLAND: Right.

12 DR. MIED: --just with the viral bands  
13 present. We're not considering the indeterminate  
14 group as a whole, just viral bands present.

15 DR. CHAMBERLAND: But it's not saying that  
16 these people automatically are eligible to be  
17 reentered if they remain--if they continue to have  
18 an indeterminate Western Blot pattern. That's  
19 Question 4.

20 DR. FITZPATRICK: Or if they continue to  
21 be repeat reactive EIA. This is just, should they  
22 be evaluated for reentry, right?

23 DR. NELSON: Yes. On the follow-up  
24 sample, both the EIA and the NAT must be negative.

25 DR. CHAMBERLAND: Exactly.

1 DR. NELSON: But if the EIA is negative,  
2 they are not tested for a Western Blot.

3 DR. CHAMBERLAND: Exactly. Agree, agree.

4 DR. NELSON: But then they have to--but  
5 that's a sort of a resolution or screening assay,  
6 and then when they come in and if they elect or if  
7 they decide to reenter, they--then that unit is  
8 tested again for--

9 DR. CHAMBERLAND: Right. My concern was,  
10 and maybe I misunderstood John's comments and some  
11 of the consumer comments. I thought what I heard  
12 was an indication that you thought people would be  
13 eligible for reentry if they persisted in being--

14 DR. NELSON: EIA positive?

15 DR. CHAMBERLAND: --either EIA repeat  
16 reactive or have the Western--

17 DR. NELSON: No, if they're EIA positive--  
18 you know, probably most of these are contamination  
19 or mix-up of the original sample. That's what we  
20 think, and the data tend to show that.

21 DR. BOYLE: Excuse me. What I was hearing  
22 was that upon retesting, a single NAT negative  
23 would reenter you, and we heard evidence--

24 DR. NELSON: NAT plus EIA negative. It  
25 has to be NAT plus EIA negative.

1 MS. KNOWLES: Ken, can I make a comment,  
2 please?

3 DR. NELSON: Sure.

4 MS. KNOWLES: I think there are several of  
5 us here on this committee who have been here for a  
6 couple of years, and we know from past experiences  
7 that one--there has been another example with  
8 another algorithm where we requested clarification  
9 of it a few times and asked that it be reworked,  
10 and perhaps maybe that is something to consider.  
11 Certainly some of the other comments from some of  
12 the speakers, like Bianco mentioned that, maybe  
13 that's something we need to think about for the  
14 rest of this piece.

15 DR. NELSON: How would you revise this  
16 algorithm?

17 DR. BIANCO: I think that the way I would  
18 revise that, I would love to see the resolution of  
19 the questions that we have here today, I think that  
20 both FDA and us, because then we know the  
21 direction. I think that is an evolving process,  
22 and I hope that we will consider simpler systems.  
23 Even Blaine wants a simpler system.

24 [Laughter.]

25 DR. NELSON: Well, we're going to vote on

1 it, so depending on who wins the vote, you'll have  
2 it. Yes?

3 MR. RICE: I just wanted to support John's  
4 comments earlier. While I see a great need for us  
5 to resolve the issue, particularly for the donor in  
6 these cases, where in most cases if not all cases  
7 they're turning out to be healthy individuals, the  
8 thing that's of great concern to me as a user of  
9 the blood products is no so much  
10 --it's mitigated due to the inactivation processes,  
11 but what really just constantly seems to raise its  
12 head as a concern is the failure of GMP and SOPs  
13 with regards to the processing of the pooled  
14 products. And I think that comments made earlier  
15 from the audience, that's really my--you know, I'm  
16 wondering, can I truly rely on deficiencies to be  
17 corrected in a timely manner?

18 DR. NELSON: Marion? Oh, Jay, can you--  
19 Marion, do you want to address this issue or--

20 DR. KOERPER: No, let Jay go first.

21 DR. EPSTEIN: I want to come back to the  
22 issue of, is this really complex or not, because I  
23 think that there is an apparent complexity because  
24 we've been considering all the ways that a donor  
25 might test initially and stratifying them and

1 debating whether they should be eligible for  
2 reentry consideration.

3           But the reentry criterion is simple. All  
4 we're saying is, you come back and you have to have  
5 negative EIA, negative NAT. I mean, it doesn't get  
6 simpler than that. The logistic issues are whether  
7 it should be possible to do that on an independent  
8 sample from the original collection, or you need to  
9 have a follow-up sample after waiting a period of  
10 time, or whether you can waive that entirely and  
11 simply redonate, because if you redonate, of course  
12 you'll be screened with EIA and NAT.

13           Now, what the FDA is basically saying is,  
14 we'd rather have a system in which you have an off-  
15 line test before you donate another unit. And why  
16 do we say that? We say that because a large  
17 proportion of attempts at reentry will not succeed,  
18 and if you allow that to be a collection, you've  
19 collected an unsuitable unit, so we'd rather that  
20 that unit wasn't collected in the first place.

21           And then the second issue comes back to  
22 Paul McCurdy's point, which is that if you were to  
23 simply requalify based on a second set of tests  
24 which are negative, there is no tiebreaker. I  
25 mean, which of the two results should you believe?



1           And so we've really introduced into this  
2 algorithm two principles. One is that it always  
3 involves the tiebreaker, in other words, you have  
4 two negative tests following the reactive test.  
5 And the second is, you have waited long enough to  
6 have confidence in the test result, because that  
7 gets you past all the periods of time where results  
8 might be changing because of intermittent viremias,  
9 because of the seroconversion process.

10           So I would contend that this is in fact a  
11 simple algorithm. Now, I'm not saying the  
12 logistics are easy, but the criterion is simple,  
13 and it's simpler than many of the things that were  
14 done in the past because we're attempting to  
15 eliminate stratification based on the blot pattern;  
16 we've eliminated retesting with the blot, which  
17 added a lot of complexity, right, and also a bias  
18 because we know there's a high indeterminacy rate  
19 of the blot on uninfected people; and we've  
20 attempted to us what we felt were the minimum time  
21 intervals for retesting that could be used  
22 regardless of the test chosen.

23           This comes back to the HCV EIA 2.0 versus  
24 EIA 3.0 issue. Yes, if you used EIA 3.0, maybe you  
25 could have a shorter interval than six months, but

1 we're not mandating EIA 3.0, so we want an  
2 algorithm that will work either way.

3           So I would contend that this is in fact a  
4 simple algorithm, and that the appearance of  
5 complexity really is due to the fact that we've  
6 tried to stratify all the cases to figure out who  
7 might be eligible, but the algorithm itself is  
8 simple. It's a NAT test and it's an EIA.

9           Now, there is one other level of  
10 complexity, which is what happens if you switch  
11 tests? Because there's this notion that if you  
12 switch tests, because tests, while they may be  
13 equivalently sensitive and specific, are not  
14 identical, we want to be very, very sure that you  
15 haven't overlooked the sensitivity where one test  
16 may differ from another. And that's where all the  
17 footnotes come in saying that if you switch say  
18 EIAs, you want to be sure that the one you're  
19 coming back with is no less sensitive for HIV 1  
20 Group O or for HIV 2. Or if you switch NAT tests,  
21 it should be no less sensitive for M variants or  
22 Group O.

23           So that is an added level of complexity,  
24 but operationally for the most part it's the same  
25 set of tests that are going to be used. So once

1 again there is the appearance of complexity, but  
2 that doesn't happen very often.

3           So, you know. I'm not going to pretend  
4 that the system as a whole is as simple as it might  
5 be, because as I said, the simplest thing of all  
6 would be, you simply allow the donor to redonate  
7 without prejudice, they simply get rejected each  
8 time. But we just don't think that that's the most  
9 cautious way to proceed. Nothing would be simpler  
10 than that, whereas if you got rejected once or  
11 deferred once, you know, if you were retested  
12 without prejudice, it would just mean that there  
13 was no meaningful deferral.

14           So if deferral is going to be meaningful,  
15 if the idea is that once deferred, you need to be  
16 extra special sure that there really is no  
17 infection in the donor, then you have to do  
18 something intermediate, and the question is what.  
19 And I contend that what's being proposed here as  
20 intermediate testing is in fact simple.

21           DR. NELSON: Okay. Thanks, Jay.

22           Marion, did you want to say something?

23           DR. KOERPER: Apparently this is a very  
24 simple thing, but I'm wondering if it might help to  
25 clear up some ambiguity. If you could--well, here

1 for instance, where you have NAT negative, slash,  
2 if you could put the word "and" HIV-1/2 EIA repeat  
3 reactive, and then a slash, put the word "and" HIV  
4 Western Blot indeterminate, because I think that's  
5 a source of some of the confusion, that some people  
6 are interpreting the slash as "or" rather than  
7 "and".

8           And then also on the second part, after  
9 the second, could you go back to that diagram? No,  
10 the one that has the after eight weeks what you do.

11           DR. MIED: Yes. I don't have that slide  
12 in this set of slides.

13           DR. KOERPER: Okay. Well, then, after  
14 eight weeks when you retest, there is a chart  
15 across the bottom, and it says--the one that we're  
16 concerned about is the one that says "NAT negative,  
17 anti-HIV-1/2 negative". If we could put an "and"--

18           DR. MIED: Yes, I do have that.

19           DR. KOERPER: Yes, again, where you have  
20 the NAT negative, slash, EIA negative, if you could  
21 put an "and" there.

22           DR. MIED: Yes.

23           DR. KOERPER: So it's clear that the  
24 slashes mean "and", not "or".

25           DR. MIED: Not "or". Yes, it means "and".

1 DR. NELSON: Could we vote on this? Yes,  
2 Mary?

3 DR. CHAMBERLAND: Paul, I have a question  
4 about Footnote No. 2. This is like a very  
5 different question. Footnote No. 2 under Group 3  
6 there says, "If a different licensed HIV-2 EIA is  
7 negative, or if repeat reactive, an optional HIV-2  
8 supplemental test is indeterminate or negative."  
9 Does it--is it a concern? There are currently no  
10 licensed HIV-2 supplemental tests. Is that  
11 correct?

12 DR. MIED: That's correct. That's  
13 correct.

14 DR. CHAMBERLAND: So how would this  
15 happen?

16 DR. MIED: What we're talking about here  
17 is qualification of the donor to be in Group 1 or  
18 Group 3. If you have an indeterminate or a  
19 negative supplemental test for HIV-1, you haven't  
20 ruled out HIV-2 infection.

21 DR. CHAMBERLAND: Right.

22 DR. MIED: So you need to at least run an  
23 EIA for HIV-2.

24 DR. CHAMBERLAND: Right.

25 DR. MIED: And what we're saying here is,

1 for a donor to be in Group 1 or Group 3, that HIV-2  
2 EIA needs to be negative, or if it's repeatedly  
3 reactive and you choose to do a supplemental, that  
4 it not be positive.

5 DR. CHAMBERLAND: Right.

6 DR. MIED: Then the donor can be in--

7 DR. CHAMBERLAND: So people will have  
8 access to supplemental tests for HIV-2, if they're  
9 not licensed?

10 DR. MIED: Yes, I believe people do have  
11 access to HIV-2 supplemental.

12 DR. CHAMBERLAND: And that could then  
13 become a test of record, if you will?

14 DR. NELSON: Okay. I'm trying to get  
15 there before dinner. Could we vote on this? So a  
16 "yes" vote means that reentry should be considered,  
17 a "no" vote means reentry should not be considered.  
18 All of those voting yes on this question?

19 [A show of hands.]

20 DR. NELSON: All those voting no?

21 [A show of hands.]

22 DR. NELSON: All those abstaining?

23 [A show of hands.]

24 DR. NELSON: Consumer representative?

25 MS. KNOWLES: I'll vote yes, with the

1 qualification that the language be changed as  
2 Marion suggested.

3 DR. NELSON: Okay, but the understanding  
4 is that that's what it means.

5 MS. KNOWLES: Yes.

6 DR. SIMON: Yes.

7 DR. SMALLWOOD: Let me just reiterate that  
8 there are 15 members that are eligible to vote on  
9 this particular question. So the results of  
10 voting, Question No. 2 on HIV test results, there  
11 are 14 "yes" votes, there were no "no" votes, one  
12 abstention. Both the consumer and industry  
13 representatives agreed with the "yes" vote.

14 DR. NELSON: Okay. Let's move then to the  
15 same issue with hepatitis C. Are there any  
16 comments? Can we vote?

17 DR. MIED: That would be Question 6, Dr.  
18 Nelson?

19 DR. NELSON: Right.

20 DR. MIED: Should reentry be considered  
21 for donors who are part of Group 3, with NAT  
22 negative and anti-HCV EIA repeatedly reactive and  
23 RIBA indeterminate results? Now, we have--again,  
24 these are a subset of the Group 3 donors, and we've  
25 seen data on the prevalence of infection in these

1 donors.

2 DR. NELSON: And there is no--can there be  
3 no understanding as to what ELISA repeat reactive,  
4 which generation or which--you said multi-antigen,  
5 but that would be either 2.0 or 3.0.

6 DR. MIED: That's correct, multi-antigen.

7 DR. CHARACHE: Maybe we should also--I  
8 would appreciate a clarification of what's meant by  
9 an indeterminate Western Blot. That's not just  
10 envelope, right? Is it--

11 DR. NELSON: We're talking here about  
12 hepatitis C.

13 DR. CHARACHE: This is hepatitis C, yes.  
14 I'm sorry. I was asking another question.

15 DR. NELSON: And, you know, it's according  
16 to the manufacturer's instructions as to what is  
17 indeterminate, and I think they agree. All right?

18 All voting "yes" on this question?

19 [A show of hands.]

20 DR. NELSON: All voting "no"?

21 [A show of hands.]

22 DR. NELSON: All abstaining?

23 [A show of hands.]

24 DR. NELSON: Consumer?

25 MS. KNOWLES: Yes.



1 DR. SIMON: Yes.

2 DR. SMALLWOOD: Results of voting for  
3 Question No. 6 dealing with HCV test results: 13  
4 yes votes, 1 no vote, 1 abstention. Both the  
5 consumer and industry representative agreed with  
6 the yes votes.

7 DR. NELSON: Okay. Now, Question No. 3 is  
8 with regard to the interval, and this is for HIV,  
9 and the FDA has proposed, instead of an open-ended  
10 question where someone may want to have 57 rather  
11 than 56 days, let's just deal with what the FDA has  
12 proposed, which is an interval for HIV of 56 days  
13 between the original positive result or original  
14 deferred and another sample that is NAT negative  
15 and ELISA negative, or to look at the question  
16 again.

17 Yes, David, you have a question?

18 DR. STRONCEK: Yes. Are we going to vote  
19 on whether or not blood centers can test on samples  
20 versus a blood donation? Jay indicated that that  
21 might be a question that we could discuss.

22 DR. NELSON: That's not one of the  
23 questions that we were--of the eight that we were  
24 given. But I guess, why don't we vote on this  
25 first, and then if you want, if the committee wants

1 to vote on the issue of using the bag or another  
2 independent sample, we can add that.

3 Yes, do you have a question?

4 DR. MITCHELL: (Inaudible.)

5 DR. NELSON: Okay.

6 DR. SIMON: I think this is the one where  
7 I was just trying to get a follow-up with Dr.  
8 Busch, where he made the distinction in the people  
9 that are EIA repeat reactive, you may need a longer  
10 time than eight weeks. So do you want to deal with  
11 that, Paul?

12 DR. MIED: I think I'll probably let Mike  
13 comment on the eight weeks.

14 DR. NELSON: And the other issue here is,  
15 and it's a question that wasn't--that isn't given,  
16 but what the FDA has proposed is 56 days for HIV,  
17 but they haven't said that the donor could be  
18 reentered at six months. Theoretically, they could  
19 be reentered at 57 days, but what they propose is  
20 an independent, another sample after an interval  
21 of--and I don't know if you want to comment on  
22 that. Can we tie those two questions together, or  
23 just separate them, or what do you want to do?

24 DR. MIED: That's quite correct, Dr.  
25 Nelson. As the proposal stands, we would propose

1 that after eight weeks a sample be taken. If both  
2 the NAT and the EIA are negative, the donor would  
3 be eligible then to give a unit, which of course  
4 would be--

5 DR. NELSON: But that interval is not  
6 specified, the interval during which--

7 DR. SIMON: Okay, so they really covered  
8 then the point, because for the EIA repeat reactive  
9 they allow continued follow-up.

10 DR. BUSCH: As I understand this, after  
11 eight weeks, if the alternate--if the sample is  
12 negative, the donor can come back the next day and  
13 give a unit of blood, is the way this is written.

14 DR. NELSON: All right.

15 DR. BUSCH: I guess, again, my distinction  
16 was, I think FDA has done an interesting and good  
17 thing to try to group all these different deferred  
18 donors into one bin. But as Jay was saying, that  
19 somewhat complicates your thinking.

20 And what I was trying to distinguish was,  
21 I think the data does support an eight-week  
22 deferral, you know, reinstatement process for  
23 donors that are EIA negative but have evidence of  
24 seroreactivity. That's what is currently allowed  
25 for p24 antigen, and all the data would support

1 that persons who are in that viremic pre-  
2 seroconversion phase, everyone will have  
3 seroconverted by the time eight weeks passes, so  
4 that's fine.

5 But by grouping this all together to also  
6 include the seroreactive NAT negatives, a concern  
7 there is that bringing those people back soon, you  
8 may end up with persistent false reactivity that  
9 will preclude them from being reenterable. It's  
10 not a safety concern.

11 DR. EPSTEIN: Could I comment?

12 DR. NELSON: Yes, Jay?

13 DR. EPSTEIN: I think what FDA is saying  
14 is, you have to wait a minimum of eight weeks.  
15 We're not saying that you can't elect to wait  
16 longer. In other words, if you think in your  
17 center it's prudent to wait longer in the face of  
18 EIA reactivity with negative NAT, that's perfectly  
19 reasonable. Other centers, however, may choose to  
20 simply use a different EIA. In other words, let's  
21 say you now are instituting a different generation  
22 or a different company's EIA. Well, maybe you  
23 don't have to wait. Maybe they don't have common  
24 causes of false reactivity.

25 DR. NELSON: And this one doesn't say two