

1 repealed a few years later in 1998. This is a
2 statement which is factual today, and I hope to god
3 that this is a statement which will be factual five
4 years from now, at a time when we may be reviewing
5 some of the consequences of the decisions that we are
6 making here.

7 This statement is based on a wealth of
8 information related to classical CJD. We all agree
9 that we do not benefit from the vast epidemiological
10 perspective that we have for classical CJD with
11 variant CJD, although there is some information, there
12 is some data, and I will go through some of that as we
13 move along. Next, please.

14 I don't need to go through all of the
15 experimental data and epidemiological data which
16 concluded that there is no convincing scientific
17 evidence of prion infectivity in blood of CJD
18 patients, nor that prions have been transmitted
19 through blood or plasma products.

20 I will just focus on a few significant
21 negatives; a study by Joe Gibbs and Paul Brown, in
22 which blood from 13 cases of CJD sporadic were
23 inoculated into non-human primate hosts, including
24 chimpanzees, and there was no evidence of transmission
25 in any of these cases.

1 Now, in these instances, whole units of
2 blood were transfused. Blood from CJD patients were
3 transfused to chimpanzees, with no evidence of
4 disease.

5 A second study, which was conducted at Stan
6 Prusiner's lab, using transgenic mouse hosts, which
7 are highly susceptible to human prions. Again, 13 CJD
8 cases were examined, or bloods from 13 CJD cases, and
9 12 of these were sporadic, and one familial, and again
10 there was no evidence of transmission.

11 And as far as the epidemiological data is
12 concerned, of course we have had in the U.K., and in
13 the United States, and in Germany, studies of
14 identified recipients from CJD donors, looked back at
15 retrospective studies, and never has a case of CJD
16 been demonstrated in any of these recipients.

17 And of course the studies of special
18 increased risk populations, and we know, for instance,
19 that there has never been a case of CJD in a
20 hemophilia patient in the history of the world. And
21 again this calls to mind that CJD and AIDS are very
22 different.

23 Within a year of the emergence of HIV,
24 within a year or two years, we started to see AIDS in
25 hemophilia patients. Next, please.

1 Now, what about variant CJD? What is the
2 current state of knowledge with respect to this issue
3 of variant CJD in human blood? What we do know is
4 that whole blood, red blood cells, and platelets, in
5 the United Kingdom, from U.K. donors, have been and
6 continue to be administered to U.K. recipients in the
7 United Kingdom.

8 An estimated 30 to 40 million transfusions
9 have occurred in the U.K. over the past 10 years. Not
10 a single case of variant CJD has been linked to a
11 transfusion. Several patients with variant CJD
12 actually received transfusions, but none of these
13 cases could be linked to a donor who had variant CJD,
14 or for that matter classical CJD.

15 And in several cases of variant CJD where
16 blood donors, but in no cases of either classical CJD
17 or variant CJD, have ever been noted among identified
18 recipients of blood or plasma products from any of
19 these known variant CJD donors.

20 So to date there is no evidence in the
21 United Kingdom, a country which represents 99 percent
22 of all reported BSE, and 96 percent of all reported
23 variant CJD in the world, there is no evidence that
24 variant CJD has been transmitted through blood or
25 plasma products. Next, please.

1 Here is a little bit more detail with
2 respect to the studying of the U.K. on variant CJD and
3 blood products, and this is publicly available
4 information which can be obtained from the U.K. CJD
5 surveillance unit.

6 Eight variant CJD patients received blood
7 transfusions -- and again as I mentioned, no donors
8 have been identified with CJD, classical or variant.
9 And 14 variant CJD patients were blood donors, and
10 eight variant CJD donors could be traced to 22
11 recipients, primarily from labile blood products.

12 And none of these recipients have had their
13 names show up on the national CJD register in the U.K.
14 to this time. Now, this is a list of the labile blood
15 products which were administered.

16 Primarily, red blood cells, and then BC-
17 depleted red blood cells, fresh/frozen plasma, whole
18 blood, cryo-poor plasma, and cryoprecipitate in a few
19 individuals.

20 Also, eight donations from these donors went
21 into plasma pools for the production of therapeutic
22 proteins, which then were administered to tens of
23 thousands of recipients. Again, no recipient of
24 plasma proteins to date has been identified with
25 variant CJD. Next, please.

1 This is redundant, but I just want to call
2 again to your attention the fact that of the 105 cases
3 of variant CJD worldwide, 101, or roughly 96 percent,
4 have occurred in the U.K.

5 The one case in Ireland, and the one case in
6 Hong Kong, both have spent considerable time in the
7 U.K. during the at-risk period, and really could be
8 associated with the U.K. cases.

9 So the only country that stands out is
10 France, with three de novo cases of variant CJD.
11 These persons never went to the U.K., but we do know
12 that France imported large quantities of U.K. beef and
13 beef products.

14 And another important thing to note in this
15 is that there have been cases of variant CJD since the
16 initial onset in 1994, and every year through until
17 the year 2001.

18 France, on the other hand, there has been no
19 such -- there hasn't even been a flat line for variant
20 CJD. There has been one case in 1994, and the two in
21 1999. The next slide, please.

22 And this just shows you that data in graphic
23 form, and this is what Stan Prusiner was relating to
24 earlier when he expressed that we are now in the
25 triple digits with variant CJD, and this caused a

1 great deal of concern to Stan.

2 I would like to point out to my good friend,
3 Stan Prusiner, that this tendency to arise is
4 certainly far from what one would call an expedient
5 rise, and I really don't think that with all of the
6 best modeling in the world that we can ever predict
7 exactly what is going to happen in the future.

8 But again look at France. These are deaths
9 and not onset. But the one case in '96, and the one
10 case who died in 2000, and then the third case died in
11 2001. This obviously is indicative of a significantly
12 reduced exposure to BSE in France compared to the
13 U.K.

14 We heard all of that this morning, to an
15 order or two orders of magnitude less exposure. And
16 of course one could assume that in the rest of Europe,
17 which imported less U.K. beef products, and which have
18 lower numbers of BSE, you would even have another
19 order of magnitude less exposure. Next, please.

20 In contrast, the rise in variant CJD, which
21 is a slight tendency to increase, to this tremendous
22 increase of BSE in the U.K. over the period from 1987
23 until 1992, when BSE peaked, again there is no
24 comparison to the rise in BSE and the current rise
25 that we are seeing in variant CJD. Next, please.

1 Now, this slide just gives you the numbers
2 of BSE throughout the world. Again, the rest of the
3 world pales in comparison with the U.K. Only four
4 countries have had a hundred or more cases of BSE.

5 What is of considerable concern today are
6 some of the countries with lower numbers, such as
7 Belgium, Germany, and Spain, which have had a
8 considerable increase in the number of cases within
9 the last 6 to 10 months. The next slide, please.

10 So in spite of the fact that this risk is
11 purely theoretical, and I can't say it enough, we
12 continue to approach this issue as though the risk
13 were real. And that's why we already implement
14 rational science-based precautionary policies to
15 minimize the theoretical risk.

16 I think in view of the fact that the U.K.
17 has 99 percent of all the BSE, and 96 percent of all
18 the variant CJD in the world, it is a rational
19 precautionary measure to implement individual donor
20 deferral criteria for people who have traveled in the
21 U.K.

22 And I think that rejection of U.K. source
23 plasma is another rational precautionary measure to
24 minimize a theoretical risk. We also withdraw and
25 notify in case of a variant CJD donor, a situation

1 which has never occurred for us, or for that matter
2 for anyone else in the world outside of the United
3 Kingdom.

4 We have also been investing very heavily
5 throughout the plasma-proteins industry in research on
6 prions, and I think that the plasma-protein industry
7 may have invested more money in research in this field
8 than most groups, and governments, and who else,
9 today.

10 And this research has been directed towards
11 the development of extremely rapid and highly
12 sensitive methods of prion detection. These methods
13 are being used today to continue to research prion
14 infectivity in the bloods of CJD cases, including
15 variant CJD cases.

16 They are being used in the assessment of
17 prion partitioning in manufacturing processes. They
18 are being used to evaluate potential prion removal
19 methods in manufacturing processes.

20 And perhaps in the future tests can be used
21 for the potential screening of incoming plasma, and
22 finally the industry at large is involved in
23 conducting appropriate and well-designed prion
24 clearance evaluations aimed at reducing uncertainty
25 and providing relevant meaningful data. Next, please.

1 Now, the assessment of prion partitioning of
2 the manufacturer of human plasma proteins is in itself
3 complex. Since, as I have said before, prions have
4 never been detected nor transmitted to a human plasma
5 or plasma derivatives, we have no knowledge as to the
6 physical or chemical nature of the theoretical prion
7 contaminant, if it fact it even existed.

8 So therefore there is uncertainly as to what
9 would be the appropriate relevance of a prion spiking
10 agent for the study of prion partitioning and
11 manufacturing processes.

12 Now, this is just a list of some of the
13 things that have been used by different laboratories;
14 brain homogenate; microsomal membrane fraction,
15 caveolae-like domains, which is a specialized membrane
16 compartment; prion rods; and the purified molecular
17 spiked purified PrP scrapie.

18 The good news from all of this is in spite
19 of the uncertainty, data from several laboratories,
20 using different spiked forums, show multiple process
21 steps in the plasma vaccination and infractionation
22 schemes with robust prion removal capacities. The
23 next slide, please.

24 And so this was the slide that I showed you
25 earlier today when I tried to get into the public

1 session before the deliberation. Again, the risk of
2 variant CJD transmissions through blood or plasma
3 products remains purely theoretical and
4 unsubstantiated by relevant scientific evidence.

5 I might add something today because one of
6 the scientific experiments that is often used and
7 passed out on the safety of human blood with respect
8 to variant CJD is that experiment of transfusion in
9 sheep.

10 Just three weeks ago, I was at a meeting in
11 which Nora Hunter, one of the senior investigators in
12 that study, gave an update on that study. And it is
13 now nine months after the Lancet publication, and yet
14 this one transfused sheep is the only animal in that
15 study to have come down with the disease.

16 The positive controlled animal study, which
17 had received an intravenous inoculation of U.K. beef
18 brain homogenate, is not yet sick. So I really do not
19 feel confident that that data could be used to in any
20 way influence our thinking about variant CJD in blood.

21 But despite the fact that this remains a
22 purely theoretical risk, and because we know that
23 variant CJD is different from classical CJD -- and one
24 of the differences is again what Stan Prusiner pointed
25 out earlier, is the presence of variant CJD prions, or

1 the PrP scrapie for variant CJD patients in the
2 lymphoid tissues of variant CJD patients.

3 And which by the way does not necessarily
4 mean that it is in the blood, because in scrapie in
5 sheep, it has been classically demonstrated that prion
6 infectivity is found in lymphoid tissues, but it has
7 never been detected in blood.

8 Nevertheless, we continue to implement
9 numerous precautionary measures in order to further
10 minimize this risk. The lack of rise of variant CJD
11 in France, and its absence virtually everywhere else
12 in the world, is notable, and reflects significantly
13 reduced human exposure to BSE outside of the U.K.

14 And measures have been implemented in Europe
15 since 1996 to enhance food safety, and reduce the
16 potential for food borne transmission of BSE prions to
17 humans, and this should add further reassurance,
18 although in Europe, they didn't go into an official
19 specified risk material ban until the year 2000.

20 And other individual European countries,
21 including France, Italy, Spain, such measures were
22 already implemented in 1996. And I think it is a pity
23 that we didn't have somebody here today from the
24 European commission who really knows all the numbers
25 about when some of these measures were in place,

1 because a lot of the deliberations and discussions
2 that you had were based on knowledge that nobody was
3 sure of.

4 Finally, multiple manufacturing process
5 steps in the production of purified PrPsc have been
6 shown to have robust prion removal capacities, and in
7 our opinion, and it is still our opinion, a pan-
8 European approach to further minimize the above-
9 mentioned theoretical risk does not seem warranted,
10 nor should European plasma be considered unsuitable
11 for the production of purified plasma products.
12 So we can not start the recount and I thank you.

13 CHAIRMAN BOLTON: Thank you. Any questions?
14 Steve.

15 DR. DE ARMOND: Hank, I think that your
16 comments, and Dr. Cliver's comment about being sued
17 out of existence if one case of variant CJD would show
18 up as a result of a transfusion, and so we are in
19 dammed if we do and dammed if we don't situation.
20 What would your recommendation have been regarding the
21 European blood supply?

22 DR. BARON: Number 1, I don't have a crystal
23 ball, and I can't say what is going to happen in the
24 future. But my recommendation is right now is that
25 this risk is truly theoretical. There is no evidence

1 or no relevant scientific finding to support it.

2 And my recommendation is that we should not
3 defer donors who have spent time in Europe outside of
4 the U.K. I would not even propose that we defer
5 donors who have spent six months in France, not with
6 three variant CJD cases.

7 CHAIRMAN BOLTON: Other questions?

8 DR. BAILAR: I think we are looking at this
9 backwards. It is not the risk that is theoretical.
10 It is the safety that is theoretical. The BSE
11 epidemic has passed. The episode of the VCJD is far
12 from past.

13 The numbers are still going up, indicating
14 I think that there is a delay of years, and maybe
15 decades, for the average case between the time of
16 infection of the human being, and the appearance of
17 the disease.

18 I am not really concerned about the
19 continuing infection of humans from food consumption.
20 I am very much concerned about what is going to be
21 happening over the years to come with the people who
22 may already be infected.

23 I think we may just be seeing the very
24 beginning of what is going on there. Do you have some
25 way to reassure me about this?

1 DR. BARON: My answer is that I don't have
2 a crystal ball, and neither do you, nor does anybody
3 else in this room. I think that we have to consider
4 the supply issue, along with this very, very
5 theoretical risk.

6 And I don't like to speculate and
7 conjecture. I like to stick to facts. I think what
8 you said earlier that the absence of proof is not
9 proof of absence.

10 But I think over the years we have begun to
11 accumulate evidence of that, and certainly with
12 classical CJD, and now we have 10 or 15 years of
13 people getting transfusions in the U.K., and we still
14 have not seen variant CJD showing up in any of those
15 transfusions, and I tell you that without a doubt that
16 there will not be one one day? No, I cannot.

17 Can I tell you without a doubt that the City
18 of Washington will have an asteroid fall on it? I
19 cannot do that either, but we are not going to
20 evacuate the city next week because of that.

21 CHAIRMAN BOLTON: Dr. Cliver.

22 DR. CLIVER: Just a thought. We don't know
23 what the minimum incubation period is for VCJD, but it
24 would be helpful as we see the record of VCJD blood
25 donors and the surveillance of recipients, to have

1 some years of cumulative potential incubation period
2 presented.

3 Because let's say it has been 10 years since
4 somebody got a transfusion from somebody who came down
5 with VCJD, that is a lot more persuasive than if it
6 has been two.

7 DR. BARON: You are absolutely right, and
8 unfortunately most of these products -- and not all of
9 them, but most of these products -- have been
10 administered within the last 5 years.

11 But some of them were administered as far
12 back as 1981. So you are right. This unfortunate
13 human experiment is not finished. Nonetheless, the
14 fact today is that there has been no transmission.

15 Oh, by the way, also with respect to the
16 incubation period. You know, I don't think we can
17 assume what the incubation period would be in human-
18 to-human transmission via an intravenous route or an
19 intracerebral route or whatever; as opposed to the
20 incubation period of BSE going into humans, causing
21 variant CJD?

22 Because now if you are talking about variant
23 CJD, you no longer have a species barrier to pass
24 through, and theoretically the incubation period
25 should be shorter than for bovine prions, especially

1 by an intravenous route.

2 Of course, you are infecting the blood and
3 theoretically would also have a lower titer. So it is
4 difficult to make an assumption on what the position
5 is here today.

6 CHAIRMAN BOLTON: Other questions?

7 DR. NELSON: You cast some doubt on the
8 sheep experiment. Could you elaborate on that, on the
9 transmission? Is that still valid?

10 DR. BARON: Yes. That experiment, that
11 study -- well, the study was not published. What was
12 published was a single, isolated result from the
13 study.

14 Twenty-one sheep were fed 5 grams of bovine
15 brain. Then they were left alone and they were bled
16 at different time points after the oral challenge.
17 Then this blood was transfused into naive, previously
18 normal, sheep.

19 Now, one of the orally challenged sheep came
20 down with sheep BSE if you like, and a transfusion
21 from that sheep to a naive sheep was related to
22 disease in the transfused sheep after 600 days.

23 Actually, the incubation period was the same
24 as the incubation period of the oral challenge with
25 the BSE brain. It took 600 days for that sheep to get

1 sick by eating it, and then 600 days from the
2 transfusion.

3 But again as I said the positive control,
4 which received a high titer BSE brain homogenate by
5 the intravenous route has not gotten sick yet, and it
6 was not sick at the time of the publication, and it is
7 not sick today. It is now 9 months later.

8 So it is already up close to that 600 day
9 incubation period, and that just does not make sense.
10 And anybody who has followed this field can tell you
11 that there have been a lot of red herrings and false
12 alarms due to improperly controlled studies.

13 And contamination or sample mix-up have to
14 be considered a possibility. And I don't think you
15 can say also that 1 in 20 actually got sick, because
16 that is significant, because as David actually pointed
17 out earlier this is a study where large volumes of
18 blood are being transfused, 400 ml.

19 This is not a study where a 30 microliter
20 sample of blood was inoculated into the brain of the
21 animal. So, theoretically, if there is even a small
22 quantity in the blood, it should have occurred in more
23 than one sheep.

24 CHAIRMAN BOLTON: I don't think that we want
25 to spend much time debating the specifics of that

1 particular experiment, because I don't think that we
2 have the time, and I don't think it has particular
3 value.

4 I think what we should say is that the
5 results are not certain, and there are explanations on
6 either side of the question, and additional studies on
7 that particular animal and the material from it, and
8 the completion of the remainder of the study needs to
9 be done before we can really make much sense out of
10 that. Yes, Bruce first, and then --

11 DR. EWENSTEIN: I was wondering, Dr. Baron,
12 if you could comment on your point of view on the
13 specific questions, or pieces of the specific
14 questions that are before us on this section.

15 And that was that assuming that we discover
16 as the tests become more sensitive that there is
17 infectivity in plasma, what would be your
18 recommendation based on your knowledge of the
19 manufacturing process and of prion disease?

20 What would be your recommendations in terms
21 of additional decontamination procedures, dedicated
22 manufacturing lines, and that sort of thing?

23 DR. BARON: I would prefer to defer that
24 question, because there is going to be a full
25 presentation on that by another industry

1 representative following me.

2 So perhaps following that, we can get
3 involved in that discussion. I don't want to do that
4 now.

5 CHAIRMAN BOLTON: Dr. Schoenburger, and then
6 I think we will move on.

7 DR. SCHOENBURGER: There was some discussion
8 about the data that may or may not exist in the U.K.
9 about blood transfusions, and who has --

10 CHAIRMAN BOLTON: Transfusions from VCJD?

11 DR. SCHOENBURGER: Yes. What I am aware of
12 -- and this comes from Dr. Robert Will, is that there
13 are eight new variant CJD patients that have been
14 linked to 22 recipients of blood.

15 DR. BARON: That's right.

16 DR. SCHOENBURGER: And these recipients, the
17 year of the blood transfusion ranged between 1981 and
18 1999. And I wasn't planning on presenting this,
19 because there is some key information that is missing,
20 and that is the information of exactly what happened
21 to these recipients, and how long they would survive.

22 And what he does have is that he knows who
23 these recipients are, and he knows who the new variant
24 CJD cases are, and he has linked them, and they do not
25 link.

1 DR. BARON: That's correct.

2 DR. SCHOENBURGER: And so I would say that
3 14 of these 22 were presumably followed for at least
4 5 years if they had survived, and that is the key
5 missing data.

6 And we are doing a similar type of study for
7 classic CJD, and that is why we were sharing some of
8 this information.

9 CHAIRMAN BOLTON: Thank you. Okay. Thank
10 you, Dr. Baron. We will move on. Our next speaker is
11 Dr. Jeff Davies, and he will speak on Considerations
12 for Facility Cleaning.

13 DR. DAVIES: Thank you for the opportunity
14 to speak on the topic of facility cleaning relations
15 to TSEs. My name is Jeff Davies, and I work in
16 Switzerland, in Bern, at ZLB Switzerland, which is in
17 the middle of Europe.

18 In the year 2000, ZLB supplied approximately
19 3,600 kilograms of IVIG for the United States, and
20 22,000 kilograms of plasma, and we also supply IVIG to
21 Europe and the rest of the world through our
22 distribution agreement with Aventis.

23 And at ZLB, we processed approximately 1.8
24 million liters of plasma per annum derived from USA
25 and European sources. In all cases, donors who have

1 resided in the U.K. for a period of six months during
2 1980 to 1996 are deferred.

3 Only products derived from USA plasma is
4 provided to the USA. It is very important to realize
5 that all manufacturers need to have procedures in
6 place to ensure that there is no cross-contamination
7 occurring between batches.

8 In today's presentation, I will speak on the
9 current approach to cleaning, and an explanation of
10 cleaning within our manufacturing facilities, and I
11 will present a hypothetical on the worst case
12 scenarios in order to highlight the existing processes
13 in place in order to ensure batch-to-batch
14 segregation, address the issue of segregation of EU
15 from USA plasma, in relation to theoretical
16 contamination with TSEs.

17 If a variant CJD contamination was
18 determined to have been present in our manufacturing
19 pool, all products prepared from that pool will be
20 recalled. That is a matter of policy within the
21 industry.

22 But the question that we really want to
23 address is, is there a variant CJD list to products in
24 the next manufacturing pool prepared in this
25 equipment.

1 We need to establish the routine cleaning
2 between batches from those prions, and that no dose of
3 any product in the next batch contains an infectious
4 dose of prions.

5 The routine approach for cleaning validation
6 between batches from those prions includes mapping for
7 worst case locations, and looking for the reservoirs
8 if you like, the bells and so forth, and total --
9 analysis.

10 Cleaning consists of pre-rinsing with and
11 water and treatment with either half bottle of sodium
12 hydroxide, or one percent Ikalin, which is a sodium
13 hydroxide-hypochlorite detergent mixture, and
14 subsequent rinsing with water.

15 Cleaning validation is performed on product
16 contact equipment. TSE is determined on swab samples,
17 and also on -- on a routine basis, and swab samples
18 are taken before and after the cleaning procedure.

19 The results from our cleaning validation
20 show that we can demonstrate consistently a 1,000-fold
21 reduction. We are limited to 1,000-fold reduction by
22 the limited detection of TOC. We reduce the total
23 organic carbon to the limit of detection.

24 I now want to talk about the manufacturing
25 process that we use for IVIG in very simplified terms.

1 The manufacturing process can be divided into five
2 modules, each one separated from the other by a
3 process that shows significant reduction of TSE agent
4 in model studies, and this was work done in Dr. Oh's
5 laboratory.

6 We were able to demonstrate a reduction of
7 15.4 log, and there were two types of agents used.
8 There was purified PrP and brain homogenate, and the
9 results were not identical, but were similar with the
10 two.

11 I now want to consider the cleaning within
12 this particular facility in a hypothetical and
13 simplified manner. We know that the cleaning process
14 reduces total organic carbon by at least three logs,
15 and the assumption that I want to make is the total
16 organic carbon reduction is proportional to proteins,
17 including TSE agents, and that is an assumption that
18 we are making.

19 So for the hypothetical case, let's assume
20 that a production pool was contaminated with a variant
21 CJD donation, and we know that there has never been a
22 case of a plasma contaminated that we have been able
23 to identify with prions and plasma from a variant CJD
24 patient.

25 The total load of the TSE agent would be

1 5,000 infectious units based on the estimation of
2 Brown, et al., and that any TSE agent would be present
3 in a diseased person that would not exceed 20 Ius per
4 mil.

5 Now, this is -- we have not had a diseased
6 person, and this is a hypothesis that we are putting
7 forward. This has come from my studies and so this is
8 a totally hypothetical case.

9 Then I want to propose that all the TSE
10 agent adheres to the surface of Module One in the
11 manufacturing process. So, we have 5,000 infectious
12 units in our pool, and 3.7 logs all adhered to Module
13 One at the completion of that batch.

14 We know that cleaning will reduce that by at
15 least one-thousand fold. It may in fact totally
16 eliminate it, but on the basis of our total organic
17 carbon analysis, the most we can claim is a 1,000 fold
18 reduction.

19 This leaves potentially 0.7 logs to carry
20 across into the next batch, and if we assume it
21 carries on into Module Two, and it adheres to Module
22 Two, then I think it adheres to Module Two in the next
23 batch.

24 If we continue this model, we can end up
25 making assumptions for the remaining modules, which in

1 the very much worst case, and we would get a reduction
2 associated with cleaning of minus 8.3 -- well, not a
3 reduction, but we will get a potential contamination
4 of minus 8.3 log that could end up in the final bulk
5 product.

6 This is totally hypothetical, and it is
7 totally ignoring the manufacturing process steps, and
8 we will come back to this in a minute. Next slide,
9 please.

10 Okay. So if we have a level or we have a
11 manufacturing pool of 2,000 liters of plasma, we
12 produce approximately 8,000 grams of IVIG, and we can
13 calculate that there would be approximately 6.3 by 10
14 to the 3rd infection units per gram of IVIG.

15 If we look at the maximum batch, a maximum
16 dosage of approximately 200 grams, which would be a
17 100 kilogram man getting two gram per kilogram, which
18 is a very high dose of IVIG, we can estimate that the
19 probability that a dose would be infectious is of the
20 order of 1 in 100 million. Next slide, please.

21 On the basis of those assumptions, we can
22 conclude that cleaning a line is effective and
23 includes as a safety margin against TSE. The estimate
24 does not take into account a possible activation of
25 TSC agents used during cleaning, and assumes no

1 clearance by the process. Next slide.

2 That situation, however, is not realistic.
3 If one assumes that theoretically present TSE agent
4 does not or only partially adheres to the equipment
5 surface, which is reality, the TSE agent would be
6 reduced by the various production steps.

7 These showed an elimination capacity in
8 model studies comparable or superior to reduction
9 during cleaning, and there is a probability that one
10 containing one infectious unit will be less than one
11 in a hundred-million on those assumptions.

12 I now want to move to the cleaning and
13 inactivation agents. We know that one molar of sodium
14 hydroxide has the potential to inactivate TSE agents,
15 and inactivation deficiencies are increased by
16 elevated temperatures.

17 So we need to ask the question can one molar
18 hydroxide or hot caustic agents be used in cleaning
19 regimes. Cleaning validation is a major undertaking
20 for the industry.

21 Changing the agents is not a trivial exercise,
22 and what hot hydroxide may inactivate, it also has the
23 potential to precipitate and fix prions on surfaces.
24 Any changes to cleaning processes need to be properly
25 validated prior to implementation.

1 The current manufacturing equipment in most
2 plants around the world is not designed for such harsh
3 chemical treatments, such as one molar of hydroxide
4 at 60 degrees.

5 We know that the chromatographic resins and
6 ultrafiltration membranes used in plants throughout
7 the world generally would not use such conditions.

8 We also know that treatments of seals,
9 gaskets, hosing materials, with hot caustic might
10 contaminate the plant and products and would break
11 down compounds from rubber and polymeric materials.

12 Hot caustic agents are also hazardous for
13 employees, especially when equipment has to be cleaned
14 manually, and we need to take that into account as
15 well before introducing these sorts of procedures.

16 I now want to talk a little bit about
17 cleaning and validation with infectious agents and
18 many of these points have been raised in the previous
19 talk. Cleaning validations involving infectious
20 agents are important, but they do have limitations.

21 We need to limit conditions of CIP processes
22 in sterile systems. The selection of TSE or the TSE
23 agent itself is very important. What preparation is
24 most appropriate was a point raised in the previous
25 talk.

1 The recovery of potential residual
2 infectivity can be challenging. For example, the
3 recovery from a swab, or the use of aggressive
4 reagents to recover the infectious agent may destroy
5 infectivity, or even immunogenicities if you are using
6 an immunogenic probe.

7 This is an area which warrants further
8 investigation. So, in conclusion, I would just like
9 to say that on the basis of this evaluation, existing
10 cleaning processes are already in place to provide
11 assurance against TSE carryover.

12 Harsher cleaning conditions, such as the use
13 of hot hydroxide, or hot caustic agents, have to be
14 carefully investigated, and they could be detrimental
15 to plant product and employees.

16 We need to conduct more research on the
17 ability of cleaning fluids to inactivate TSE, and this
18 is supported by the industry. And we also need to
19 extend research on the detection of trace amounts of
20 TSE agents on the surfaces of stainless steel and
21 equipment. Thank you.

22 CHAIRMAN BOLTON: Thank you, Dr. Davies. I
23 think what I would like to do now is to hold questions
24 until after the next two talks, and we will take all
25 the questions for these talks at the completion of the

1 industry presentations.

2 So our next speaker will be Gordon
3 Busenbark, and he will speak on the Complexities of
4 Manufacturing.

5 MR. BUSENBARK: Good evening, Ladies and
6 Gentlemen. My name is Gordon Busenbark, and I am
7 President of Global Operations for Baxter BioScience.
8 First of all, I would like to thank you for the
9 opportunity to come here today to offer our
10 perspective on the concepts to require manufacturers
11 of plasma products to provide dedicated processing
12 equipment and production lines based on the geographic
13 origin of the plasma used to manufacture those
14 products that are sold in the U.S.

15 Like all of us here today, Baxter is
16 committed to the highest level of safety and quality
17 for the plasma derived products that we manufacture.
18 In today's presentation, I intend to show that product
19 line segregation would contribute to the safety of
20 U.S. plasma derived products.

21 As a control measure for variant CJD,
22 segregation has no credible scientific support. What
23 this measure would do unfortunately is lead to a
24 reduction in plasma derivative manufacturing capacity,
25 and as plant capacity is currently the supply limiting

1 factor, segregation would end up substantially
2 reducing the production of these critical therapeutic
3 products.

4 Segregation would have a devastating
5 consequence for patients both in the U.S. and around
6 the world who depend on these critical therapies.
7 Next slide.

8 To help you appreciate the impact of
9 segregation on the production of plasma derivatives,
10 I would like to describe the current manufacturing
11 logistics for plasma derivatives using our company,
12 Baxter, as an example.

13 Baxter is one of the major manufacturers of
14 plasma derivatives. We currently process over 4
15 million liters of plasma a year in five manufacturing
16 plants, two in the U.S., and actually four in Europe.

17 The plasma that we process is collected from
18 donors both in the U.S. and in Europe, but as required
19 by our product licenses, all of the products that we
20 distribute and sell in the U.S. are currently produced
21 from U.S. plasma only.

22 However, it is important to understand that
23 the products that we make for the U.S. market out of
24 U.S. plasma are manufactured in both our U.S. plants
25 and in our European plants. Next slide.

1 In each of our plants the manufacturer of
2 these therapeutic products occurs as a complex, multi-
3 step continuous process. The process flow for our
4 Vienna, Austria facility, which is shown here as an
5 example, illustrates from the initial plasma pooling
6 step, to final product packaging.

7 The output of each process step serves as
8 the input for the next process step. This complicated
9 and meticulous manufacturing process, including the
10 associated quality and regulatory review of every lot
11 of product that we produce, results in a manufacturing
12 process time from start to finish of anywhere between
13 6 to 9 months, depending on the product.

14 The collection process alone for plasma has
15 a 60 day hold period from the time of collection to
16 entry into the fractionation process. Next slide.

17 Baxter is, of course, very conscious of its
18 responsibility to provide a safe and continuous supply
19 of product to our patients. After all, at Baxter our
20 philosophy is that there is a patient waiting for
21 every vial or product that we produce.

22 With a very long lead time to produce plasma
23 derivatives, it is critical for us to achieve optimal
24 operating efficiency and reproducibility of our
25 manufacturing process.

1 Therefore, we have designed and operate our
2 production facilities so that there is a high level of
3 equipment usage and through put is maximized. The
4 sequence of operations is carefully planned and
5 executed so that there is always a careful balance of
6 inputs and outputs at each step.

7 Furthermore, each of our facilities is
8 designed to operate on a consistent 24-hour basis,
9 seven days a week, at its optimum plasma through put
10 level. Next slide.

11 The inherent complexity of the manufacturing
12 process means significant deviations from the design
13 capacity of our manufacturing facilities either could
14 not be accommodated, or would result in a substantial
15 loss of production output capacity.

16 Given the need to optimize the use of our
17 production equipment, and to coordinate the
18 manufacturing process carefully, it is clear that
19 separate production lines for plasma of different
20 geographic origin would require substantial
21 modifications to our existing facilities and process
22 flow.

23 Segregation would introduce severe
24 constraints on equipment utilization, require
25 extensive facility and equipment duplication where

1 none currently exists, and disrupt the very precise
2 scheduling of operations.

3 The end result would be a significant
4 reduction in output and availability of product for
5 our patients. Next slide.

6 Again, using our Vienna facility as an
7 example, product line segregation would result in
8 disruptions in production of all U.S. distributed IGIV
9 manufactured out of Vienna.

10 Our anti-inhibitor coagulant complex Fiba is
11 an excellent example. All of the Fiba that is used to
12 treat Factor VIII inhibitor patients world wide is
13 manufactured in Vienna, both out of U.S. plasma and
14 European plasma.

15 Factor IX concentrates and fibrin sealant
16 produced at the Vienna facility would also be
17 impacted. In the short term, there is no way that
18 this facility could accommodate its current production
19 with segregated equipment.

20 For patients in the U.S., this would be more
21 than an inconvenience. It would be a health risk
22 impacting the lives of thousands of American patients
23 in an already constrained supply market.

24 A case in point is our fibrin sealant
25 product. As the sole supplier product in the U.S.,

1 all supplies of this plasma derivative are
2 manufactured in Vienna, and as such would be affected
3 by this proposed line of product segregation.

4 And I would just highlight for those of you
5 who aren't looking at the chart, that what this shows
6 is that at the start of our process, we start
7 processing both U.S. and European plasma in our Vienna
8 facility.

9 We make a whole variety of products, both on
10 the left side of the screen, as well as on the bottom
11 of the chart. But we specifically highlighted up all
12 the products that we manufacture in Vienna, and the
13 ones that are circled in red are the ones that are
14 actually sold here in North America.

15 So as you can see, some of the products that
16 we manufacture in Vienna are sold exclusively outside
17 the U.S., in Europe and in Asia, and Latin American;
18 while a number of the products that we manufacture,
19 those highlighted in red, are sold in both the U.S.,
20 Europe, and the rest of the world. Next slide.

21 Baxter's Vienna facility would not be the
22 only site impacted by production line segregation.
23 Now, some of you may think that this is a New York
24 subway map, but it is actually a pictorial depiction
25 of Baxter's manufacturing network in the various

1 manufacturing plants that we have.

2 To maximize output and efficiency, all of
3 our manufacturing operations are highly integrated on
4 a global basis, and what this means is that some
5 products are only manufactured in one facility, and
6 some products are manufactured in multiple facilities;
7 and some products may be manufactured to a certain
8 step in one facility, and then finished in a second
9 facility.

10 As you can see, to optimize our ability to
11 prepare these critical products requires frequent
12 interaction and movement between plants. A case in
13 point is our Lessines, Belgium facility, which does
14 the manufacturing for all of Baxter's immunoglobulin
15 product.

16 And which to put that in perspective,
17 Baxter's share of the worldwide IGIV market is
18 slightly over 20 percent, all of which is manufactured
19 in our Lessines, Belgium facility. Next slide,
20 please.

21 To delve further into the Lessines issue so
22 that you understand the complexities, this facility
23 receives the starting material for immunoglobulin in
24 Fraction II from three locations.

25 Fraction II comes from our Los Angeles

1 facility, our Rochester, Michigan facility, and from
2 our Vienna facility. A portion of the manufacturing
3 process for both U.S. and European intravenous
4 immunoglobulin utilizes common production equipment,
5 common production tanks, and intravenous
6 immunoglobulins, as I think everyone knows, are in
7 short supply globally.

8 And any disruption at this single production
9 site to accommodate segregated production lines could
10 have the potential to have a significant impact on the
11 patients who rely on this critical therapy of IGIV
12 (sic).

13 In order not to impact product supply, the
14 production line segregation being considered today
15 could only be achieved by designing and constructing
16 new production facilities.

17 For our company current manufacturing
18 facilities would have to be either substantially
19 modified to accommodate separate production lines
20 approximately sized for each type of plasma.

21 This change could be extremely complex and
22 would realistically take years to implement. Next
23 slide.

24 Current plant modifications underway at our
25 Los Angeles manufacturing facility provide some

1 perspective as to the effort associated with the
2 facility modification in our industry.

3 At present, we are upgrading a portion of
4 this facility in which the cold ethanol fractionation
5 step is performed. The total cost of upgrading our
6 Los Angeles facility is budgeted at \$105 million, or
7 at a cost of more than \$1,000 per square foot.

8 And which will require 5 years to implement,
9 from inception to licensure, by the FDA. Considering
10 that this change involves just one portion of one
11 facility, you can see that implementing completely
12 segregate production lines at all of our facilities
13 would involve an enormous expenditure of resources,
14 and take many years to implement.

15 In the meantime, product supply would be
16 significantly impacted while these changes were
17 underway. Next slide.

18 The disruption of the supply of these
19 valuable products, and the substantial costs
20 associated with the provision of segregated production
21 lines, could be justified if in the end there was a
22 commensurate improvement in patient safety.

23 However, as you have heard today, and will
24 hear from others, product line segregation is intended
25 to address a theoretical concern. All evidence to

1 date is consistent with the view that plasma
2 derivatives do not represent a variant CJD risk.

3 In addition, even if the threat of
4 transmission of variant CJD by plasma derivatives was
5 in fact real, product line segregation would not
6 assure that products from U.S. plasma would be free
7 from the risk.

8 Given the large number of American donors
9 who potentially could have been exposed to variant
10 CJD, segregation would not assure safety. Next slide.
11 What measures should we consider then?

12 We believe that it is more useful to support
13 continuing investigations leading to effective control
14 of the spread of variant CJD and research into the
15 agent's behavior. We encourage this committee to
16 support those investigations, rather than requiring
17 companies to implement ineffective segregation
18 schemes.

19 Examples of the investigations currently
20 under way by this industry will be described in the
21 next presentation by Chris Healey of the PPTA. In
22 closing, I would like to extend our gratitude to the
23 committee for the opportunity to provide our
24 perspective on this important issue. Thank you very
25 much.

1 CHAIRMAN BOLTON: Thank you, and as I said
2 before, we will hold questions until after Mr.
3 Healey's presentation, and that is who is next. Mr.
4 Christopher Healey is going to speak on the impact of
5 VCJD measures regarding European donor deferrals.

6 MR. HEALEY: Good evening and thank you
7 again. I am speaking on behalf of the Plasma Protein
8 Therapeutics Association. The PPTA is a global
9 association of the major producers of plasma
10 therapeutics around the world.

11 My presentation tonight is really just a sum
12 up of some of the messages that you have heard from
13 the presentations and I will address some of the
14 important issues on the impact of the potential for
15 segregation on the market for plasma therapeutics.

16 PPTA member companies include those that you
17 see here, and those are the previous speakers. So
18 just to give you a sense of who we represent. Next
19 slide, please.

20 In summary then, there is no evidence or no
21 convincing evidence of prion infectivity in human
22 blood or plasma, nor transmission through human blood
23 or plasma products. This is a point that has been
24 made repeatedly this evening.

25 In addition, fractionation processes achieve

1 meaningful prion clearance. Some of that evidence has
2 also been presented. Current cleaning procedures are
3 robust and obviate cross-contamination issues. The
4 ZLB presentation highlighted this nicely, I believe.

5 And then finally, Dr. Scott raised the issue
6 of labeling, and we believe that labeling requirements
7 that are already in place adequately address this
8 theoretical risk. Next slide.

9 In terms of segregation, and the impact of
10 segregation on the marketplace, the data that we have
11 collected through our monthly data reporting on
12 product distribution, plasma product distribution in
13 the U.S., indicates that 50 percent of the IVIG in the
14 U.S. marketplace today is manufactured in part or in
15 whole at facilities that also fractionate European
16 plasma.

17 So a decision by this company, or excuse me,
18 by this committee to segregate manufacturing lines for
19 U.S. and European plasma would basically be putting
20 all European plasma at risk and saying that 50 percent
21 of the IVIG on the marketplace in the United States
22 today is at risk.

23 This could have tremendous implications for
24 the availability of these products, and in the
25 perception of risk and health that the patients who

1 take them have.

2 In addition, the full range of plasma
3 therapeutics would be implicated. As Dr. Scott
4 indicated, Factor VIII and other factors, clotting
5 factor concentrates would be implicated.

6 Inhibitors would be implicated, and
7 immunoglobulins as I said, and albumin, and
8 fibrinogen. Perhaps most importantly though the
9 future of IVIG and other plasma therapeutics would be
10 dramatically impacted.

11 We know that a number of companies currently
12 are pursuing FDA licensure for IVIG to bring it to the
13 U.S. marketplace. Many of these companies are located
14 in Europe, and also process European plasma.

15 It is ironic that just a few years ago in
16 1998, when industry and when patients were faced with
17 a dramatic shortage of IVIG, the FDA and consumers
18 worked aggressively to find approximate clinical trial
19 protocols to help speed IVIG to the marketplace.

20 And that the actions that you are
21 considering there today would completely undo those
22 efforts, and would basically eliminate the possibility
23 of adding future IVIG to the U.S. market. Next slide.

24 With regard to cleaning, any recommendation
25 must consider the impact on the manufacturing process,

1 and Dr. Davies went into this in detail. But some of
2 the other issues to think about are the production
3 cycle for IVIG and all plasma therapeutics is roughly
4 270 days from the day of donation to the date that the
5 vial is distributed.

6 That is a long manufacturing time, and even
7 minor disruptions in the processes can extend that
8 significantly. So things like segregation, like
9 additional validation, and cleaning steps, can have
10 seemingly minor impact, but relatively major impact in
11 terms of production cycles and getting product to
12 market.

13 In addition, some of the other issues
14 associated with cleaning include the need for facility
15 shutdowns during validation, equipment retooling,
16 refitting, and other issues of that nature. And I
17 know that we will be responding to questions like that
18 in just a few moments.

19 However, as an industry, we do believe that
20 more can be done here, and we believe that
21 collaborative efforts are going to yield the kind of
22 information that we need to make sure that cleaning
23 procedures in place are adequate.

24 So you will see from a later slide that we
25 look forward to working with regulators, with the FDA,

1 with representatives from the EMEA, to make sure that
2 we are all comfortable with the cleaning procedures
3 that are in place, and that we can get a better
4 understanding of what it means to validate these
5 procedures with respect to infectious agents. Next
6 slide.

7 Turning to labeling, Dr. Scott, as I had
8 noted, mentioned this as a potential consideration for
9 the committee. And as I said, we believe that the
10 current labeling is adequate.

11 You can see from the overhead what the
12 current labeling requirements are, and albumin is
13 labeled as having an extremely remote risk, while IVIG
14 and other products are labeled with the language that
15 says may carry a risk of transmitting infectious
16 agents, e.g., viruses and theoretically CJD.

17 We believe that nothing has changed here.
18 We believe that the risk is still theoretical and that
19 this labeling adequately captures the theoretical
20 nature of that risk, notwithstanding segregation, and
21 not withstanding additional cleaning procedures.

22 The risk remains theoretical and that is
23 captured in the current labeling requirements. In
24 addition, we think that additional labeling along
25 these lines, or additional labelings with regard to

1 the nature of the threat, would simply cause fear and
2 confusion among patients, and among doctors and
3 treaters, and wouldn't add to the safety of the
4 products.

5 So we do believe that because labeling is
6 intended to ensure an informed physician and consumer
7 that what is in place is sufficient. Next slide,
8 please.

9 Risk assessment is another concept that has
10 been discussed, and product-by-product risk assessment
11 is something that might also yield additional
12 information. We have heard that product manufacturing
13 processes vary by company-to-company, and that the
14 manufacturing steps for each process and product vary
15 considerably as well.

16 So it is important to take a close look at
17 each of those steps and get a better understanding of
18 the risk and benefits associated with each of those
19 steps, in terms of prion removal, and cleaning, and so
20 forth, to come up with a concrete picture of what this
21 risk may present on a product-by-product basis.

22 A uniform and global approach to this kind
23 of risk assessment simply wouldn't do. Next slide,
24 please.

25 So, PPTA considerations, as Dr. Baron said,

1 our member companies are leading providers of research
2 funds totaling 10s of millions of dollars each year.
3 We have some of the preeminent prion researchers in
4 our member companies and here with you today.

5 So it is our intention to continue this
6 level of funding and interest, and to continue to
7 support research on prions, and get a better
8 understanding of the nature of the disease, and the
9 nature of its transmissibility, and continue this
10 dialogue with you.

11 These other points have already been said.
12 Current PPTA surveillance in the EU countries will
13 minimize food borne transmissions. There is
14 additional information about the precautionary
15 measures that have been taken there.

16 We also believe that the U.K. is a unique
17 circumstance, with a high BSE and VCJD rate, and that
18 it stands alone. That the experience there can't be
19 translated to other European countries.

20 And finally we believe that it is important
21 that any policy that this committee considers, or any
22 other regulatory bodies consider, should anticipate
23 the ultimate appearance of BSE in this country and of
24 VCJD in this country.

25 I think it would be negligent to not plan

1 for that in the event that does arise; and to set a
2 policy that excludes all risk of VCJD, and then to
3 find that it is here in the United States, would cause
4 a lot of confusion, a lot of fear, and a lot of
5 concern among patients.

6 Additional recommendations are that
7 segregation of manufacturing lines is unwarranted, and
8 will significantly impact current and future product
9 availability, which is an important point.

10 That current cleaning procedures are
11 adequate, but that we should work with agencies and
12 regulatory bodies to get a better understanding, and
13 to come to a common understanding of how to go about
14 additional cleaning procedures. And that additional
15 labeling isn't warranted.

16 Our next steps. The PPTA recently held a
17 workshop in Europe to get all the evidence and all the
18 information out on the table that we had available to
19 us about prions and human TSEs.

20 We are planning on holding a similar
21 workshop here and inviting regulators and patients to
22 come and share in the dialogue, and try and get all
23 the risks out on the table, and come to a better
24 understanding, and an open and frank dialogue about
25 the true risks.

1 So we look forward to conducting that
2 workshop, and you can rest assured that the committee
3 members here will be invited. In addition, we would
4 like to develop a task force to further address the
5 cleaning issues.

6 We think that this is very workable and that
7 this task force could meet on a regular basis, and in
8 fact bring information back to the committee to help
9 them form any future policies that the FDA may put
10 before the committee.

11 And then finally the point about continued
12 research. That cannot be emphasized enough. As I
13 said, our member companies are one of the leading
14 funders of research, and we look forward to continuing
15 that. Thank you.

16 CHAIRMAN BOLTON: Thank you. Now we will
17 take all questions for Dr. Davies, Gordon Busenbark,
18 and Christopher Healey. Let's see. I guess we are
19 going to need each of them to be near a microphone
20 somewhere.

21 And in the interest of efficiency, perhaps
22 all at the podium, or pick a microphone and stand near
23 it, I suppose. Yes?

24 DR. KATZ: I think probably Chris can answer
25 my question. I am a little dense at this point in the

1 day. It is my understanding that there would be
2 reluctance to further pursue licensure by those
3 companies that are in the process now if segregation
4 occurred. Would they attempt to not market their
5 products in this country?

6 MR. HEALEY: The point is that we know that
7 there is some companies with manufacturing facilities
8 in Europe that are currently pursuing FDA licensure,
9 and are pursuing FDA licensure for plasma products
10 manufactured from U.S. plasma, but manufactured in
11 Europe.

12 So if this committee were to make a
13 recommendation for complete segregation, it could
14 potentially put that -- could derail that process.

15 CHAIRMAN BOLTON: Bruce.

16 DR. EWENSTEIN: If we assume that it is not
17 practical for the foreseeable future to segregate
18 plasma, or change the overall production, and given
19 how many different nuances there are in the production
20 of the various products, and by different
21 manufacturers, would it be possible for you folks to
22 individually or by consensus sort of come up with a
23 recommendation that we could talk about?

24 And then maybe the FDA would eventually
25 adopt, and which would speak to how many log

1 reductions, for example, one should be able to
2 demonstrate, and similar to the viral certifications
3 that go on today.

4 So that one could say, okay, if there is a
5 theoretical risk in the plasma, we can say that there
6 is a 3 log or a 5 log, or I guess that is what I am
7 asking, is an X-log reduction.

8 And that if the FDA could be satisfied that
9 for a given product and a given manufacturing sweep,
10 that that was accomplished, then it would become moot
11 in terms of the source of the plasma going into the
12 process.

13 MR. HEALEY: I am by no means a scientist,
14 but from what I have heard from my colleagues, I
15 understand that to even speak in terms of log
16 reduction can be misleading, because the infectious
17 dose, if there is one for brands, is not truly
18 understood. I am sure that other can address that
19 more completely.

20 With that said, I can tell you that the
21 industry is very willing to work with the agency. As
22 I have said, we want to develop some kind of working
23 task force to address the cleaning issues, and it may
24 be that that is an appropriate issue to take up as
25 well.

1 So I think that the answer to your question
2 is yes, that we would be more than willing to engage
3 in a dialogue about how to define the issues, and how
4 to come to some consensus on what appropriate steps
5 are.

6 DR. NELSON: I think that the data or the
7 ideas that you presented on segregation and cleaning,
8 et cetera, were fairly clear and illuminated some
9 issues.

10 But one of the issues that I wanted to ask,
11 and that I still don't understand, is in the first
12 half of the meeting we talked about deferring some
13 European donors.

14 And how would that discussion, if
15 implemented, our votes, affect this operation and
16 preparation of IVIG or plasma products? What have we
17 done in the first half of the meeting? Is that a fair
18 question, or do you know it?

19 DR. DAVIES: I think it is a fair question.
20 I think that a number of people have raised the issues
21 that we will have to deal with in relation to
22 segregation, and the issues -- certainly there are
23 issues that we would have to address in the notion to
24 what kind of plasma we process. It can have a
25 profound impact on businesses as we heard in the

1 previous presentation.

2 DR. NELSON: I was concerned about this
3 earlier, but it really wasn't addressed. The plasma
4 industry's problems weren't really discussed the way
5 I think they should have been before we took the vote
6 that we did at the first half of the meeting, or the
7 first three-quarters, or however along we are now.

8 MR. HEALEY: I certainly share your concern
9 and I agree with you. We didn't feel that they were
10 fully vetted if you will. We were very encouraged by
11 Dr. McCurdy's suggestion that plasma should be
12 considered separately.

13 Ultimately, it might be that the same policy
14 would be decided by this committee, but to have a full
15 and open discussion of the plasma issues. The brief
16 presentation that I read indicated that there would
17 be, let's say, a 4 percent donor loss.

18 But the other issue is that casting a shadow
19 over all of the European plasma skews the market
20 dynamics. That plasma is going to have to be made up
21 somewhere else, and it strains the entire global
22 plasma products marketplace. That is the issue that
23 we were trying to communicate.

24 CHAIRMAN BOLTON: Steve.

25 DR. BUSENBARK: Yes, I was just going to

1 make the comment that I think these issues are all
2 interrelated, and that to the extent that all plasma
3 products sold in the U.S. have to be made out of U.S.
4 plasma, irregardless of where they are manufactured,
5 to the extent that we have additional deferral
6 criteria, which we talked about in the earlier half of
7 the day, just like that will affect whole blood
8 collections, and it will affect collection of source
9 plasma through plasma phereses.

10 Because we will have to implement the same
11 deferral criteria, and I think as many of you know,
12 there is already a critical shortage of U.S. plasma
13 right now that is hurting the availability of IGIV,
14 and of plasma derived Factor VIII.

15 So the decision made earlier today will
16 reduce the amount of source plasma available for U.S.
17 products. Now, the second issue is that it has the
18 potential to be compounded by the fact that if various
19 European governments read into the decisions that were
20 made today that there is now a higher risk associated
21 with European plasma, then there is a very high
22 likelihood that European governments may put forth
23 initiatives to try to convert from using their own
24 European plasma to U.S. plasma.

25 And which just like the issue with trying to

1 recruit more donors for whole blood, we are going to
2 have the same issue, and we are going to have more
3 people chasing after the same limited supply of U.S.
4 plasma.

5 And then the third issue that I think is
6 interrelated to this is that if there is a perceived
7 risk based on the discussion that we had today
8 associated with European plasma, then it calls into
9 question all of the fractionators that have facilities
10 in Europe where they process both U.S. and European
11 plasma.

12 So even though in those facilities the
13 products going back to the U.S. are made out of U.S.
14 plasma, I think it is very important to recognize that
15 they are being manufactured in plants using the exact
16 same production equipment, the exact same filling
17 equipment, the exact same critical systems like air
18 handling that is used to manufacture U.S. versus
19 European plasma.

20 So that's why I think it is really important
21 to understand that the decisions that are made by the
22 committee today have some pretty far-reaching
23 implications.

24 CHAIRMAN BOLTON: Dr. De Armond.

25 DR. DE ARMOND: From what you presented,

1 plasma -- and what Hank Baron presented, plasma
2 presents or is a special subset of blood, in which
3 there is an even greater evidence that there is no
4 infectivity theoretically.

5 DR. BUSENBARK: That is absolutely
6 correctly, and a couple of people mentioned that this
7 morning, and because of the manufacturing process
8 itself, it actually reduces the prion level.

9 DR. DE ARMOND: And you also end up with
10 fairly large volumes at the end, because you start
11 with a full plasma, and it seems that you have a
12 chance at least at the end of these multiple steps to
13 actually check for prion protein.

14 Are you doing that and has there been any
15 attempt to do some sort of immunoassay for it, or even
16 bioassay? Or what is your plan for that, because that
17 is really the ultimate test?

18 DR. DAVIES: Well, there are no assays for
19 blood or in blood, and that is an issue, and that is
20 something that is being developed.

21 DR. BUSENBARK: This is protein. You
22 precipitate the protein, and you can do a variety of
23 things. You can concentrate it.

24 DR. DAVIES: Well, there are people working
25 on assays, and there are other people here who may

1 want to talk to that. But that is a key issue, and
2 because of a lack of that technology, we can't assay
3 in final product. But what we can do is scale down
4 and do further studies.

5 DR. BARON: And perhaps Steve Petteway might
6 want to address this after me, but Steve, we are all
7 engaged in evaluating the different process steps, and
8 that plasma fractionation interpretation involves
9 precipitation steps, and it involves absorption steps,
10 all of which are going to remove prions.

11 And using different methodologies in our
12 laboratories, we use the CDI, the Confirmation
13 Dependent Immunoassay, to measure and to put in
14 different steps.

15 And if a buyer has a big program in which
16 they are evaluating different manufacturing steps, and
17 there are numerous steps which show robust removal,
18 and there are still uncertainties about which is the
19 appropriate spike.

20 But what is nice to know is that even
21 studies using different spikes are showing robust
22 removal, and maybe Steve wants to pick up from that.

23 DR. PETTEWAY: Yes. Now, just to follow up,
24 I think an issue was made earlier that many of the
25 studies that have been done so far have been done with

1 animal model systems.

2 And we now have data where we have looked at
3 removal or petitioning human prions, both classical
4 CJD, and we now have some data where we have just
5 looked at variant CJD and done a comparison.

6 And the removal of classical and variant is
7 exactly the same as the removal of the hamster or
8 sheep. So I think we have reached the point now that
9 we have enough research data that we can get together
10 and look at the potential of how we would set up
11 validation and provide some assurance of removal of
12 prions through these processes. And that I would
13 encourage the committee to think about that as a
14 recommendation going forward.

15 DR. DE ARMOND: So the recommendation, I
16 guess, is -- and I guess what I am hearing from you
17 and from the others is that you are validating that
18 there is an elimination of PrP prions.

19 DR. PETTEWAY: We have determined on a
20 research scale that it is potentially feasible, and
21 that the animal studies relate to human; and what we
22 are suggesting is that we ought to meet with
23 regulators and with the appropriate individuals to
24 establish what the criteria for validation would be.

25 DR. DE ARMOND: On individual batches that

1 are made?

2 DR. PETTEWAY: Yes.

3 DR. DE ARMOND: So that you could certify
4 that we have done all the proper tests on this batch?

5 DR. PETTEWAY: Just like we do with our own
6 studies about validation.

7 CHAIRMAN BOLTON: Dr. McCurdy.

8 DR. MCCURDY: This comment and question --
9 actually, it is a question. There is more on the risk
10 assessment and the repeated statement that risk is
11 strictly theoretical.

12 In Europe, fibrin sealant, fibrin glue, has
13 been used for a considerably longer time than in the
14 United States, and it is also used in neurosurgery as
15 I recall to control bleeding.

16 My question is whether there is any fibrin
17 glue been used or that was used in Europe for
18 neurosurgery, and made long enough ago so that perhaps
19 some U.K. or French donor plasma might have been used
20 in some of those pools.

21 And if so, is there enough active
22 surveillance for a long enough period to know whether
23 placing this material directly on the brain, which is
24 the way it is assayed in animals, whether that does or
25 does not cause any infectivity?

1 DR. BARON: I know that Bob Will in the U.K.
2 does have some data, and for a limited time period --
3 I think it is an 8 to 10 year time period -- he does
4 have for one hospital, one institution in Scotland,
5 access to all of the patients who have undergone
6 neurosurgery with fibrin sealants.

7 This is a question that we have also been
8 looking at, and we have been working on putting
9 together an epidemiology study looking at neurosurgery
10 risks and neurosurgery, plus fibrin sealant risks.

11 And the data is very limited, and in the
12 U.S., I don't believe that fibrin sealants have ever
13 been used in neurosurgery. They have been used in
14 some European countries. I believe in Germany and in
15 the U.K.

16 And the only person who really has data, or
17 who has put together data on that, is Bob Will, and we
18 are currently actively trying to put together data in
19 Japan and in Europe as well.

20 And the data that Bob Will has does not show
21 any individuals who have undergone surgery with fibrin
22 sealants who have been afflicted with CJD. But again
23 it is another one of these situations where it is a
24 short time frame that has been looked at.

25 CHAIRMAN BOLTON: Dr. Epstein.

1 DR. EPSTEIN: Yes. If I could be permitted
2 to follow up with Dr. Petteway. Your statement that
3 you have current studies with the variant CJD agent
4 in the fractionation process is the first that I have
5 ever heard publicly of any such result.

6 We, of course, have been awaiting such a
7 result. I have been aware that there have been
8 studies with the BSE agent in Europe, none of which
9 have been reported to my knowledge in any public or
10 private way.

11 So with regard to your own experiments,
12 could you just clarify a couple of things? First of
13 all, what was the maximum clearance at any process
14 step; and second of all, what was the readout assay?
15 Are you talking about immunoassay or Western blot, or
16 are you talking about infectivity, and are you also
17 doing infectivity?

18 DR. PETTEWAY: Well, if you will remember in
19 the paper that we just finished in transfusion, where
20 we correlated prion clearance and infectivity and
21 established that. The methods that we used for --

22 CHAIRMAN BOLTON: Steve, could you move
23 closer to the mike?

24 DR. PETTEWAY: I am right on top of it. The
25 methods that we used for the experiments for the

1 classical CJD prions and the variant are the same as
2 the methods that are in those papers.

3 And we have done studies with a low level
4 clearance method, like cryoprecipitation, and also
5 with a precipitation step that removes all animal,
6 classical CJD, and sheep prions, and we just did that
7 step for variant, and it, too, removed all the variant
8 prion that we spiked.

9 The readout was a Western bloc assay. The
10 quantitative Western bloc that you saw, and the method
11 for which it was published in that paper.

12 DR. EPSTEIN: And the levels of clearance
13 achieved was the maximum for any single step?

14 DR. PETTEWAY: For this particular step, it
15 was greater than four logs.

16 DR. EPSTEIN: And were there more than one
17 step that had at least four logs?

18 DR. PETTEWAY: Well, we chose three steps --
19 a low, an intermediate, and a high -- to assure
20 ourselves that if we saw similar removal that it meant
21 something, and it wasn't just an accident of the step
22 that we chose.

23 And we have looked at the low, and we have
24 looked at the high, and there was correlation with the
25 low and the high, and we are doing the intermediate

1 now.

2 I mean, as you can appreciate doing
3 experiments with the variant and a human are kind of
4 difficult. We are doing them with Richard Rubenstein
5 in New York and Paul Brown.

6 DR. EPSTEIN: And presumably this was a
7 brain homogenate?

8 DR. PETTEWAY: It was a one percent brain
9 homogenate, exactly like all the other studies that we
10 were doing.

11 DR. EPSTEIN: Thank you very much.

12 DR. BARON: Could I just add that we are
13 currently also doing a study on keys steps, and which
14 we are comparing three different prions; hamster
15 prions, sporadic CJD, and variant CJD, in parallel on
16 key steps, using two different spiked forms.

17 There is the purified PrP scrapie, and the
18 molecular spike, and microsomal membrane fraction. So
19 that data that is being generated now, and we will
20 probably have it available soon.

21 CHAIRMAN BOLTON: Peter.

22 DR. LURIE: I have two questions. One is
23 for Dr. Davies, which is that you indicate that this
24 data about the different modules in the purification
25 and product development process, but can your or

1 somebody else who has done these kinds of studies
2 speak to the appropriateness of adding up the number
3 of logs the way you did?

4 I mention this because I noticed that Dr.
5 Rohwer's study for tomorrow is that he makes the point
6 that some of the different steps in the purification
7 process in effect inactivated the same prions, the
8 same fraction over and over again.

9 And so it raises the question to me that if
10 it is really legitimate to add up the logs like that.

11 DR. DAVIES: Well, with regard to the
12 petitioning, it is relevant. They are different
13 steps, and in each of those modules there is a
14 different step.

15 With regard to the cleaning, and the
16 inactivation on cleaning, it was to provide an example
17 as the sort of behavior that is being put forward on
18 manufacturing processes, and it was purely done as a
19 hypothetical case, and adhering to equipment.

20 And the sorts of behavior that we are
21 talking about that it needs to continue to convey, in
22 terms of contaminating a plant. So it was merely put
23 forward to address that issue.

24 But certainly you can have the logs when you
25 have distinct separation processes. And certainly the

1 cleaning procedures that we put in place at those
2 different modules apply at each different module.

3 CHAIRMAN BOLTON: Peter, I respectfully
4 disagree. I think that it depends on the individual
5 steps, and that in fact the bridging experiments that
6 were talked about are often necessary to prove that
7 those affected reductions are additive or not.

8 Because oftentimes you are removing the same
9 prions, and once they are removed in Step A, you can't
10 remove them again in Step B.

11 DR. LURIE: There was a second point if I
12 may, and that has to do with the mechanics if you
13 will, Dr. Busenbark, about your six plants and so
14 forth, and you showed these elaborate diagrams about
15 what is produced where and so forth.

16 And it is clear that it is a very elaborate
17 process, and with a certain amount of duplication, and
18 I can't tell from the diagrams that every one of the
19 steps occurs at more than one place.

20 But it did strike me that there was a fair
21 amount of duplication, and other than insisting that
22 it is impossible to do, have you actually sat down at
23 a computer, if nowhere else, and rearranged the boxes
24 to see if there is literally any way you can comply
25 with separating and segregating?

1 DR. BARON: Yes, I think the answer to that
2 is that is going to take some additional work on our
3 part. This is something that we just looked at
4 closely here over the last couple of weeks.

5 But our initial assessment is that, number
6 one, we don't have the space in our existing
7 facilities. And when you start to talk about
8 segregation and the space -- first of all, there would
9 be a requirement for space just to put in duplicate
10 sets of equipment, and duplicate sets of tanks,
11 duplicate sets of critical systems, like HVAC.

12 That, coupled with the fact that you would
13 really need to look at it in terms of was it giving
14 you the segregation you need, because as you saw from
15 the process diagram that I had in Vienna, there are a
16 multitude of steps.

17 And those are from the initial plasma
18 thawing and plasma cooling, and all the way up to the
19 pharmaceutical filling of the final product. And if
20 you in fact wanted to have a full segregation scheme,
21 you would need to segregate at every single step of
22 the process, which could be a very complicated task.

23 DR. LURIE: I guess my point is that perhaps
24 here is a way of organizing your assets as it were to
25 minimize the duplication. I mean, there is a certain

1 amount of duplication, and certainly these steps
2 occurred more than in one plant.

3 So I understand the problems of doing
4 everything double. I mean, that is an obvious
5 problem. But I don't think that that is the only
6 scenario here. It is clear that you do certain things
7 uniquely in some plants, and then ship the product
8 down to the next place for processing.

9 It is equally clear that some things are
10 done at two plants or more. I mean, have you really
11 thought about this?

12 DR. BARON: Yes, we have given it some
13 thought. Actually, the only product that we make the
14 same product in multiple facilities is albumin, and
15 interestingly the albumin process that we use even in
16 those two separate plants in Vienna and Los Angeles is
17 two different processes based on how they evolve.

18 Otherwise, outside of albumin, all of the
19 products that we manufacture are not made in multiple
20 plants.

21 DR. LURIE: It is the steps that matter,
22 right?

23 DR. BARON: Right.

24 DR. LURIE: And not so much the product
25 though?

1 DR. BARON: Right.

2 DR. LURIE: So the point with albumin is
3 interesting, but the point is where are the steps
4 duplicated, and they are in multiple places. I think
5 that is what I took out of your drawing.

6 DR. BARON: No, I don't think so. If that
7 was your impression that is not really the case,
8 because what it was showing was that we make different
9 products in different plants, and in many cases we
10 would manufacture a product in a given plant up to a
11 certain step, and then move it to another plant.

12 But there are really no instances where we
13 are manufacturing the same product with similar
14 production steps in different plants.

15 CHAIRMAN BOLTON: Dr. Belay.

16 DR. BELAY: Going back to the clearance
17 studies. One of the problems that I have with the
18 clearance studies is that it assumes that the spiking
19 actually mimics natural infection, which may or may
20 not be true.

21 We don't know where the infectivity would
22 be. If, for example, blood contains the prion agent,
23 or the infectious agent; and if this concern is valid,
24 then the data that is collected or obtained from this
25 clearance studies cannot necessarily be applied to the

1 natural infection in humans.

2 And another comment that I have is that you
3 can also make the argument that plasma derivatives
4 could actually be riskier than the components, and
5 that is because as you know blood derivatives are
6 produced by pulling tens of thousands of donors, which
7 would increase the chance that one of the donors could
8 have potentially been incubating the disease if the
9 agent is found in the blood.

10 And not only is there the pulling of plasma
11 derivatives in the production process, but they are
12 also distributed to millions and millions of
13 recipients, thereby increasing the chance if there is
14 any risk that recipients may actually be at risk in
15 developing the disease.

16 DR. BARON: Okay. You are right about the
17 fact that since prions have never been found in human
18 blood, we don't know what the physical chemical form
19 of the prions in the human blood would be if it were
20 indeed in human blood.

21 Therefore, I presented a slide in which I
22 indicated that different laboratories have approached
23 this differently. Some have used brain homogenate,
24 and some have used microsomal membranes.

25 We have used a whole range of spikes. We

1 have actually taken it from the most crude form of
2 infectivity, which is the crude brain homogenate, and
3 going through a series of more purified forms, to the
4 most purified form of infectivity, which is the
5 purified PrP scrapie.

6 And what we have done is compare the
7 clearance at different steps with these different
8 forms of the prion agent, and what we have shown in
9 our laboratories is that membrane brain spikes,
10 whether it is microsomes, caveolae-like membranes, or
11 brain homogenates, kind of partition the same way.

12 The purified molecular spike partitions
13 differently for certain steps. So our conclusion is
14 that we do it on a worst case-best case scenario. I
15 think we are covering all the possibilities.

16 You know, Paul Brown in a 1999 publication
17 called Transfusion, and in this endogenous mouse model
18 of blood infectivity. So this is a wild type mouse
19 that you are not going to inoculate intracerebrally
20 with -- I think there were GSS prions -- and so the
21 mice developed prion disease.

22 And at a late stage, they have very low
23 levels of prion in their blood. But again, remember,
24 this is artificial. It is endogenous and they are
25 being inoculated intracerebrally.

1 So what he found was that there was some
2 infectivity in blood, at very low levels, primarily in
3 the white blood cell component. But very low levels;
4 1 to 20 infected units per ml in the plasma.

5 And when he looked at that plasma under the
6 electron microscope, he reported that there was no
7 membrane debris associated with that. So what I am
8 saying is that we looked at one edge of the spectrum,
9 and the other edge of the spectrum.

10 And I think the best that we could do is
11 provide a range. The answer is somewhere between
12 these two, but I think at least at this stage you are
13 getting into something meaningful, because you are
14 covering all the possibilities.

15 DR. BELAY: Right. But what I am saying is
16 that we have to be cautious in our interpretation of
17 the data that we are collecting.

18 DR. BARON: Of course. That is why we took
19 this multiple spike approach, but I think what
20 encourages me is that when I look at the data from all
21 the different laboratories that I continue to see
22 robust clearance at lots of steps.

23 CHAIRMAN BOLTON: Do you have a comment on
24 that?

25 DR. PETTEWAY: Yes, I would just like to a

1 point, and that is that the sheep studies that we have
2 done, and the human studies, have been with prions
3 from natural infections. So they are the agent of
4 natural infection.

5 The issue is whether the agent, if it gets
6 into the blood, is different. But clearly the removal
7 that we see, compared to the experimental hamster
8 model from humans and sheep is from naturally infected
9 hosts.

10 CHAIRMAN BOLTON: I doubt if people want to
11 beat this to death, but I thought about this a lot,
12 and it is an issue that you really cannot resolve
13 experimentally, because there are not enough natural
14 prions in blood to do the experiments.

15 So if you do the experiments on natural
16 prions in blood, you can only do part of one step and
17 that's it, because if there is any removal at all,
18 they are all gone.

19 So you are almost forced to rely on an
20 artificial spike, and then you are forced to accept
21 that, using various spikes. And the data that you get
22 is at least representative of what happened, or what
23 would happen in real life. Dr. Ewenstein.

24 DR. EWENSTEIN: Well, I was going to make
25 just a couple of points. From the point of view of at

1 least the coagulation factors, I think it is important
2 to emphasize -- and I think some of the public
3 comments mentioned this -- that we are currently in a
4 very dramatic period of shortage right now, especially
5 with respect to Factor VIII.

6 And that going forward that it is not clear
7 exactly when that is going to end. And when we talk
8 about plasma products, if you include albumin, then
9 you would have to even think about the recombinant
10 Factor VIII, or at least the major recombinant Factor
11 VIII that is available.

12 We might say almost the only recombinant
13 Factor VIII that is available right now, and so we are
14 really talking about an area that would affect the
15 entire hemophilia A population for all intents and
16 purposes.

17 That said, I think that we would also have
18 to think about a sort of whether it is possible to
19 truly distinguish U.S. plasma as safe, and European
20 plasma as unsafe.

21 And we talked about trying to reduce risk
22 before, and I voted for that proposition. But that is
23 not to say that there is zero risk. So there is still
24 a U.S. donor who is coming in with 2.8 months of
25 exposure in the U.K., and he is not a zero risk

1 person.

2 We have to think about the fact that beyond
3 donor screening and deferral, we can't do testing of
4 plasma at this point, or testing of donors. So then
5 you get to the next step in the blood safety net,
6 which is inactivation.

7 I don't think it makes sense to beat on the
8 donor deferral piece for the plasma derivatives so
9 much as to try to pool what we have heard, and really
10 encourage in our recommendation to the FDA that levels
11 of validation be set at this point, imperfect as they
12 might be.

13 And to say for the various plasma
14 derivatives that the steps in a given manufacturing
15 process, and not just a hypothetical one, but for each
16 product that is licensed, has met a certain amount of
17 reduction to the best of our current knowledge. I
18 don't think you can get any more safety out of
19 bifurcating the stream of plasma.

20 CHAIRMAN BOLTON: I am going to take one
21 more question, and then I think we ought to move on.

22 DR. BAILAR: I would like to urge that we do
23 what we can to get away from these log reduction
24 models. They can be quite useful, helpful,
25 informative, in the study of individual laboratory

1 experiments.

2 But several things can go wrong when you
3 start applying them in sequence, step-wise, to working
4 systems. The first thing is that some of the
5 assumptions may fail.

6 For example, what I have seen has had the
7 implicit assumption that the successive steps are
8 statistically independent and that may not be true.
9 There may be some questions about the data input, and
10 what you used in the laboratory really matches what
11 goes on in the field.

12 There can be equipment failures in the
13 field, and there can be human failures. When I look
14 at all of these together, I have some real concerns
15 about the use of those models. So I would ask that we
16 be very careful about how we use them.

17 I am not saying that we shouldn't use them,
18 but they need to be seen with a lot of skepticism.

19 CHAIRMAN BOLTON: Yes, I think that is an
20 issue that has been addressed, and is revisited
21 frequently when these kinds of validation studies are
22 done, and I think the FDA are well aware of that and
23 will consider that.

24 I would like to move on given the lateness
25 of the hour. I would really like to move on and

1 entertain the questions for the committee. Oh, that's
2 right. I can't move on quite that quickly. That's
3 right. We do have an open public hearing.

4 And we have, I believe, at least two
5 speakers. So our first speaker will be Mr. Chris
6 Lamb, from the American Red Cross.

7 MR. LAMB: Good evening. My name is Chris
8 Lamb, and I am the Chief Operating Officer for the
9 Plasma Services Unit of the American Red Cross. Thank
10 you, Mr. Chairman, and Members of the Advisory
11 Committee for giving me the opportunity to speak today
12 on behalf of the American Red Cross.

13 The American Red Cross plasma derivatives
14 meet approximately 25 percent of patient need for
15 these therapies in the United States. At present, the
16 American Red Cross contracts with for profit
17 manufacturers to process approximately 1-1/2 million
18 liters of plasma recovered from volunteer blood
19 donations, anti-hemophilic factor, albumin, and
20 intravenous immune globulin, IGIV.

21 IGIV and some albumin distributed by the
22 American Red Cross and the United States are
23 manufactured in European fractionation facilities.
24 All other plasma products distributed by the Red Cross
25 are manufactured in the United States.

1 The American Red Cross is committed to
2 providing the safest possible blood and plasma
3 products to patients and physicians treating those
4 patients.

5 As we discussed with you earlier today, the
6 Red Cross implemented an expanded donor deferral
7 policy to reduce the theoretical risk of transmission
8 of variant Creutzfeldt-Jakob disease through blood and
9 plasma products.

10 Consistent with our policy of expanded donor
11 deferral, the Red Cross believes that U.S. plasma
12 should not be processed using common manufacturing
13 lines as those used for European plasma.

14 Therefore, we are working with firms
15 fractionating American Red Cross plasma to achieve
16 segregation in the manufacturing process. Let me talk
17 a little bit about the risk of variant CJD and plasma
18 derivatives.

19 The Red Cross will continue to evaluate the
20 presence and partitioning of prion plasma and plasma
21 derived products. Our conclusions to date are as
22 follows. Infectivity is present in plasma of
23 experimentally infected animals, hamsters, and mice.

24 This was work that was done with Dr. Paul
25 Brown and Dr. Robert Rohwer. Dr. Brown did the work

1 with the mice, and Dr. Rohwer did the work with the
2 hamsters.

3 In experimentally infected animals,
4 infectivity partitions into multiple fractions,
5 including cryoprecipitate, and Fraction One Plus Two,
6 Plus Three, the starting materials for Factor VIII and
7 IVIG respectively. Again, this was work done with Dr.
8 Brown and Dr. Rohwer.

9 Various degrees of removal of prion
10 infectivity might be achieved through specific steps
11 in the fractionation process. However, studies
12 examining this process have used brain derived
13 laboratory strains as a model agent.

14 Results from variant CJD agent and human
15 plasma may be different. There is evidence that
16 prions adhere to surfaces as has been shown for
17 stainless steel surgical instruments.

18 Thus, there is a risk that the infectious
19 agent can bind into equipment cross-contaminant
20 subsequent batches of product. Pulling in multiple
21 donations increases patient exposure to any infectious
22 material.

23 Because we know very little about
24 transmission of variant CJD through blood products, we
25 must be mindful of the history of transmission of

1 pathogen through pool plasma products. Confirmed
2 cases of BSE continue to spread throughout Europe.

3 The movement of BSE cases into Eastern
4 Europe, highlights that we do not know much about the
5 human reservoir that might be affected by this
6 disease, with a multiple-year incubation period.

7 Consequently, we need to take all possible
8 steps to protect the U.S. supply of plasma and blood
9 products from potential contamination. The Red Cross
10 is taking the following actions to reduce the
11 potential risks of variant CJD in our plasma products.

12 Only plasma used in the manufacture of
13 plasma derivatives and distributed under the American
14 Red Cross label will be that collected by our regions,
15 and from non-Red Cross blood centers that comply with
16 our donor deferral policy.

17 The American Red Cross plasma is
18 fractionated by contract manufacturers under their own
19 FDA licenses. We aggressively seek fractionation
20 facilities decided to processing plasma from U.S.
21 sources.

22 And because FDA registration is necessary
23 for changes to the contract manufacturing facilities,
24 we would look to the FDA for expedited approval, if
25 necessary.

1 In the transition period, we are consulting
2 with leading experts to evaluate and determine the
3 best cleaning and sterilization procedures for
4 fractionation where plasma will be processed.

5 Implications for availability. As we work
6 with the firms to manufacture IGIV to achieve
7 segregation, there is the potential that the supply of
8 Red Cross IGIV might be temporarily reduced.

9 Assuming that we have reasonable cooperation
10 with the manufacturers, the Red Cross believes that we
11 will be able to meet the needs of patients being
12 treated with our IGIV product under FDA approved uses
13 during the time it takes to achieve manufacturing
14 separation.

15 We do not anticipate any impact on the
16 availability of albumin or Factor VIII. Our
17 conclusion is that given the scientific uncertainty
18 surrounding variant CJD, and the potential for
19 transmission through plasma products, the Red Cross
20 believes that there should be segregation in the
21 manufacture of U.S. plasma products from European-
22 derived plasma.

23 Existing scientific studies have outlined
24 the potential infectivity of certain plasma fractions
25 by prions require us to take steps now to address

1 manufacturing issues.

2 This action, combined with an expanded
3 deferral for blood donors, will help ensure that
4 patients or recipients of U.S. blood or plasma
5 products will have a reduced risk of exposure to
6 potentially harmful TSE pathogens.

7 As with our variant CJD donor deferral
8 policy, if we are wrong in a more cautious
9 manufacturing approach, we have expended more
10 resources than necessary.

11 If we are correct, the consequences of a
12 less cautious manufacturing policy cannot be
13 corrected. Thank you very much.

14 CHAIRMAN BOLTON: Thank you. Our next
15 speaker will be Mr. Jason Bablak, from the Immune
16 Deficiency Foundation.

17 MR. BABLAK: I am Jason Bablak, Vice
18 President of Public Policy for the Immune Deficiency
19 Foundation, and I have summarized my previous
20 statement to a few bullet points to try to expedite
21 this tonight.

22 IDF represents the primary immune deficient
23 patients, and approximately 70 percent of those use
24 IVIG to maintain their health. For our patient
25 population, supply is a safety issue.

1 Recent IVIG shortages in the U.S.
2 highlighted serious health consequences resulting from
3 an inadequate supply. Currently, not enough is known
4 about variant CJD. We believe that in the absence of
5 scientific facts that it is prudent to take action to
6 reduce theoretical risks so long as that action does
7 not result in actual harm itself.

8 Supply of IVIG remains tight, and therefore
9 any action that results in reduced availability could
10 have significant negative impact on our community.

11 And finally we look forward to working with
12 the plasma manufacturers in the industry workshop on
13 risk assessment that they talked about earlier today.
14 Thank you.

15 CHAIRMAN BOLTON: Thank you. And I guess
16 now we will entertain any other public comments from
17 the floor?

18 MR. HEALEY: I just wanted to reiterate the
19 fact that the data that PPTA presents each month on
20 the availability and distribution of products
21 indicates that a 50 percent loss in the IVIG currently
22 distributed in the U.S. today would be implicated
23 through segregation.

24 I know that the Red Cross had raised a
25 different number, but the numbers that we distribute

1 each month include the Red Cross IVIG. So that 50
2 percent is inclusive of that.

3 The other question we would have for the Red
4 Cross is whether given their concerns about the risk
5 that they are perceiving with the products, would it
6 be their intention to pull current products off the
7 marketplace. Thank you.

8 CHAIRMAN BOLTON: Thank you. Additional
9 comments from the floor?

10 MR. YAMBURG (phonetic): Yes, my name is
11 Yamburg, and I am the PPTA president, and I am
12 European, and I live in the States, and I work on the
13 road, and I see every day the consequences of
14 disharmony in policies that are made, whether it is in
15 the States or any other part.

16 The reason that I want to stand up is this.
17 We are very concerned about the patients that need
18 their products. You have heard enough this morning
19 about the emotional statements that were made.

20 And when I talk about these needs, I become
21 emotional, because I accept responsibility that we as
22 a manufacturer have to do everything possible to
23 manufacture safe products.

24 What you did today is that you created
25 another disharmony. Let me give you one example, and

1 then I will stop because it is late. One of the
2 things that we have to do as an industry is that we
3 need to work in harmony and we need to work as a
4 piece.

5 We have to use donor deferral criteria.
6 What happened this morning is you are forcing the
7 industry to work with two different donor deferral
8 criteria; one in the States and one for Europe.

9 The one that you discussed today can never
10 be implemented in Europe, and for reasons that you
11 well know. The other thing -- and you can hear it in
12 my voice that I am really angry, because you heard our
13 experts standing up about what we do in the industry.

14 And I do not understand why the American Red
15 Cross stands up and talks about things that we have to
16 do which have a tremendous impact on the patients that
17 need our products.

18 CHAIRMAN BOLTON: Thank you. Other comments
19 from the floor? Seeing none, we will move on to the
20 committee discussion then. Peter.

21 DR. LURIE: Yes. I am not sure if this
22 committee has any obligation to follow the regulations
23 of other countries, and I'm sorry that is the cost of
24 doing business for you, but frankly I am sure that the
25 donor criteria are different in other ways from

1 country to country.

2 And I am sure that it complicates things,
3 but that doesn't make me want to retract what we did
4 for one minute. I do have a question for the Red
5 Cross gentleman though who spoke up earlier.

6 And he seems to have confidence that the
7 segregation can be accomplished, and so I just want to
8 invite him to perhaps share his thoughts on that in
9 greater detail. How will it be done mechanically, as
10 I think it is a mechanical issue, and how you think it
11 can be done.

12 And how to the extent that you found places
13 that are in a sense compliant, and how they are doing
14 it. Could you perhaps enlighten us on that?

15 CHAIRMAN BOLTON: I am going to ask that you
16 very briefly comment on this, because I really would
17 like to move on to consider the questions at hand.
18 So, please.

19 MR. LAMB: There is no way really to give
20 a short answer to that. I think you have to look at
21 the overall industry, and I don't know that there has
22 been enough of a discussion of the way in which the
23 plasma derivatives industry is organized.

24 There are really five main fractionation
25 facilities that serve the United States. There is the

1 Baxter facility in Glendale, California; and there is
2 the Alpha facility in California; and there is a Bayer
3 facility in Clayton, North Carolina; and here is the
4 ZLB facility in Switzerland; and there is one more,
5 the Aventis facility.

6 I think you really need to take a look at
7 where the products that are really coming into the
8 United States, where they are coming from, the
9 facilities, and which facilities. Not necessarily the
10 -- well, many of these companies have many facilities,
11 but those facilities may not provide the U.S. market.

12 So I really think you need to take a look at
13 the industry as a whole, and what plants are where.
14 For example, the Bayer facility in North Carolina --
15 and I don't mean to speak for Bayer -- processes only
16 U.S. and Canadian plasma.

17 The issue is European plasma, and so I think
18 you really need to take a thorough look at each of the
19 plants, and what products are coming out, and what
20 plasma is being processed here, and then from there
21 what can be done.

22 In my mind, here are a relatively small
23 number of products where there is a real issue. Mr.
24 Busenbark talked about the fibrin product which is
25 used to treat hemophiliacs that develop an inhibitor,

1 and that is correct.

2 And that particular Vienna facility is
3 probably difficult to correct, but I think the
4 committee has to look at are there other available
5 therapies. There are two other available therapies in
6 the United States, and is that an appropriate
7 solution.

8 So I think you really should look at each of
9 the products, and the facility, and which of those
10 facilities has U.S. and non-U.S., and get more
11 information to better determine what can be done and
12 what can't be done.

13 I personally have been in the industry for
14 16 years and I don't believe it is as dramatic, but in
15 a couple of minutes it is difficult to walk through
16 that, and we, took, at the American Red Cross have a
17 commitment to patients.

18 And I don't think this is an issue about one
19 group or another group having a more or less interest
20 in the patients. I think we all want to serve the
21 patients. We just look at the data, and we believe
22 that we should err on the side of caution, and that is
23 all that we are saying.

24 DR. BUSENBARK: Can I state something?

25 CHAIRMAN BOLTON: One brief comment.

1 DR. BUSENBARK: I would like to just talk
2 specifically about three products in terms of the
3 Baxter situation. We have talked a lot about IGIV and
4 that is very important, because as I mentioned before
5 on a global basis, Baxter produces in excess of 20
6 percent of the IGIV that is sold worldwide.

7 And of that 20 percent that we produce
8 worldwide, about 80 percent of it goes back to the
9 U.S. But I think it is important to recognize as I
10 pointed out in my diagram -- and this underscores the
11 complexity of the segregation -- is that we tear down
12 and fractionate plasma at three manufacturing
13 locations; two plants in the U.S. and one in Europe.

14 And to the intermediate States or Fraction
15 2, which is the intermediate that is used to produce
16 IGIV. What then happens is that Fraction 2 immediate
17 from those three plants is sent to one plant, in
18 Lessines, Belgium.

19 And they then process that IGIV into final
20 product. So there is no alternative. All of the IGIV
21 that is manufactured worldwide -- and we make IGIV out
22 of every liter of plasma that we process.

23 All of the intermediates, whether they come
24 from L.A. or Rochester, or Vienna, go to Lessines for
25 final processing, both out of U.S. plasma and European

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 plasma.

2 They are using common manufacturing
3 equipment, common tanks, and we in essence to achieve
4 this segregation scheme that has been outlined would
5 have to have a dedicated separate facility in Lessines
6 for processing European plasma and that would be
7 independent from the facility that we have for U.S.
8 plasma.

9 DR. LURIE: But your example makes the point
10 that for the three previous steps, I think you said
11 there are three separate plants, right?

12 DR. BUSENBARK: Yes, that is correct.

13 DR. LURIE: So for those three steps, you
14 would not need to duplicate.

15 DR. BUSENBARK: That is correct. But the
16 other point that I would bring out is that for two
17 other critical products that we manufacture, fibra,
18 which is used to treat inhibitors for patients with
19 hemophilia A, and fibrin sealant, and those are only
20 manufactured in one plant worldwide, and that is in
21 Vienna.

22 And where roughly half of the product that
23 we manufacture is made out of U.S. plasma for the U.S.
24 market, and about half of the product we manufacture
25 is sold in Europe out of European plasma.

1 And in that case the only way that we could
2 segregate once again would be to construct a totally
3 separate manufacturing facility, and totally separate
4 equipment, and totally separate critical systems.

5 So we in essence would have to duplicate the
6 plant that we have in Vienna to allow for segregation,
7 and I just wanted to point that out to underscore the
8 fact that it may seem simple and straightforward, but
9 in fact when you get into the details, it becomes very
10 complicated.

11 CHAIRMAN BOLTON: I am going to hazard a
12 guess and go way out on a limb, and guess that we are
13 not going to resolve that complex issue here tonight.
14 So I don't know exactly how we can advise the FDA on
15 that, except to begin to consider the questions at
16 hand.

17 And unless there is a pressing question --
18 Dr. Ewenstein, you had one, but --

19 DR. EWENSTEIN: No, it wasn't a question.
20 I was just going to comment that I thought at least
21 about the product that I am most familiar. I mean, it
22 seems that it would not be easy if at all possible to
23 substitute products around as has been suggested.

24 I think that without getting into specifics
25 of a lot of different products, but there is one VWF

1 factor A concentrate, and as far as I know, it is made
2 only in Europe, for example.

3 This market has become totally integrated,
4 at least for the part that I am aware of. I think we
5 are likely to have sensitive tests for the plasma in
6 a much shorter time period -- and I may be going out
7 on a limb here -- than it would take to build or to
8 rebuild the plant and get them approved based on my
9 outside experience with these kinds of approval
10 processes.

11 So we have to think of the practicality. It
12 is not the money, but it is just the time line that it
13 would take to redo all of this manufacturing.

14 CHAIRMAN BOLTON: I think the issue is far
15 too complicated for us to do much, in terms of
16 considering any kind of specific issues there. But
17 let's move on to the questions that the FDA has asked
18 us to consider.

19 The first one is to please comment on the
20 significance of the VCJD risk from campaign
21 manufacturing involving exposure to European plasma,
22 and I would hazard a guess that we can say that the
23 risk is essentially unknown, and probably very low.

24 I don't know that we can add much more
25 detail to that unless somebody has superior knowledge

1 to my own. Stan.

2 DR. PRUSINER: I think you are right. We
3 don't know the risk, and nobody around this table can
4 define the risk, but I think there are pluses and
5 minuses in this whole thing, and I think we ought to
6 lay them out. I mean, we hear very strong arguments
7 from one side, and then we hear very strong arguments
8 from the other side.

9 And I think it is worth for just a second
10 saying that if there are significant level of prions
11 in new variant CJD people, in their plasma, and these
12 prions behave in any way, shape, or form like those in
13 brain, then they may well be accumulating in columns,
14 in various fractionation steps in the equipment.

15 And depending upon the stringency of the
16 sterilization that is used between the batches, they
17 could pose a problem. We don't know. But I think to
18 dismiss this as a non-issue, and to dismiss it as an
19 issue that -- well, we can't produce or we can't
20 segregate this for whatever reasons, I don't think is
21 in the best interests of the health of people in the
22 United States.

23 I mean, I don't think that this committee is
24 really concerned about the health of the Europeans. I
25 mean, we have Europeans who are angry, and we have

1 Europeans who are upset. We have them telling us that
2 we are doing bad things here, but that is not the
3 object of what is going on here to be very frank and
4 open.

5 We are charged with this issue, and we are
6 not charged with a globalization. I mean, when I hear
7 all this stuff about globalization of the plasma
8 products industry, it makes me shudder.

9 It makes me feel like when I have these
10 arguments with airplane designers and the airlines
11 that they want bigger and bigger airplanes, so that I
12 can get sicker and sicker every time I get on the
13 airplane.

14 I want smaller airplanes, and I am not sure
15 that this is all going in the right direction. I
16 mean, this is a big industry, doing it as efficiently
17 as possible.

18 But I am not so sure that this is such a
19 great thing the way it has evolved. Now, I am not
20 saying that I know the answers, and I am not saying
21 that I understand all this.

22 But with the bigger the batches, the bigger
23 the problems sometimes, and if we look back on human
24 pituitaries, this is why it all happened there,
25 because with these enormous batches that were

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 processed.

2 So I just think that we need to step back
3 from this for a moment, and I don't know the answers,
4 and I agree with you, David, but I think that these
5 are considerations.

6 CHAIRMAN BOLTON: Steve.

7 DR. DE ARMOND: Well, I think, Stan, that
8 you really meant to say that you really care about the
9 lives of individuals in Europe. As a physician, you
10 don't want them to get sick, but we really as
11 physicians, we really care about everybody, regardless
12 of race --

13 DR. PRUSINER: Of course.

14 DR. DE ARMOND: Even if they are French.
15 Being of French descent, I think I can say that. But
16 it seems to me that we are stuck in a sense trying to
17 make recommendations to the FDA, and it looks like
18 plasma is relatively safe, even from what we can
19 understand so far.

20 But we don't know the answer to that, but it
21 does seem safe, and it looks like the processing
22 process captures or somehow eliminates a lot of the
23 prions. The only issue I have is what additional
24 thing would you recommend.

25 You could leave it like that and say we

1 believe that the final product is clean, regardless of
2 whether it comes from Europeans or from U.S. Citizens.
3 But we can do more. I guess we could recommend
4 further testing, and how would you recommend to do
5 that, or what should be tested?

6 Do we test the equipment? Do we look more
7 closely at inside the equipment to see if there is
8 infectivity? We learned from these studies in
9 Weissman's lab that you can't even wipe the infected
10 particles off after multiple wipes.

11 Even with sodium hydroxide, they stick to
12 it. So even a wipe test isn't going to tell you
13 necessarily that there is PrP in the equipment. And
14 the other is that because you do have big batches, you
15 can test the batches.

16 You can precipitate the proteins and
17 concentrate them, and perhaps look for PrP. But if
18 you do those things and find that you are negative,
19 and there is no PrP, are you then -- is that a test
20 that you are assured that those batches are free of
21 prions? Stan, do you have any comment on that? Is
22 the negativity in a batch --

23 DR. PRUSINER: The problem is a volume
24 problem. You can only test a small volume, a small
25 aliquot of any one of these products, or otherwise you

1 use up the whole batch of what you made.

2 And you don't know that the prions are
3 distributed homogeneously. I think that is an issue
4 that we have not discussed at all here. I think that
5 you don't even know that the prions are homogeneous
6 themselves.

7 There is no reason for them to be and that
8 is what David Bolton was alluding to, which I felt was
9 a very interesting observation and a very interesting
10 comment, that when you have such heterogeneity that if
11 you do this batch removal kind of -- you do it once,
12 and then you do it by another technique, and then
13 another technique -- and let's say the prions are in
14 groups A, B, and C.

15 You may each time be removing 10 to the 4th
16 out of 10 to the 7th, but it may be Group A for each
17 of these different processes. And you may be left
18 with Groups B and C, and you can't tell that based
19 upon these model studies where you do these added
20 removals and you now say we have a removal now of 10
21 to the 15th.

22 DR. DE ARMOND: So do I understand from that
23 that are current testing procedures, even the most
24 sensitive ones, will not be of help?

25 DR. PRUSINER: Yes. I think when you think

1 about this, you really need to go back to the source.
2 .The source is the most important thing. If we had a
3 blood test that was a hundred percent, and that would
4 tell us that there were no prions in the blood, and
5 this person was not producing prions, that would be
6 the very best way to do it.

7 And, of course, that is the way that the
8 plasma products industry operates when it can do that.
9 That is what it does to make sure that there are no
10 HIV particles floating around in the plasma that it is
11 going to fractionate, and that is really the ultimate
12 way to do it.

13 DR. DE ARMOND: So you would start with the
14 fresh -- the blood that comes out, and take the buffy
15 coat, because that would have the highest possibility
16 of --

17 DR. PRUSINER: Well, yes, I guess so at this
18 point. But this has not been worked out, and so I
19 think --

20 CHAIRMAN BOLTON: Yes, I think we want to
21 avoid trying to work out specific details of things
22 here. We clearly do not have enough information to
23 start proposing specific policies or recommendations
24 in that way.

25 DR. PRUSINER: Exactly.

1 CHAIRMAN BOLTON: I think that there are a
2 few general things that we can talk about. I would
3 like to suggest that one thing that I see clearly out
4 of this is that industry representatives need to get
5 together with the FDA, probably in consultation with
6 this committee, and begin to look at this issue going
7 forward.

8 And what can be done, and how can it be
9 done, and what studies need to be done, and what kind
10 of validation tests are going to be acceptable, and
11 how they can be done.

12 We are not going to resolve that issue
13 tonight. There just is not enough time, and there
14 isn't clearly enough information. So what I would
15 like to do though is to -- if anybody has any more
16 specific comments that they would like to give to the
17 FDA regarding the significance of the VCJD risk from
18 this mixed process, and/or Peter, the second question,
19 any additional steps that you think should be taken at
20 this time to address the issue of common manufacturing
21 lines.

22 Let's focus on those things for the next few
23 minutes, and try to get that out of the way. The
24 third issue is the other specific recommendations that
25 we can vote on, but if you can put in your mind things

1 about the risk, and what is the significance of the
2 risk in this process, and what additional steps can be
3 taken or should be taken.

4 DR. DE ARMOND: I don't think we can do that
5 because we don't have any data. All we know is from
6 what the industry tells us, is that it is a pretty
7 clean process, and the final product, regardless of
8 whether you run it in series, U.S. and European, and
9 series, you end up with a clean product.

10 And we have to believe that at this stage
11 because we haven't tested the blood up ahead, or ahead
12 of time, and we haven't tested throughout the system.
13 So what can we say? That it sounds like it is all
14 right.

15 DR. LURIE: I guess one observation that I
16 have is that compared to the discussion on the blood,
17 we are talking about many more products at least, and
18 with very much less data. We poured an incredible
19 amount of attention and analysis on to the blood
20 thing, and we don't have anything remotely like this.

21 And really each of the products merits its
22 own separate analysis. Obviously, we can't do that
23 here. But what I think needs to happen is the FDA
24 needs to call in everyone of the manufacturers of the
25 plasma derivative that wishes to market their product

1 in the United States, and literally go through the
2 production sequence, every single step of it, and have
3 them justify to them why that step is non-
4 segregatable.

5 If it turns out that it is a straightforward
6 matter to be able to segregate for that particular
7 step, then I think that the manufacturer should be
8 encouraged to do that. That's what I think we should
9 be recommending.

10 CHAIRMAN BOLTON: Okay. There is a
11 recommendation. Any additional recommendations,
12 comments, suggestions at this point?

13 DR. NELSON: I think just like we talked
14 about the supply issue with regard to blood, that
15 needs to be considered here, too, because there are a
16 critical group of patients that are going to die, or
17 get sick, or have real problems.

18 And there has been a shortage of IVIG and
19 other products that has created problems, serious
20 problems for a subset of patients. And I think that
21 needs to be taken into consideration.

22 And I agree that anything that could be done
23 to improve the theoretical or actual safety, and that
24 is a real issue that was brought up, that here we are
25 dealing with not one donor, but a pool of 10s of

1 thousands, and we are dealing with one pituitary out
2 of 10,000 or whatever.

3 But that there might be because of that, and
4 because of the fact that batches go into all of this
5 equipment, I can see that even though there may not be
6 a risk, if there is any infectious material in blood,
7 in plasma, this is where it might show up.

8 And I think we can be encouraged by the fact
9 that there has never been hemophilic with Creutzfeld-
10 Jakob, but of course the variant is not long enough.

11 But still I think we really need to look at
12 the people who need this product. There could be
13 recommendations made that would really jeopardize
14 their health.

15 CHAIRMAN BOLTON: Dr. Davey.

16 DR. DAVEY: Yes, just a couple of comments.
17 I agree with Dr. Nelson. I think it is important that
18 these highly transfused populations over many years
19 have not demonstrated any sign of the disease, and I
20 think that is important information, because this has
21 been going on now for several years.

22 And what I have heard -- and again maybe
23 similar to this morning, but we do have some fairly
24 distinct facts on the table. Number 1, I heard the
25 industry say that they are robust in activation

1 processes.

2 I am not an expert here, but I was impressed
3 by what they demonstrated and some of the comments
4 from people around the table. Number 2, I hear that
5 the change at this point is an immense undertaking of
6 money and time, hundreds of millions of dollars, and
7 many, many years.

8 Thirdly, I have heard that any steps along
9 this line to segregate, or to build new facilities,
10 more clearly have an impact on the supply. And I
11 think we heard this morning -- and we have heard from
12 some of the others now -- that the supply is very
13 tight for IVIG and for Factor VIII, and patients will
14 clearly suffer.

15 And also I would perhaps disagree with some
16 of the comments around the table. When we can
17 harmonize with our European colleagues on these
18 issues, we should. There is no reason to think that
19 we have a monopoly on how to do this.

20 And when we can harmonize with Europe, work
21 with them on a single standard on a way to approach
22 this, we should. We can't do it all the time, but
23 when we can, we should.

24 So I would leave the situation, in terms of
25 segregation, alone right now, work on new tests, and

1 have the industry consider what they can do to improve
2 safety whenever possible, but segregating it seems to
3 me seems to make no sense.

4 DR. DE ARMOND: That was very clear and
5 lucid, and I think ideal.

6 DR. KATZ: Let me bring it to a clinical
7 level. Was it in the fall of '97 that IVIG went away?
8 Was that when it was? That's when I got a satellite
9 beeper, because my blood center holds the inventory
10 for our system hospitals.

11 And I have been approving every allocation
12 of intravenous immunoglobulin since that time. There
13 have been times when we had a little more and times
14 when we had a little less.

15 I have had to tell people with
16 hypogammaglobulin anemia that they only get a half a
17 dose this month on more than a few occasions. We have
18 had to recommend alternate therapy, including invasive
19 procedures like plasma exchange, for people because
20 there wasn't enough IGIV to treat their polyneuropathy
21 or whatever else it happened to me.

22 So I will be very, very cautious about
23 taking a step like segregation now that will take
24 years to bear fruit if retooling is required, and
25 anticipating the availability of testing I hope in

1 that interval, I would be very, very cautious about
2 taking that step. The supply is very tight.

3 CHAIRMAN BOLTON: Very good. Additional
4 comments? The final question -- and I am assuming
5 then that no one has any more comments from issues of
6 the -- from questions one and two.

7 The FDA would like to know more or less
8 informally -- and this is not something that we have
9 to vote on these specific things, but they would like
10 to know whether or not some of these other steps
11 listed in A through D are things that should be
12 considered at this time.

13 And we can just talk about these generally,
14 or I think we can dispatch with them fairly quickly.
15 Labeling to identify campaign manufacturing, involving
16 potential exposure to European plasma. Should that be
17 changed? Should the labeling be changed to indicate
18 some additional risk.

19 Should additional decontamination procedures
20 be used? Should the use of dedicated manufacturing
21 lines -- well, I think we discussed that and it seems
22 at least in my opinion to not be warranted at this
23 time. And any other measures.

24 So I guess let me just put my comments out
25 there. The labeling at this time to me seems to be

1 adequate, although it would be perhaps worthwhile for
2 the FDA and the industry representatives to discuss
3 that.

4 The use of additional decontamination
5 procedures I think needs to be examined, but they
6 really need to be validated in some way before they
7 are launched into.

8 And the question there, I think becomes one
9 of -- and I don't know how this works, but if that
10 would affect the licensing situation. Is that
11 something that has to be revisited. So those are my
12 questions.

13 DR. NELSON: I may have misinterpreted it,
14 but my interpretation of the labeling is that there is
15 labeling already warning of the risk of theoretical
16 CJD. But there isn't labeling that this particular
17 product was campaigned if you will.

18 And I think what they are asking is should
19 an additional label identify this batch, as opposed to
20 another one. That there was a different sort of
21 potential or a different mixing.

22 And I think that maybe there is something to
23 be said for labeling, because these are patients who
24 desperately need a product, and they maybe should be
25 able to make a choice of do they want this, even

1 though the risk is not defined.

2 ----- Maybe it is close to zero, and maybe
3 theoretical, and maybe a long incubation period, but
4 at least they know what they are getting into. And
5 maybe there is an argument to add something to the
6 label of a product that was manufactured with mixed --

7 CHAIRMAN BOLTON: Does anybody else agree
8 with that? Other comments? Stan.

9 DR. PRUSINER: Yes, I agree. I think it is
10 a difficult product, and there are people in the world
11 who would choose one over another.

12 DR. NELSON: And they should have the right
13 to choose.

14 DR. PRUSINER: I agree.

15 DR. NELSON: If they don't want to get sick
16 tomorrow, and take the risk --

17 DR. PRUSINER: I agree.

18 CHAIRMAN BOLTON: That's something that
19 could be implemented with very little cost.
20 Additional comments?

21 DR. DAVEY: Well, I am not sure that is a
22 great idea. If we are not going to feel that we need
23 to segregate, and if we feel that the process is
24 robust enough in their activation, then why do we need
25 to set up a standard of labeling that is going to

1 scare some patients that they are getting some product
2 that has additional risk over another product when we
3 can't really say that. I think the labeling now is
4 adequate, and I would leave it alone.

5 DR. PRUSINER: Well, I would like to
6 respectfully disagree with you completely. I think
7 you are wrong. I now that I would want to know. I
8 would want to know if I had a child, and I certainly
9 would want to know that information.

10 And I think for you to not tell me that
11 information would be terrible, and for you to have
12 prevented me from knowing that information if I had a
13 child who was a hemophiliac would be terrible.

14 DR. DAVEY: Well, we have to respectfully
15 disagree, Dr. Prusiner.

16 CHAIRMAN BOLTON: Bruce.

17 DR. EWENSTEIN: Well, I was going to say
18 that one of the mistakes that we made in the
19 hemophilia world years ago was not being totally
20 forthcoming, and I would certainly come down on the
21 side of trying to explain as best we can what we are
22 talking about here.

23 And if you are talking about labeling
24 material as having been derived from outside the U.S.,
25 I think that is a concept that could be explained on

1 a label to the average patient.

2 And for many plasma derivatives, and not for
3 all, but for many of the derivatives that we are
4 talking about, whether it is the Alpha One product.

5 And to some degree, IVIG, and certainly for
6 the coagulation factors. These are very sophisticated
7 patient groups. They have organizations that can help
8 explain what we are trying to say on the label as
9 well. So I would favor transparency, in terms of what
10 is in a product.

11 CHAIRMAN BOLTON: And where it was processed
12 or how it was --

13 DR. EWENSTEIN: That's what I mean, in terms
14 of where it came from, et cetera.

15 CHAIRMAN BOLTON: Peter.

16 DR. LURIE: My general instinct is to agree
17 with that, but I guess my question is how does this
18 actually work? I mean, where is the label, and how is
19 it that the patient gets to see the label? What is
20 the mechanics of that?

21 DR. EWENSTEIN: Well, it certainly is very
22 different for something like Factor VIII, where they
23 take it at home, and they open the box and there is
24 the label.

25 For some products -- you know, if you are

1 getting albumin in the hospital in the ICU, you don't
2 know that. You don't see the label and I understand
3 that that is a problem, and the only person who could
4 explain that to you would be the ICU doctor.

5 CHAIRMAN BOLTON: Steve.

6 DR. DE ARMOND: Aren't there already FDA
7 guidelines about labeling products, whether they are
8 from human or animal, and the country of origin?

9 DR. NELSON: Yes, there are labels, but they
10 are not with regard to this particular issue of mixing
11 a source or two. It doesn't specify as I understand
12 this level of detail at the moment.

13 CHAIRMAN BOLTON: There are specific risk
14 aspects of the labeling currently, but they don't
15 address this mixed process issue. But it could be
16 written to do so. I don't think that is an
17 insurmountable problem.

18 Additional comments or suggestions? If not,
19 I hate to say, but we may be done. Is there no more?
20 If not, then I believe that we would stand adjourned
21 for this evening.

22 (Whereupon, at 8:24 p.m., the meeting was
23 concluded.)

24

25

CERTIFICATE


This is to certify that the foregoing transcript in the
matter of: TSE A/C MEETING

Before: FDA-CBER

Date: JUNE 28, 2001

Place: BETHESDA, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.



A handwritten signature in cursive script, appearing to read "K. M. [unclear]", is written above a horizontal line.