

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGIC RESPONSE MODIFIERS

ADVISORY COMMITTEE

MEETING #31 - VOLUME I

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Wednesday, October 24, 2001

9:00 a.m.

Holiday Inn Gaithersburg
Two Montgomery Village Avenue
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Daniel R. Salomon, M.D., Chairman
Gail Dapolito, Executive Secretary
Rosanna L. Harvey, Committee Management
Specialist

Members:

Richard E. Champlin, M.D.
Katherine A. High, M.D.
Richard C. Mulligan, Ph.D.
Alison F. Lawton, Industry Representative
Mahendra S. Rao, M.D., Ph.D.

Consultants:

David W. Gaylor, Ph.D.
Katherine E. Knowles, Consumer Representative

Guest:

Amy Patterson, M.D.

FDA Participants:

Jay Siegel, M.D.
Patricia Keegan, M.D.
Steven Rosenthal, M.D.
Philippe Bishop, M.D.

C O N T E N T S

Opening Remarks and Introductions, Daniel Salomon, M.D.	4
Conflict of Interest Statement, Gail Dapolito	7
Long-Term Follow-up: Gene Transfer Clinical Trial Participants, Philippe Bishop, M.D.	11
Epidemiologic Considerations in Developing a Database for Long-Term Follow-up of Subjects, Steven Rosenthal, M.D., M.P.H.	27
Open Public Hearing: Ms. Malissa Tice	40
Committee Discussion of Questions	43

P R O C E E D I N G S

Opening Remarks and Introductions

1 DR. SALOMON: Good morning, everybody, in
2 the beginning of a three-day session that begins
3 today, Wednesday, October 24th. If you were
4 expecting anything but the FDA's BRMAC committee
5 meeting, you are in the wrong room. I just can't
6 imagine anyone coming all the way out to
7 Gaithersburg thinking they are coming to a
8 different committee. My wife is happy. Supposedly
9 this is safer.

10 Before doing anything else this morning, I
11 wanted to begin something I just feel a personal
12 responsibility to. We have been together, many of
13 us, for a very long time and in a way that kind of
14 creates a type of family, and one of our family
15 members, unfortunately, was caught up in the
16 September 11 tragedy. What you see here is a
17 picture of Lisa Raines, who was vice president of
18 government relations for Genzyme. The picture was
19 kindly provided by Alison Lawton, to my left. Lisa
20 was often in the audience. She interacted with
21 many of us. I have met her on several occasions
22 here. She was very active with FDA and Bio, and
23 before she went to Genzyme, she was very involved

1 in a lot of different things. So, her interactions
2 went far beyond just the BRMAC committee. Anyway,
3 just at a time in which so much has happened to us,
4 it just seems inappropriate not to take a second to
5 recognize this woman and the tragedy that engulfed
6 her along with the rest of the country.

7 Well, on to hopefully better things today.
8 I think what we will do just to start off is
9 quickly go around the table and introduce
10 ourselves, and then we will get the meeting going.
11 Can we start on the left?

12 DR. RAO: I am Mahendra Rao. I am at the
13 National Institute on Aging. I work with stem
14 cells in development.

15 DR. CHAMPLIN: Richard Champlin, I am the
16 Chairman of the Department of Blood and Marrow
17 Transplantation at the M.D. Anderson Cancer Center.

18 DR. HIGH: Kathy High. I am the Director
19 of Research in the Hematology Division at the
20 Children's Hospital in Philadelphia.

21 DR. GAYLOR: David Gaylor, Sciences
22 International. My area is biostatistics and risk
23 assessment.

24 MS. LAWTON: Alison Lawton. I am the
25 industry rep on the panel. I chair the solid and

1 gene therapy committee for PhARMA and work for
2 Genzyme.

3 DR. SALOMON: Dan Salomon. I am at the
4 Scripps Research Institute and work in experimental
5 medicine. My interests have been in cellular and
6 organ transplantation and tolerance to gene
7 therapy.

8 MS. DAPOLITO: Gail Dapolito, Executive
9 Secretary for the committee. Seated to my right in
10 the FDA section is Rosanna Harvey, committee
11 management specialist.

12 MS. KNOWLES: I am Kathy Knowles and I am
13 with a small non-profit company in Seattle,
14 Washington, Health Information Network. I serve as
15 a consumer representative for the VPAC committee
16 and I am serving in that role today here.

17 DR. PATTERSON: Amy Patterson, Director of
18 Office of Biotechnology Activities in the Office of
19 Science Policy at NIH.

20 DR. ROSENTHAL: Steve Rosenthal, medical
21 officer, Division of Vaccines, FDA.

22 DR. BISHOP: Philippe Bishop, medical
23 officer, CBER, oncology.

24 DR. KEEGAN: Patricia Keegan, Division of
25 Clinical Trials, CBER.

1 DR. SIEGEL: Jay Siegel, Director, Office
2 of Therapeutics at CBER.

3 DR. MULLIGAN: Richard Mulligan, from
4 Harvard Medical School.

5 DR. SALOMON: Thank you all, and I would
6 like to greet Dr. Gaylor, joining us from
7 biostatistics. We will need you, and Ms. Knowles,
8 thank you. Let's move right along to Gail,
9 providing us with the conflict of interest
10 statement.

11 **Conflict of Interest Statement**

12 MS. DAPOLITO: This statement applies for
13 all three days of the meeting. This announcement
14 is part of the public record for the October 24-26
15 Biological Response Modifiers Advisory Committee
16 meeting.

17 Pursuant to the authority granted under
18 the committee charter, the director of FDA's Center
19 for Biologics Evaluation and Research has appointed
20 Dr. David Gaylor and Ms. Katherine Knowles as
21 temporary voting members for the discussions on
22 October 24. In addition, the CBER director
23 appointed Drs. Jonathan Allan, Kenneth Cornetta,
24 Michael Emerman, David Gaylor, Katherine Knowles,
25 Jeffrey Kordower, Clifford Lane, Bruce Torbett, and

1 John Zaia, as temporary voting members for the
2 committee discussions on October 25 and 26.

3 To determine if any conflicts of interest
4 existed, the agency reviewed the submitted agenda
5 and all financial interests reported by the
6 committee participants. As a result of this
7 review, the following disclosures are being made:

8 In accordance with 18 U.S.C. 208, Drs.
9 Richard Champlin, Katherine High, Richard Mulligan,
10 Clifford Lane and Jeffrey Kordower have each been
11 granted a waiver which permits them to participate
12 in the committee discussions.

13 Drs. Champlin, Cornetta, Lane, Mulligan,
14 Salomon, Sausville and Torbett have associations
15 with firms that could be affected by the committee
16 discussions. However, in accordance with current
17 statutes, it has been determined that none of these
18 associations require the need for a waiver or an
19 exclusion.

20 Ms. Alison Lawton is serving as the
21 non-voting industry representative member for this
22 committee. She is employed by Genzyme and, thus,
23 has interests in her employer and other similar
24 firms.

25 In regards to FDA's invited guests, the

1 agency has determined that the services of these
2 guests are essential. The following interests are
3 being made public to allow meeting participants to
4 objectively evaluate any presentation and/or
5 comments made by the guests. The following
6 individuals are employed by industry and have
7 interests in their employer and similar firms:

8 Drs. Dale Ando and Gabor Veres are
9 employed by Cell Genesys. Dr. Inder Verma is on the
10 board of directors of Cell Genesys and Dr. Susan
11 Kingsman is the founding shareholder of Oxford
12 Biomedica.

13 Dr. Amy Patterson and Dr. Marina O'Reilly
14 are employed by the National Institutes of Health,
15 Office of Biotechnology Activities. Dr. O'Reilly
16 also has a financial interest in an affected firm.

17 In the event that the discussions involve
18 other products or firms not already on the agenda
19 for which FDA's participants have a financial
20 interest the participants are aware of the need to
21 exclude themselves from such involvement and their
22 exclusion will be noted for the public record.

23 With respect to all other meeting
24 participants, we ask in the interest of fairness
25 that you state your name, affiliation and address

1 any current or previous financial involvement with
2 any firm whose product you wish to comment upon.

3 A copy of the waivers addressed in this
4 announcement is available by written request under
5 the Freedom of Information Act.

6 DR. SALOMON: Before we get started again
7 formally, again, in terms of ground rules here, I
8 have always started by encouraging the audience to
9 participate. My feelings are that the purpose of
10 this advisory committee is both to focus the
11 expertise on the panel, but also to bring to bear
12 as much of the community's opinions and thoughts on
13 these complicated subjects, particularly the one
14 today on long-term follow-up. So, I hope that
15 nobody in the audience will be inhibited to get up
16 and I will do my very best to recognize you
17 promptly, and would encourage that at all times.

18 To the committee members, I would also
19 just say that we will attempt to reach consensus
20 whenever consensus is possible. If my attempts to
21 reach consensus are failing or I am wrong, then I
22 am expecting you guys to, you know, bring that to
23 my attention. I certainly never would want to
24 pretend I was reaching consensus and not do it.

25 The other thing that I think would be

1 important is that a vigorously defended minority
2 opinion is absolutely appropriate. So, even if I
3 am saying something at the end of a section that
4 sounds like a committee consensus if, at the end,
5 you don't personally believe it, then I think it is
6 very important to stop and articulate those issues
7 and not feel that there is any pressure from me as
8 chair to hold any particular party line.

9 Then I guess we should get started. To
10 begin with, Philippe to begin the discussion of
11 long-term follow-up: gene transfer protocols for
12 clinical trial participants.

13 **Long-Term Follow-up:**

14 **Gene Transfer Clinical Trial Participants**

15 DR. BISHOP: Dr. Salomon, members of the
16 committee, good morning.

17 [Slide]

18 This morning's presentation pertaining to
19 long-term follow-up of subjects in gene transfer
20 studies has been broken down into three parts. The
21 first part, I will read you briefly, is a summary
22 of prior BRMAC discussions focusing on statements
23 or at least generalizations that are pertinent to
24 today's discussion.

25 [Slide]

1 I will move on then to discuss areas of
2 clinical concerns that pertain to gene therapy and
3 are relevant to the long-term follow-up of subjects
4 enrolled in these trials and, I will turn it over
5 to Steven Rosenthal who will discuss issues of
6 special considerations when discussing
7 epidemiologic databases.

8 [Slide]

9 So, first some background information and
10 summary of prior discussions.

11 [Slide]

12 It is important to understand that today's
13 discussion is in the context of current FDA
14 guidance pertaining to long-term follow-up of
15 subjects in gene transfer studies. It is important
16 to realize that as of today the only guidance that
17 we have pertaining to long-term follow-up of these
18 individuals is limited to studies that involve
19 retroviral gene vectors. This guidance document
20 has been discussed at great length at prior
21 meetings here, at BRMAC, and is also available on
22 our web site. For those of you who have not had
23 opportunity to get intimate with this particular
24 document, I would invite you to visit the FDA web
25 site for that.

1 [Slide]

2 It is in that context that discussions in
3 November, almost a year ago, November of 2000, took
4 place. At that time, I think it was clear that the
5 committee told us that efforts to gather
6 information pertaining to the long-term risks of
7 exposure are necessary not just for retroviral
8 vector studies but for all of gene transfer
9 products and, rather than focusing on vectors
10 types, it is important to maybe consider the
11 properties or the characteristics of vectors, and
12 maybe this is what we should utilize as the basis
13 for further discussion when discussing long-term
14 risks for participants.

15 [Slide]

16 With that in mind, FDA proposed a
17 three-tier system based on vector characteristics
18 at the April, 2001 meeting.

19 [Slide]

20 Let me review this three-tier system. The
21 three-tier system essentially categorizes vectors
22 according to their characteristics or their
23 properties into one of three categories. The first
24 category would be considered low risk; the second
25 category intermediate risk; and the third category

1 this event. If the reverse transcriptase comes
2 along here and crosses over in the common region of
3 the cPPT, between the vector and the wild type, you
4 would get the crossover event but the result would
5 be is that you would get a truncated gag/pol.

6 Another event is that if the reverse
7 transcriptase here would cross over in the RRE
8 region, you would have a truncated envelope. This
9 event would probably take two events to occur but
10 you could imagine that if, basically, the reverse
11 transcriptase picks up this antisense payload and
12 then puts it back into the virus, you would still
13 get a wild type. Yes; its phenotype would be
14 changed because now it would contain envelope
15 sequences that could possibly confer an X4
16 phenotype strain to this virus but, nevertheless,
17 it would be a wild-type HIV.

18 [Slide.]

19 But, in order to address the sequence
20 issue of increasing the pathogenicity of the virus
21 through recombination between the vector and the
22 wild type, I just want to make one point--a few
23 points, but one point here. The backbone of the
24 vector contains regions of HIV that are highly
25 conserved; the LTR, this packaging gag, cPPT and

1 focus on the most important information that would
2 be relevant to long-term follow-up of subjects
3 involved in gene transfer studies.

4 In part, there is a notion that there is a
5 critical need for the gene therapy community to be
6 an active participant in these efforts, and in
7 order to include compliance we really need to be
8 able to zero in on those issues that are most
9 critical.

10 [Slide]

11 With that in mind, the FDA left the April
12 meeting and put together a working group to further
13 define the clinical concerns that relate to gene
14 transfer studies. In addition, we wanted to be
15 able to address the duration of clinical follow-up
16 that would be appropriate for the specific areas of
17 clinical concern.

18 [Slide]

19 Additionally, this working group was asked
20 to take into consideration some of the advice that
21 came out of the April meeting, which is not that it
22 is just important to vector characteristics but it
23 is also important to take into consideration the
24 duration of gene product expression, the mode of
25 administration, the targeted tissues and, of

1 course, patient-specific vectors.

2 [Slide]

3 With that in mind, we put together a
4 multi-disciplinary group at the FDA, involving
5 individuals with varied types of expertise in
6 oncology, hematology, neurology, immunology and, in
7 addition, we involved our experts in clinical
8 toxicology and molecular biology as well as
9 virology. Because we are talking about
10 epidemiologic databases as maybe one of the future
11 goals, we also involved Dr. Rosenthal, who will
12 address you a little bit later this morning. It
13 was important to keep our liaison, RAC liaison,
14 informed of our activities and, therefore,
15 Stephanie Simek was also apprised of our
16 discussions.

17 [Slide]

18 The working group met and agreed that the
19 four clinical areas of concern is consistent with
20 what the committee had already previously
21 articulated, and that is, namely, that
22 malignancies, hematologic disorders, autoimmune
23 diseases and neurologic diseases are the areas that
24 we should be focusing on when discussing risks of
25 gene therapy studies, long-term risk of gene

1 therapy studies.

2 [Slide]

3 So, what I would like to do this morning
4 is to go through those four categories and
5 highlight the information that has been already
6 discussed in your briefing material, and maybe
7 highlight those important examples that you may
8 find useful to today's discussion.

9 [Slide]

10 DNA and RNA viruses have been studied as
11 important causes of human cancers. For example,
12 the HTLV-1, the human T-cell leukemia virus is
13 known to be the causative agent for adult T-cell
14 leukemia, or there are other viruses such as HIV,
15 HPV and hepatitis C viruses that have been
16 associated, or strongly associated with several
17 malignancies, such as non-Hodgkin's lymphoma,
18 Hodgkin's disease, cervical cancer and hepatocell
19 carcinoma. It is important to note that DNA and
20 RNA viral vectors are commonly used in gene
21 transfer studies.

22 [Slide]

23 Some mechanisms for viral oncogenesis have
24 been described. Among these, I have highlighted
25 four potential mechanisms. The first,

1 transformation by transgene expression, and I have
2 highlighted here HTLV-1 tax, interacting with the
3 NF kappa-B and potentially other transcription
4 vectors to up-regulate the transcription of a large
5 number of cell genes like cytokines or cytokine
6 receptors such as IL-2 and GM-CSF, as well as
7 transactivating the expression of c-myc, c-fos,
8 c-jun, Ap1 and others that could essentially lead to
9 a clonal outgrowth and a malignant transformation.

10 Insertional mutagenesis -- probably the
11 prototype or example would be ALV integrating in
12 the vicinity of c-myc and then leading to an
13 up-regulation of c-myc transcription, eventually
14 contributing to the development of a non-Hodgkin's
15 lymphoma.

16 Hepatitis C virus can cause chronic
17 inflammation and the release of inflammatory
18 molecules that recruit maybe other inflammatory
19 cells. Maybe the generation of toxic reactive
20 oxygen radicals can trigger proliferation and
21 responses by surrounding tissues and may represent
22 an important pre-condition for carcinogenesis or
23 the development of de novo cancers. In this model
24 the increased proliferation potential of cells
25 increases the opportunity for replicating the

1 errors that can occur over time and the loss of
2 normal cell function leading to oncogenesis.

3 The "hit and run" hypothesis is more
4 controversial but here I have highlighted a recent
5 example for adenoviruses. Here, the adenovirus-5
6 E1A protein with the open reading frame E4, open
7 reading frame 6 can potentially lead to an initial
8 insult to the cell that eventually can lead to
9 transformation. So, in this instance I think it is
10 important to understand that this concept raises
11 the possibility that an initial event triggered by
12 this viral agent can lead to tumor development in
13 the absence of detectable viral genes or protein
14 expression, viral protein expression.

15 [Slide]

16 An example of retroviral-induced
17 insertional mutagenesis leading to T-cell lymphoma
18 has been discussed previously at this meeting.
19 This has occurred in non-human primate studies that
20 were published in 1992 by Donahue.

21 As a result of recombination events
22 between the vector and packaging and protein
23 sequences and a replication competent retrovirus
24 was produced. These viruses were incubated in
25 purified immunoselected CD-34 stem cells from

1 rhesus monkeys who were used then to reconstitute
2 these myeloablated non-human primates. Six to
3 seven months later after the transplantation, three
4 of eight of the stem cell recipients developed a
5 rapidly progressive T-cell neoplasm. The analysis
6 of the lymphoma showed that they were clonal; that
7 there was common to these lymphomas the insertion
8 of the retroviral DNA. I think it was concluded
9 from these studies that there was a clear
10 association between the replicating viruses and the
11 development of lymphoma.

12 [Slide]

13 It is important to understand that we have
14 experience in oncology with second cancers or
15 treatment-induced cancers that can take years
16 before clinical presentation with a second
17 malignancy. For example, in Hodgkin's disease it
18 is well known that leukemia can appear five to nine
19 years following initial therapy, but these
20 leukemias can appear up to thirteen years following
21 the treatment for the Hodgkin's disease.

22 Leukemia is not the only cancer that can
23 appear in Hodgkin's disease -- bone cancers,
24 thyroid, lung, stomach have all been described as
25 second cancers related to the Hodgkin's disease

1 therapy.

2 Breast cancer is another example where it
3 is well-known that second cancers can arise.
4 Second cancers of the uterus, the lung, the
5 esophagus, connective tissue and thyroid can appear
6 up to fifteen years following the initial breast
7 cancer therapy.

8 Testicular cancer is the third example
9 that I have chosen for you and there leukemia,
10 lymphomas, stomach, colon cancer, pancreas,
11 prostate and kidney cancers and also thyroid
12 cancers can appear up to twenty-five years
13 following the testicular cancer diagnosis.

14 [Slide]

15 Before moving on to hematopoietic
16 disorders, we would infer that some of the
17 mechanisms and some of the injury that occurs
18 secondary to chemotherapy could be similar to some
19 cellular injuries that could arise out of gene
20 transfer studies and, therefore, it is plausible
21 that second cancers will not appear until years to
22 decades following the gene transfer protocol,
23 participation in gene transfer studies.

24 [Slide]

25 Moving on the hematopoietic disorders, it

1 is well-known that viruses can induce hematologic
2 disorders. As an example of an acute event,
3 parovirus B19 can cause anemia and it is usually
4 associated at the same time that you have viral
5 infection. However, HBV can cause aplastic anemia
6 months following the HBV initial infection. With
7 HIV, isolated or combined cytopenias can appear
8 months to years following the HIV infection.

9 [Slide]

10 When discussing hematopoietic disorders,
11 it is important to understand that the progenitor
12 cells are self-replicating and can give rise to HPC
13 descendants. These progenitor cell descendants are
14 very important and critical components of the blood
15 and the bone marrow, and these cells are essential
16 to human life.

17 [Slide]

18 Cytopenias could be related to gene
19 transfer-related hematologic disorders, as well as
20 malignant leukemias, all conditions that could
21 appear months to years following the initial
22 exposure. There we would invoke mechanisms that
23 would be similar to what is known of viral-induced
24 hematologic abnormalities.

25 [Slide]

1 Moving on to neurologic disorders -- gene
2 transfer vectors and the administration strategies
3 that can lead to neurologic disorders that we
4 identified are highlighted on this slide:
5 integrating vectors, vectors with long latencies;
6 vectors with prolonged transgene expression; and
7 vectors with immunogenic reactions are all gene
8 transfer strategies likely to represent the gravest
9 risk to the CNS.

10 [Slide]

11 When talking about the central nervous
12 system, it is important to realize that the CNS is
13 a highly specialized organ that has a lot of
14 redundancy in functional capacity. Many known
15 neurologic disorders require significant damage
16 before being clinically evident.

17 [Slide]

18 Neuronal injury may go on for years before
19 being clinically detected, and I have highlighted
20 three examples for you. HIV dementias can occur a
21 long time after the initial HIV infections. It is
22 well-known, because of latency, that prions can
23 incubate for a long time before CJD becomes
24 apparent. Then, I have highlighted diabetes to
25 demonstrate that it is not just the CNS that we are

1 concerned about but also peripheral neuropathy
2 being one of the concerns and, again, you know, the
3 same principles that it can take a long time and a
4 lot of neuronal injury before you have clinical
5 symptoms.

6 [Slide]

7 Moving on to autoimmune disorders,
8 environmental and other xenobiotic agents that can
9 cause autoimmunity have been described. For
10 example, viruses and bacteria can induce
11 antibody-mediated autoimmune diseases via molecular
12 mimicry. Group A strep causing rheumatic fever and
13 infectious mononucleosis causing ITP are two
14 examples of such infections that can cause
15 autoimmune diseases by molecular mimicry.

16 [Slide]

17 But there are other mechanisms for
18 autoimmune diseases. For example, the unmasking of
19 the autoimmune disease gene may be a similar
20 mechanism that an insertional vector can unmask an
21 oncogene. Here we are unmasking a gene that can
22 essentially be up-regulated to cause autoimmunity.
23 I have already described examples of molecular
24 mimicry. There are also examples of humoral
25 autoimmunity and T-cell mediated autoimmunity.

1 T-cell mediated autoimmunity is an important
2 mechanism for autoimmune diseases. For example,
3 the down-regulation of T-cells can normally
4 suppress responses to cell proteins, essentially
5 causing a shift from TH-1 to TH-2 cell balance to
6 predominance of the TH-1 cell subsets. This
7 imbalance of TH-1 and TH-2 is thought to be a
8 general mechanism that is associated with many
9 autoimmune diseases, including multiple sclerosis
10 and the Hashimoto parovirus virus.

11 [Slide]

12 Immune responses to gene therapy vectors
13 or transgene products are possible, and similar
14 mechanisms as those I have highlighted in the
15 earlier slides are plausible. The risk may relate
16 to vector characteristics, the duration of
17 transgene expression, route of administration, as
18 well as the host specific factors.

19 [Slide]

20 The clinical manifestation of autoimmune
21 diseases that result from environmental insults may
22 take months to years before they are clinically
23 detected. For example, systemic lupus may appear
24 with a median of 19-25 months following the
25 exposure to minocycline, but the clinical onset can

1 range anywhere from three days to up to six years
2 following initial therapy. Another example would
3 be exposure to silica which would cause scleroderma
4 which could occur several months following the
5 environmental exposure. Similarly, we would think
6 that gene therapy-related risks of autoimmune
7 diseases could take months to years before they
8 become clinically apparent.

9 [Slide]

10 So in summary, the long-term follow-up of
11 gene transfer participants should focus on four
12 clinical areas, and I think we would agree with the
13 committee's prior recommendation that these gene
14 malignancies and neurologic disorders with the
15 notion that they may take years to decades before
16 clinical diseases or disorders become apparent.
17 Whereas hematologic disorders and autoimmune
18 disorders are likely to represent risks and
19 clinical disease development that would be maybe
20 with a shorter time frame, maybe months or years
21 following the gene transfer study therapy.

22 [Slide]

23 We have previously proposed a three-tiered
24 system to assess the risks to subjects that were
25 based on vector characteristics and, still today,

1 we believe that this three-tiered system should be
2 the basis of our ongoing discussions.

3 With that in mind, I will turn the podium
4 over to Dr. Rosenthal who will address special
5 considerations pertaining to epidemiologic
6 databases.

7 **Epidemiologic Considerations in Developing a**
8 **Database for Long-Term Follow-up of Subjects**

9 DR. ROSENTHAL: Thanks very much.

10 [Slide]

11 Determining causality of exposure to drugs
12 with certain outcomes can be problematic,
13 especially in the context that we are talking about
14 today, with outcomes that they may develop many
15 years after the initial exposure, and also outcomes
16 which are generally rare in the population, such as
17 cancer, autoimmune diseases and neurologic
18 diseases.

19 [Slide]

20 In general, when we try to make
21 conclusions about causality we generally use the
22 following criteria, and none of these criteria are
23 sufficient in themselves for determining causality
24 but the more of these criteria where certain
25 associations can be made, then we are more

1 confident that causality does exist between
2 exposure and outcome of interest. For example, is
3 the association consistent? Do we observe it among
4 different populations or among different studies?
5 Is the association strong? Is there a very high
6 relative risk? If the relative risk is high, that
7 is a good argument for causality unless the
8 methodology of the study is severely flawed. Is
9 the association also seen in studies that are very
10 rigorously done, for example, randomized,
11 controlled clinical trials? If we see an
12 association in that context we can be pretty
13 confident that there is a causal association. Is
14 this association specific? Do you often see an
15 outcome with a certain exposure and vice versa? Is
16 the temporal relationship between exposure and
17 outcome consistent with what we know? And, is
18 there coherence or biological plausibility? Is the
19 outcome consistent with what we understand about
20 the pathophysiology and consistent with data
21 perhaps obtained in preclinical studies and in
22 vitro studies?

23 [Slide]

24 Epidemiologists like to use the following
25 tools to determine causality, and when we go from

1 the top of the list to the bottom of the list the
2 study designs become much more convincing. On the
3 other hand, they become much more logistically
4 difficult and much more expensive.

5 Case reports, case series are easy to
6 obtain and very inexpensive, and sometimes they can
7 lead to good, interesting data which can help us
8 determine causality. Case-control studies, cohort
9 studies and randomized clinical trials -- the last
10 really is the gold standard but is the most
11 expensive.

12 [Slide]

13 Now, cohort studies and randomized
14 clinical trials we consider analytical studies
15 because they have control groups and we can safely
16 come to certain conclusions. Now, randomized
17 clinical trials are the most expensive and the most
18 convincing, but these aren't the studies that we
19 are talking about today really. These will be
20 carried out in the future with gene therapy
21 products, but now we are concerned really with
22 developing a database where some long-term adverse
23 events can be investigated.

24 [Slide]

25 A cohort study would be a reasonable study

1 design and has many advantages. You can study
2 multiple outcomes from a given exposure. You can
3 study uncommon exposures. Selection bias is less
4 likely. Unbiased exposure data, we are confident
5 that everyone in our database has received a
6 certain product, and incidence data in the subject
7 group is available. There are some disadvantages.
8 There may be biases in obtaining outcome data, and
9 cohort studies are very expensive.

10 [Slide]

11 One reason they are expensive is,
12 depending how you designed a study, often rates of
13 disease in the subject group are compared with
14 populations that do not receive the exposure, and
15 what is usually lacking is data in populations with
16 the underlying disease. Comparison cohorts can be
17 created but you need to develop a subject
18 controlled cohort which is similar to the
19 experimental group. You need to have the same
20 underlying disease and, again, developing this
21 control cohort is really very difficult, very
22 expensive, and not readily available outside the
23 context of randomized clinical trials.

24 In addition, for rare outcomes, the
25 outcomes we are talking about today -- cancers,

1 autoimmune diseases, neurologic disease and
2 associations which may have small relative risk,
3 cohort studies are usually not of value.

4 [Slide]

5 This chart is just an example of sample
6 size calculations, just to demonstrate that for
7 diseases with very small incidence, such as cancers
8 and neurologic diseases, and if we are going to be
9 looking at associations which may be small or
10 moderate, sort of in the upper left-hand quadrant
11 of this table, cohort studies are going to require
12 very large sample sizes, in the order of tens of
13 thousands in both the study cohort and the
14 controls.

15 As the disease becomes more frequent, as
16 you move down the table, and when the relative
17 risks of the associations are much stronger, then
18 associations can be made with much smaller sample
19 sizes.

20 [Slide]

21 Case series or case reports have some
22 advantages. It is very easy to obtain this data.
23 It is very inexpensive. For a case series or
24 developing a series of patients that have received
25 gene therapy products, it is very easy to quantify

1 the incidence of certain outcomes. The problems
2 both with case series and case reports is that
3 there are no control groups and, therefore, you
4 can't really use these study designs to test
5 hypothesis. But they are useful in many cases for
6 generating hypotheses.

7 [Slide]

8 However, there are contexts in which case
9 series and case reports can very strongly suggest
10 causation. An example historically is when the
11 outcome is so rare and so rare and so
12 characteristic that we can make with very high
13 confidence an association that is causal. For
14 example, clear cell vaginal adenocarcinoma in young
15 girls that were exposed in utero to
16 diethylstilbestrol, this cancer which was so rare
17 and associated so consistently with its exposure,
18 that we are all very confident that this drug is
19 causally related to this outcome. Another recent
20 example, which may not apply to gene therapy
21 studies which we are talking about today, is when a
22 change in the event of a course is reversible when
23 the exposure is withdrawn, and the event returns
24 upon retreatment. A very recent example is
25 alopecia following hepatitis B vaccination where a

1 child lost its hair after receiving the first dose.
2 The hair grew back; came back for a second dose and
3 the outcome repeated itself.

4 For gene therapy it is very possible that
5 for certain outcomes if there is vector persistence
6 or vector sequences and/or gene products can be
7 found within a target organ of toxicity -- data
8 like this can help us conclude perhaps with a high
9 degree of confidence that there is a causal
10 association.

11 [Slide]

12 So in conclusion, to develop very
13 elaborate, detailed databases for long-term
14 follow-up of gene therapy for analytical studies to
15 determine causality of adverse events may not be of
16 value. It may be a waste of a large amount of
17 resources, especially when the events are uncommon
18 in the general population, such as the events we
19 are talking about today. It would be of value if
20 the events are more common in the general
21 population, unlike the events we are talking about
22 today, and if the relative risks are very high.
23 However, developing a database more on the lines of
24 developing a case series could be very useful to
25 reveal causality for events that are characteristic

1 and are biologically plausible. They would also be
2 very useful to generate hypotheses that later down
3 the road could be further explored in more detailed
4 ad hoc analytical studies, and those decisions can
5 be made later and be more focused, and usually can
6 have a high probability of obtaining very useful
7 information. Thank you very much.

8 DR. SALOMON: Thank you, Philippe and
9 Steven. I want to acknowledge my gratitude to the
10 staff, all of whom were recognized at the beginning
11 of Philippe's talk. I read this paper that you
12 created and outlined now these last two talks and
13 it represents a tremendous amount of thoughtful
14 work on the part of the FDA staff in this instance
15 and I think, certainly as chair, I would like to
16 recognize that. We appreciate it.

17 This is a problem that won't go away, and
18 it is apparently, to all of us, critical to come to
19 some sort of grips with at this point after a year
20 of working on it in the committee. I think as a
21 base I am finally convinced that I am not going to
22 be able to slide by with the kind of
23 generalizations that, you know, it is kind of a
24 good idea but we are not sure of the details sort
25 of thing that we have tried twice now. So, I think

1 that is our challenge in the next couple of hours
2 really, to put it into a context that the committee
3 feels has sufficient detail to allow a response to
4 interested parties, in this case to Congress who is
5 not letting this drop, to consumer groups, to the
6 public who is not going to let this drop, and to
7 all of us in the field from the biotech industry
8 sponsors to the individual investigators that are
9 going to need to figure out how this is going to
10 fit into our plans in terms of funding, in terms of
11 politicking with our funding agencies. I think
12 that is our task, to get on the public record the
13 fact that there are no easy answers here, that we
14 are going to have to make some judgments. I think
15 that in this case this is probably the one time in
16 which vigorously defended and well articulated
17 minority opinions are perfectly appropriate to put
18 on the record today.

19 So, that is my introduction. I have
20 struggled with this for a while and I am going to
21 really try and do it right. I think the last
22 comment I have is that, you know, any soldier
23 looking at a campaign will talk about the low
24 point. So, I think the low point so far, as chair
25 of this committee, was achieved with this

1 particular question when, at one point in
2 frustration with the implications on research, I
3 came to the brilliant conclusion that the FDA
4 should do it, at which point Jay very vehemently
5 pointed out to me that not only I just violated the
6 basic principle of the FDA, which he was absolutely
7 right in pointing out to me that point. So, if we
8 can get through this, I will feel like we have
9 really gone beyond that low point for me.

10 DR. SIEGEL: I don't remember saying quite
11 that, and I don't think it was a low point. I
12 think what I was pointing out is that our opus
13 operandi, what we do and what we are funded to do
14 and the way we operate is collect data from
15 sponsors who sponsor clinical trials, not to
16 collect data from patients. To move in that
17 direction would represent a major step out of our
18 normal roles with important implications --
19 financial, social, legal, ethical and so forth,
20 which isn't to say necessarily that all of those
21 are negative, just that it is not a simple
22 consideration.

23 I have reflected a lot on the things you
24 have just commented on, and I do want to make a
25 comment or two before we get into committee

1 discussion of these issues just as a matter of
2 context.

3 Dan is right that this is a problem that
4 isn't going to go away, but that also means it is
5 not a problem that is going to be finally solved at
6 one point, solved at one point in time and then we
7 are living with that solution. We feel that it is
8 time to move forward to ensure that sponsors have a
9 better focused approach to getting the right
10 information than has existed in the past and we
11 want guidance so that we can make progress in that
12 field.

13 We recognize that we are constantly
14 learning and that there are many other areas for
15 input, that we are not making decisions today, for
16 the most part, that we are going to be permanently
17 stuck with for several decades; that we need to
18 make decisions, vet them, have further discussion.
19 You know, maybe implement some of them but also
20 have further public discussion of them with various
21 interested parties and fine-tune them as we move
22 along.

23 The other complex thing about this issue
24 that we have discussed and that I think needs to be
25 sort of in the back of everybody's mind is that the

1 presentations you have heard, both presentations,
2 are focused on what sort of information we think is
3 important to collect. There are a lot of closely
4 related issues. Who is going to pay for collecting
5 it? Who is going to store that information and
6 how? And the pragmatic issue, as we have discussed
7 frequently at other meetings, how do you make sure
8 that you get a high rate of collection of
9 information after a decade or two when people move,
10 patients move, companies go out of business,
11 funding runs out and all the other issues that we
12 have discussed at some length?

13 It is important to note that, although you
14 didn't hear those issues mentioned, we haven't
15 forgotten that those are important issues. So,
16 while we are dealing with this interplay of issues,
17 it is very hard to build the information systems or
18 the infrastructure without knowing what you are
19 going to collect. It is very hard to determine
20 what you should collect without knowing what the
21 information systems and the infrastructure are, and
22 so forth. So, suffice it to say that we have been
23 working hard within the agency and with our sister
24 agencies to explore all of these questions and to
25 move forward on all of them, and you see that our

1 focus in this discussion is on the piece of what is
2 the right information to collect, but I want
3 everyone to be cognizant that we are well aware
4 that there are important issues as well in related
5 areas.

6 Finally, the only other comment I would
7 like to make regarding this discussion we are about
8 to enter in is that the questions you have before
9 you were actually radically changed a number of
10 times over the last few days. In my mind at least,
11 that is not that important. So, we have asked you
12 to at least comment on certain things. Maybe we
13 haven't asked you to comment on other things, but
14 what we need is your input on any areas pertinent
15 to this matter that you feel would be helpful for
16 us and that you have expert opinions on. So, as
17 Dan has said a couple of times, minority opinions
18 count. Consensus is important but voting doesn't
19 necessarily matter on all of these. These are
20 complex issues and we really want to integrate as
21 much of the expertise we have available to us in
22 this forum and others into the whole process.

23 So, please feel free and strongly
24 encouraged to offer opinions and comments
25 regardless of whether we specifically solicited a

1 comment on a particular question or not. I don't
2 see anything in here saying, for example, are these
3 the right four clinical areas to focus on but if
4 you are sitting there, thinking how come they are
5 not going to do cardiovascular disease, the fact
6 that we haven't asked for that opinion doesn't mean
7 that we wouldn't very much welcome it. So, really
8 feel open and free and strongly encouraged to
9 participate and contribute in any way. That, by
10 the way, applies also to the public.

11 Open Public Hearing

12 DR. SALOMON: In fact, you anticipated,
13 Jay, what I was going to say right now. I think
14 very appropriately for something that has been
15 discussed two times already over the last year and
16 this is the third time, I think it is one of the
17 situations in which I would welcome some general
18 comments from people, just as I have kind of given
19 you a little bit of my sense of it. So, if there
20 is anyone in the audience that would like to give
21 us their sense, just identify yourself.

22 MS. TICE: My name is Malissa Tice, and I
23 am the regulatory liaison for Schering-Plough [not
24 at microphone; inaudible] and we have conducted a
25 number of Phase I and Phase III trials in gene

1 cancer. Let me just give you a little background
2 of Schering's involvement and I have a statement,
3 and I have a statement from Schering-Plough.

4 Long-term follow-up is defined as the
5 collection of data on study participants that occur
6 at least one year after the treatment period of the
7 clinical trial. Numerous factors must be
8 considered, ranging from practicality and
9 feasibility of obtaining the follow-up data, the
10 scientific merit of the information gathered, the
11 analysis of the data, the creation and maintenance
12 of the database, the financial and administrative
13 burden on the investigators, academic institutions
14 and sponsors. Furthermore, there is a significant
15 burden on the patients.

16 As previously discussed, these factors can
17 be overwhelming and may discourage participation in
18 [not at microphone; inaudible] research. One more
19 practical and efficient way to capture this
20 information may be the creation of a patient
21 registry sponsored and maintained by the FDA, which
22 would allow patients to be voluntarily contacted.
23 Data reporting would be in a standardized format in
24 the registry to allow pooling of information in an
25 attempt to draw any meaningful conclusions or

1 trends. It is important to define what information
2 is being required above and beyond the safety and
3 efficacy data collected during the clinical trial.

4 When a clinical trial is conducted,
5 patient follow-up is included to determine the
6 efficacy of the drug product. Additional requested
7 data beyond the protocol prescribed length of time
8 raises concerns that patients will be lost to
9 follow-up, thereby rendering the data
10 uninterpretable. In most cases there will be all
11 these problems in determining the relatedness of
12 the gene transfer product to adverse events
13 detected a few years after this treatment.

14 Overall, the FDA needs to clarify and
15 state what the objectives are for the long-term
16 data. Examples are survival status, occurrence of
17 new malignancies, as presented today, autoimmune
18 disease, hematologic disorders or neurologic
19 disorders. We support the basic principles of the
20 proposed three-tiered system and feel that the
21 length of follow-up must be determined on a case by
22 case situation through communication and discussion
23 with the FDA.

24 Each vector construct is unique and the
25 variables associated with its use, such as route of

1 administration, the underlying condition and the
2 patient population. A rigid guideline is not
3 flexible enough to accommodate the various gene
4 transfer clinical trial scenarios. The rationale
5 for determining what data collection is needed must
6 be defined. Currently, as was discussed here for
7 the retroviruses and in the guidance, laboratory
8 specimens are required for five years with
9 questionnaires and telephone calls beyond that
10 time. The rationale needs to be evaluated based on
11 the biology of the vector. If the half-life of the
12 vector [not at microphone; inaudible] laboratory
13 specimens are burdensome to the patients. They
14 have to travel, lose work time, etc. Managed care,
15 insurance companies, academic institutions and
16 sponsors, along with the extra paperwork and
17 procedures find this provides little extra
18 information or useful information.

19 In the case of vectors that do not
20 persist, such as plasmids and adenovirus laboratory
21 specimens are [not at microphone; inaudible].

22 Thank you.

23 **Committee Discussion of Questions**

24 DR. SALOMON: Thank you very much.

25 Richard?

1 DR. CHAMPLIN: Reflecting on the data
2 being collected, certainly the four disease groups
3 that you looked at have precedent but this is an
4 area when unpredictable things can certainly
5 happen, and I would think almost anything goes in
6 terms of organ targets for toxicities. Clearly,
7 examples of late liver and kidney failure, and
8 chronic glomerulonephritis are mostly in the
9 autoimmune category perhaps but, clearly as one is
10 screening for toxicities one needs to look for
11 those things. I would think an approach would be
12 to try to use a broad-based toxicity scale, sort of
13 like the NCI common toxicity criteria that is used
14 for a chemotherapy drug. As one collects
15 information from patients, obviously you want to
16 make that as simple and easy to pull out as
17 possible so that somebody on the receiving end
18 would need to translate the patient's description
19 of their medical problems into categories by either
20 that toxicity criteria or some other instrument.

21 DR. SALOMON: So, right now anyone is
22 there anyone else who had a sort of general point?
23 Richard?

24 DR. MULLIGAN: I have an issue with the
25 definition of long-term follow-up. In the briefing

1 document there is a comment that clinical concerns
2 restricted to a specific vector for a given study
3 agent for a given study would be addressed in the
4 study protocol would not be material to any
5 guidance. I am thinking that this may be a very
6 important key to separating the formal definition
7 for long-term follow-up and many of the concerns
8 people would have may well be covered by the
9 individual protocol.

10 So, one of the clarifications in the sense
11 of maybe a sample or two of what would be the
12 closest kind of information for the clinical
13 protocol that you are talking about would be like a
14 long-term follow-up because I think if we can
15 separate as much as possible those two things it
16 may be easier to see the real long-term follow-up.

17 DR. SIEGEL: I think that is a very
18 important issue. In fact, I think the April
19 discussion or confusion over that was fundamental
20 to some diffuse discussion in terms of what was
21 needed. Each protocol for any drug, biologic or
22 device under study includes an amount of follow-up
23 that is dependent on both the nature of the drug,
24 its anticipated effects and the nature of the
25 disease. In traditional drug studies with

1 short-acting drugs that typically follows to
2 approximately a month after the end of the
3 treatment period. In biological studies, because
4 they often have much longer lasting effects and
5 they may have persistence of both desired effects
6 and undesired effects, such as immunogenicity
7 issues well after the administration of the
8 product, it is quite common that studies persist
9 significantly longer than that.

10 In our current experience for the vast
11 majority, if not all, gene therapy products we have
12 been asking for follow-up that extends to at least
13 one year after the final administration. So, for
14 the purposes of these discussions, and as reflected
15 in the footnote on page one, and also consistent
16 with the comment that you have just heard, we have
17 decided to define long-term follow-up as follow-up
18 that occurs beyond the first year after final
19 treatment on protocol.

20 With that said, however -- and I think
21 that is functional for what we are looking at when
22 we are talking about the risks that may cross over
23 broad varieties of gene transfer products that
24 might share common vector characteristics or other
25 characteristics that might call for long-term

1 follow-up such as we have been discussing. But, as
2 your question is asking, we would all presume,
3 regardless of the discussions about general
4 principles for long-term follow-up, that if the
5 nature of the disease being treated or the nature
6 of the insert and the vector product being produced
7 raised specific concerns regarding safety relevant
8 to that specific product or, for that matter,
9 efficacy regarding that particular product, we
10 would require follow-up. Even when those concerns
11 require follow-up beyond one year, we would require
12 that regardless of this discussion.

13 So, the fact that you might imagine a
14 particular insert in a particular disease where you
15 think you would want to have, you know, five years
16 of follow-up because of the nature of what that
17 insert is doing, unless that is a broadly
18 generalizable characteristic that shouldn't be
19 driving our discussions of generalizable issues of
20 vector characteristics as we would expect for a
21 given disease and a given insert. We would make a
22 case by case determination about the nature of that
23 risk, and the duration of that risk, and the
24 appropriate way to deal with that risk in the
25 setting of a clinical trial.

1 In addition, in some sense all of our
2 determinations will be case by case but we feel
3 that as we look across broad classes of vectors to
4 look for shared risks, we need to develop the
5 guidance regarding the common expectation based on
6 the factors that we have discussed.

7 DR. MULLIGAN: I think we can just say it
8 is very, very key. It makes me feel more
9 comfortable that we might be able to look at the
10 long-term follow-up discussions in a slightly
11 different way than maybe some of us have in the
12 past because I think you are giving comfort that
13 the good old-fashioned process of reviewing a
14 protocol will identify things that probably would
15 be of most concern. I think we could all come up
16 with several specific points of things that will be
17 done in the near future where that five-year
18 follow-up may well be very, very important.

19 So, I would propose that we might want to,
20 based on that, think of the nature of the other
21 information. What is the other kind of
22 information. I was struck by Dr. Rosenthal's talk
23 because at the finish there is a suggestion of an
24 analytical importance of the follow-up information.
25 That is, I think you were making a point that some

1 of the data collection may not be that useful
2 because it doesn't really tell you whether it
3 really is associated with the gene therapy.

4 I am struck because I am not sure, in the
5 context of the overall value, why we are doing
6 this, why that necessarily would be the goal. That
7 is, another goal might be simply to get raw
8 information. From the political point of view,
9 when something bad happens people are not going to
10 want to know that you didn't know, no scientist
11 knew, why this happened. They are just going to
12 want to know that you identified this, or if you
13 didn't identify this people are going to be very
14 upset. So, a system that is too sophisticated
15 because you are kind of getting rid of things where
16 you don't really know what is going on is probably
17 not the right system for this kind of follow-up.
18 So, maybe I am just trying to fantasize about
19 getting over this whole thing over the next hour or
20 two.

21 DR. SALOMON: I would like to share your
22 fantasy, Richard. Certainly, at the end of the day
23 it will mean a lot more to me than now.

24 DR. MULLIGAN: But I am not so sure that
25 maybe it is all that complicated if we begin to

1 separate things on the basis of real clinical
2 information and analytical information and raw
3 information.

4 DR. SALOMON: I agree very much with what
5 Richard has been saying just now and as Jay put it.
6 I spoke with Jay earlier this week to just get some
7 idea about where these questions were going and, as
8 Jay points out, they evolved quite a bit.

9 I think that what we ought to do now is
10 try and follow a path to get to the end of this and
11 the guidelines I think, Richard, you have kind of
12 articulated. The first question and the first
13 issue I think we need to just have some sort of
14 official opinion on is do we agree -- you know, do
15 we advise, not getting yet into the details of what
16 long-term follow-up us but just in some form that
17 we can feel comfortable with, can we say to the
18 FDA, to the public, that we believe long-term
19 follow-up for gene therapy clinical investigation
20 is appropriate? If we can get past that first
21 question, and then begin to get at what would be
22 the appropriate context and kind of information
23 and, in so doing, try again to articulate where the
24 issues are and some of the practical obstacles that
25 sponsors, individuals and biotech industry

1 experience. Then, maybe we can get to the end with
2 talking about what database, or what we would
3 require in terms of long-term follow-up in order to
4 be responsive.

5 DR. RAO: I just wanted one more
6 clarification. So, if we just take an example
7 using something like lentivirus and say that that
8 is retrovirally induced, it is going to persist and
9 the hope is it is going to persist for the life
10 span of the individual in some sense. Then certain
11 long-term follow-up will be covered just by the
12 clinical protocol itself as an individual protocol
13 and we are not going to worry about that as an
14 issue. Right? If this is just a follow-up for
15 unanticipated effects, in some way can we be
16 preemptive in collecting information which might
17 give us clues to what would be common effects
18 across many such viruses or many such drugs?

19 DR. SIEGEL: Well, I guess there are two
20 ways in which I could look at your question. One,
21 there are issues that are specific to a specific
22 trial and those things that you want to collect for
23 all lentivirus and that is, in fact, what we are
24 answering. There is another issue, and I am not
25 sure if it is what you are asking, is it collected

1 as a matter of in the protocol or some other
2 matter? I am not sure if that was inherent in your
3 question.

4 DR. RAO: Yes.

5 DR. SIEGEL: I guess what we would
6 envision is that if we feel for lentiviruses that
7 it is appropriate to collect information about
8 malignancy for some period of time, at the present
9 point in time we would ask that protocols would
10 include that as part of the protocol. That is the
11 way we, in the FDA, see that things happen. At
12 some future point in time some group may put
13 together some multi-center cohort study and
14 database that deals with that in some other way.
15 You know, we have heard discussions and suggestions
16 about that and, as I have said, we have discussions
17 and lots of different avenues at the same time.
18 So, if you are saying protocol specific issues
19 versus general lentivirus issues, yes, that is what
20 we are focusing on but we would think either issues
21 would essentially addressed in the protocols we
22 would expect to see.

23 DR. SALOMON: I think what is critical
24 here is that nothing that we do today is going to
25 change the fact that each protocol that comes to

1 the FDA for an IND and, for that matter, to the RAC
2 for review, is going to be looked at for the
3 specifics of that protocol; for the specifics of
4 that vector class. Things are going to change.
5 There are going to be new technologies that we
6 can't anticipate today. Nobody and nothing we are
7 going to say or discuss now is going to try and
8 change the flexibility of the regulatory agencies
9 to deal with case by case issues now and in the
10 future.

11 With that said, there are some principles
12 that we need to decide are appropriate, and the
13 principle that is on the table right now is just
14 the simple principle of do we agree that long-term
15 follow-up beyond the current one year after the
16 last dose is generally appropriate? That is the
17 question that I would like to hear from the
18 committee on. If you think, gee, that is obvious
19 and simple then, you know, we can go through this
20 quickly.

21 DR. CHAMPLIN: I mean, just the precedent
22 of, you know, chemotherapy administration and later
23 the incidence of acute leukemia, in some types of
24 patients it is a 15 percent actual rate that
25 secondary leukemia develops after intensive

1 chemotherapy of various types, and this occurs
2 usually in a period of a decade. So, clearly
3 envisioning products that damage or rearrange DNA,
4 that is a possible outcome and it would be
5 inappropriate not to be monitoring for that in some
6 fashion. I think the practical issue is how can
7 you do it in an effective way and we will come back
8 to that.

9 DR. SALOMON: Yes, I promise we will come
10 back to that.

11 MS. LAWTON: Your question is, is
12 long-term follow-up necessary and I guess I would
13 just come back to I think we all agree that some
14 level of long-term follow-up is necessary, but that
15 comes back to the tier approach and we then get
16 into what is long-term follow-up for the different
17 categories.

18 DR. KNOWLES: I think long-term follow-up
19 is essential. I think things have changed a lot in
20 medicine over the last ten, fifteen, twenty years.
21 I think the American public is going to demand it.
22 So, I think this is an issue that needs to be
23 addressed.

24 DR. HIGH: Disagreeing with this is like
25 disagreeing with mom and apple pie. I mean,

1 obviously for a new therapy like this it is
2 important to acquire long-term follow-up and I
3 would only make the point that as we do accumulate
4 data, so when there is twenty years of follow-up on
5 4000 patients, then I think the requirements change
6 unless necessary.

7 DR. SALOMON: I think one thing I promised
8 to the committee -- I promised to myself is that
9 before we are done we are all going to make sure
10 that we have articulated all the problems with this
11 as well. Well, if I don't have anything, then I am
12 actually going to say there is a consensus of this
13 committee that long-term follow-up beyond one year
14 after the last dose of a gene transfer vector is
15 appropriate as a starting principle. Do we need to
16 vote on that? Are we going to dispense with votes
17 today? I just want guidance from you.

18 DR. SIEGEL: I think if a critical issue
19 comes up and it looks like it would be useful, that
20 might be useful. I think in general, as a general
21 rule, advice is -- you know, votes seem to somehow
22 discount minority viewpoints. People come from
23 different perspectives and you need to hear voices
24 from different perspectives. I am not sure we are
25 really in a voting situation. We might come to a

1 situation where we are going to have to make an
2 immediate decision and it would be useful for us to
3 have a better record but I don't foresee that per
4 se.

5 DR. SALOMON: I just want to do things
6 right in the official sense as well. So, the
7 second question is an important factor for
8 determining the nature and extent of follow-up are
9 the characteristics of the vector. I think
10 everyone would agree with that. As well as, when
11 we talk about the vectors, the class of the vector,
12 what kind of gene is in the vector, what kind of
13 disease the vector is being given for, I think we
14 all agree that you can make it very complex.

15 The FDA has proposed dividing gene therapy
16 products into three tiers. Everyone here is
17 familiar with the general concept of the three
18 tiers. So, let's deal with that next. Does
19 everyone have the three-tier system? So, the
20 three-tier system, tier one is low; tier two,
21 intermediate; and tier three, high. And, I am not
22 going to read the rest of it. You, guys, have it.
23 So, comments on the three-tier system?

24 DR. SIEGEL: Let me first say we welcome
25 and invite any comments on how the tiers are

1 defined or used. One particular area where we are
2 really eager to get clarification on, and that
3 relates to the last question, is we thought we
4 heard in November that for the low risk products if
5 a vector doesn't replicate and the cells aren't
6 going to survive so it is really not too much to
7 distinguish from any other types of therapy for
8 which we don't have specific, generalizable
9 concerns about long-term effects. Neither the
10 vector nor the cells containing them are expected
11 to be around for very long except where, as we have
12 discussed, there might be an aspect of a particular
13 protocol that required long-term follow-up, that
14 one-year follow-up might well be adequate.

15 We went back to the committee to check if
16 that is what we heard and I think we perhaps
17 phrased the question somewhat differently because
18 what went up on the board is something that
19 suggested that such patients would have no
20 follow-up, and I think that made a lot of people
21 anxious. But I think the question we thought we
22 were asking then was if you are in this lowest risk
23 group and if you are followed for a year after the
24 last treatment, which could be many years if it is
25 a recurring treatment and most gene therapy today

1 there have been short courses of treatment -- if
2 you are followed up for a year after the last
3 treatment, and if you have a vector that falls into
4 these low risk groups, and if there is a specific
5 reason in a specific protocol for longer-term
6 follow-up where that would be implemented, the
7 question is, is long-term follow-up necessary in
8 that group?

9 Again, I don't want to limit the
10 discussion to that area but we are looking for some
11 clarification. I think we asked different things
12 and we will take full responsibility for confusion,
13 but we are not really sure what we have heard and
14 what we are being advised to do.

15 DR. SALOMON: Well, my sense of it, just
16 to start this off, is that there are two circles
17 here and I am trying to figure out where the two
18 circles intersect. The first circle is, I feel
19 very strongly, that the FDA, in its approach to
20 this, has to have the flexibility that if
21 approached by a sponsor with a specific vector and
22 a specific trial where there is -- and I am not
23 going to define how that should be because I don't
24 think we can define that here, but where there is
25 really compelling data that the vector or the

1 gene-modified cells don't survive, except for a
2 very short period of time as, for example, in the
3 case of irradiated cells or in the case of certain
4 vector classes, the FDA and the sponsor should have
5 the flexibility to suggest that there should be no
6 long-term follow-up. That is one circle.

7 The second circle is this question of a
8 generic public anxiety that extends through
9 regulatory agencies, Congress and the public that
10 the minute you mention gene modification,
11 recombinant DNA, etc., that you have to do
12 something, that that is out of the ordinary. That
13 is the other circle. Richard?

14 DR. MULLIGAN: Well, I have a radical idea
15 that may seem like we are going backwards but I
16 don't think so. Based on the discussion that we
17 have just had, if I look at the different tiers
18 there may be a way to make essentially one tier --
19 you know, no tiers essentially. I note that in the
20 high risk the only difference really from category
21 two is essentially an annual physical for five
22 years. I would propose that we talk about why we
23 propose this and why that should be the case, and
24 wouldn't that be something that would fit into a
25 protocol-specific requirement? That is, based on

1 what you think those issues may be, wouldn't you be
2 likely to have an annual physical? If that was the
3 case and you dropped that, then you really look at
4 all the tiers being comparable except for the
5 lowest tier where, based on this very recent
6 discussion, there is the question whether there
7 should be any long-term follow-up.

8 So, the radical proposal is you might say
9 that everyone is going to have -- and we would have
10 to discuss what this would be, you know, the
11 clinical question, but whatever that is going to be
12 for anything from the point of view, as I think you
13 articulated, you know again, if something happens
14 to someone who has had irradiated tumor virus
15 vaccine over ten years and has some autoimmune
16 reaction, people are not going to care or they are
17 going to think that it is pretty silly that, you
18 know, the wisdom of the FDA and the group was that
19 this was something sent from those reporting
20 requirements, and that would be silly because,
21 again, things might happen. If we have a system
22 for getting this information and it is an easy
23 enough system, a questionnaire system, then it just
24 unifies the ability to get the information and
25 probably gives us the most valuable thing we could

1 get from this which is raw info. I think just
2 having info so that people will know that we have
3 been looking for these things, even though we can't
4 necessary articulate what we are going to do with
5 that information or whether, indeed, that
6 information is every going to draw us back to
7 really what happened.

8 DR. GAYLOR: One thing that may be obvious
9 to everybody already is that almost any late effect
10 -- you know, the first one you won't believe is
11 related to the study drug or vector. It is only
12 when you have observed greatly greater than the
13 expected that, you know, a bell rings in your mind
14 to say, yes, this encephalitis was related to drug
15 X or vector X. So, causality aspects really can
16 only sort of be ascertained in the short term
17 around the time that you are giving the drug, and
18 if you give it and something happens you assume
19 there is a causal relationship. As you get further
20 and further from the exposure other things are
21 going to happen to patients. They are going to get
22 other medical problems and the challenge is to sort
23 out is if that new medical problem is in any way
24 related to the vector. So, almost never will it be
25 obvious that it is unless it is a previously known

1 association.

2 DR. SIEGEL: We do have an advantage here
3 though. Given some of the putative mechanisms of
4 long-term effects, if insertional mutagenesis gives
5 rise to a tumor ten years later you should be able
6 to find in that tumor, you know, a clonal
7 insertional site of the vector. You might be able
8 to. Or, if you expect an autoimmune response as a
9 toxicity, you might be able to find in that patient
10 evidence of a response to the gene product.

11 So, I certainly agree that for the most
12 part, except for very rare -- and this applies to
13 everything we see, all rare events in drug studies,
14 you know, you get one case with a rare event and it
15 is very hard to know what to make of it and you
16 look a little more closely. But in addition to
17 looking for other cases and related cases, we may
18 have molecular mechanisms to look at as well here
19 that may, in fact, even in a single case point to
20 causal association.

21 DR. RAO: Just in the interest of time, as
22 you said, to move things along discussion, is my
23 sense then correct that there seems to be some sort
24 of consensus, at least for tier, one that there be
25 no long-term follow-up required or mandatory after

1 one year? Is that correct?

2 DR. SALOMON: I think we have two things
3 now on the table. One question was appropriately
4 raised by Richard, are the tiers useful and I think
5 we haven't answered that. The second question was
6 what I started with, and that was, you know, these
7 two circles. One circle is that there is a concept
8 that we ought to leave open the fact that
9 appropriately argued scientifically based decision
10 that certain things don't require any follow-up.
11 The other circle is when you say recombinant DNA
12 and gene transfer in the same sentence requires
13 follow-up.

14 DR. RAO: I thought that it may be useful,
15 because even Dr. Mulligan said that in terms of
16 doing away with the tier system, he would just
17 suggest that there be this one tier which would be
18 this low level tier one which, as you suggested,
19 would give the FDA flexibility to say that this is
20 not something for which you need follow-up. And,
21 he proposed that at least in terms of long-term
22 follow-up we can consider tier two and tier three
23 as one. The difference in the follow-up is just
24 that you have a physical annually. In that case,
25 for long-term follow-up we can consider that as one

1 and then discuss it separately later. If that
2 seems to be a reasonable consensus, then we should
3 at least say that, yes, we all agree with the tier
4 one idea and say that there isn't any required
5 recommendation for follow-up and move to the next.

6 DR. SALOMON: That is an interesting way
7 of taking both our questions and putting them
8 together, and we can discuss it.

9 DR. BISHOP: Yes, I want to make one
10 clarification. In tier two and tier three there is
11 an additional very important aspect that is
12 different in terms of what is required. Tier
13 three, being the highest risk, was modeled upon
14 current recommendations for retroviral vectors
15 which includes a laboratory component to that.
16 There, we felt that at least in the first five
17 years it would be important to this discussion to
18 evaluate whether or not it would be necessary to
19 have this laboratory component. It may be a tissue
20 or may be some blood sampling to be done. Along
21 with that thought, really the discussion at the
22 time that we put this together was based on the
23 current recommendations for retroviral vector
24 studies.

25 DR. GAYLOR: Maybe another way of looking

1 at those is whether or not those would be better
2 put in a protocol-specific fashion. It depends
3 what the basis for doing that over the five years
4 is. I would say in the case of retrovirus vector
5 that that is not just collecting random long-term
6 follow-up. That is a real highly relevant,
7 technical issue that I think would be very, very
8 important. I can't conceive of any gene transfer
9 with hemopoietic cells using retrovirus vector that
10 people wouldn't be, over a five-year time period,
11 trying to assess whether or not the vector was
12 still present.

13 DR. MULLIGAN: What we are talking about
14 today is what the requirements are for those
15 protocols. I mean, this is the way the FDA -- this
16 is their guideline to approve a protocol or give at
17 least advice on the construction of the protocol to
18 be sure it contains these elements. So, it is not
19 like there are two different processes here. This
20 is a process of considering protocols, whether they
21 are acceptable or not and if they need these
22 criteria.

23 DR. SIEGEL: I would like to address a
24 couple of comments about public expectations, not
25 to address what the public expectations are but the

1 comment that it is gene therapy so we need to be
2 doing something, just to make sure that at least in
3 the context that we have been viewing this in is,
4 of course, we need to be doing something but we
5 need to be doing the right thing. You can always
6 do more. Not just in the long-term but even in the
7 first year you can say, well, we are only doing
8 blood tests once a month, why not once a week? How
9 come we are not getting electrocardiograms once a
10 week and thyroid function studies once a week? Why
11 are we only getting all the routine blood screens?

12 So, there are two things that I think one
13 needs to reflect on in making these decisions. If
14 you are talking about not collecting information,
15 it is not a decisions that, first of all, we are
16 talking about decisions to focus resources in those
17 areas that are going to provide the most safety
18 rather than in those areas where they would be less
19 efficiently used.

20 The other perspective, especially if we
21 talk about long-term as a perspective we have
22 discussed before, and most epidemiologists I have
23 talked to believe, and I think is a matter of
24 common sense, is given the practical difficulties
25 of getting information, especially out many years,

1 asking for less may in many cases mean getting
2 more. If you ask everybody to come in twenty years
3 later and have blood tests and scans I think most
4 are just going to say no way. If you ask for a
5 one-page questionnaire you are probably going to
6 have more of them return than if you ask for a
7 twenty-page questionnaire.

8 So, the issues are not so much whether we
9 need more or less safety information but how to get
10 the best and most important information. I just
11 want to make sure we are all on the same page there
12 because I don't think we should feel some
13 compulsion to ask for things that don't make sense.
14 That would be harmful. On the other hand, we need
15 to do the best job of collecting those things that
16 will tell us what we need to find out.

17 DR. SALOMON: In terms of pages, I think
18 the only thing I would say is you are a page ahead
19 of me right now in terms of my outline for this
20 campaign. I am hoping to get to what it is we are
21 going to demand in the third question, and just get
22 past this sort of concept now of do we go along
23 with the tiers. So, just to focus on that, I know,
24 Katherine, you had a point you wanted to make -- I
25 would like to say two things, one directly along

1 the lines we have been discussing this morning.

2 One is, Richard, I personally am okay with
3 this tier system in the sense that I don't think
4 the substance of what you are suggesting is wrong
5 either. I don't see any big disagreement between
6 us. For me, the tiers I think may be useful to the
7 FDA and also the sponsors approaching the FDA, and
8 will also allow, as new information comes along,
9 sort of picking up on something Katherine said, you
10 could move a whole vector class down a tier and
11 that, to me, would be a good thing as well. So, I
12 think just from a practical point of view the tiers
13 have some value, but I don't disagree with anything
14 you said actually in terms of the fact that some of
15 these things should be specific to a trial.

16 DR. MULLIGAN: I think in the spirit of
17 reducing bureaucracy, I would like to have more
18 arguments why you would want to have the tiers.
19 That is why I am focusing exactly on what is the
20 difference, the relevant difference, and the thing
21 that is in the high tier is something that I would
22 like better discussion of what the rationale for
23 that is.

24 DR. SIEGEL: I would like to ask some of
25 our FDA scientists to comment about this. What we

1 are proposing here, the nature of this tier system
2 is that these are, based on the discussions we have
3 had with the committee and our analyses of the
4 system, these are the characteristics of a vector,
5 the ones that you see under high, that would
6 specifically warrant value in general for annual
7 medical histories and archives, that it is those
8 integrating, replicating and so forth where you
9 might want to do that. That is what we want
10 comment on as to whether that is an appropriate
11 linkage. Now, there will be case by case decisions
12 and, while not wanting to get bureaucratic, we
13 don't want to be arbitrary. There is a value for
14 industry and investigators to know what the
15 expectation is before they plan what it is they are
16 going to study and how.

17 DR. SALOMON: Can I make a point along
18 that line? I am thinking to myself how would this
19 work in practice. So, the way I would see it
20 working in practice, let's say I have a retroviral
21 vector that I was putting into macrophages ex vivo
22 and I could demonstrate that the macrophages had a
23 relatively short half-life, I would then ask, as a
24 sponsor, to have that phased as a tier two study
25 when I came in to do my IND. Whereas, next week we

1 might be dealing with one that was a retroviral
2 vector or lentiviral vector in a hematopoietic stem
3 cell in which there would be no question today that
4 that would be tier three, but maybe five, ten years
5 from now we could get rid of the tiers because they
6 would collapse on each other. So, that is the
7 value I see in the tier system.

8 DR. MULLIGAN: I don't see that. This
9 question comes back to this issue of what should be
10 dealt with in the individual protocol, and I am not
11 sure I see why the specific cases that you made
12 wouldn't be in the protocol. So, I am not seeing
13 the generic kind of global issues for this
14 particular point, that is, the five-year annual
15 physical for these particular cases. I don't see
16 that they are particularly distinguishable. I
17 don't have a good sense of why that would be
18 particularly necessary as a generic requirement as
19 opposed to a case by case within a protocol
20 requirement. That is, if you thought that there
21 was something about the macrophages that was
22 different than the stem cells, in the protocol you
23 would probably want that addressed, and I would
24 think the FDA would view the protocol and see a
25 difference between the macrophages or the stem

1 cells. But, in fact, according to this thing the
2 macrophages would be considered a tier three.
3 Right? That is, if you are not irradiating the
4 cells and using a retrovirus, and you are putting
5 these into patients, even a cell with a short
6 half-life would be considered a tier three.

7 DR. SALOMON: That is fair. I guess my
8 point here was to give some flexibility that that
9 could be a tier two but, I mean, you are right. If
10 it turns out that in doing it this way we
11 complicate things, then I am also not for it. So,
12 that is the kind of discretion we need to have.
13 Katherine?

14 DR. SIEGEL: Before you do that, because I
15 think this is important because part of the
16 question you have raised in your last two or three
17 comments is an important one, which is why not just
18 do all of this on a case by case basis based on
19 good scientific judgment? And, there are
20 attractive reasons to do that, but there are
21 important considerations for why we would seek
22 general principles and general guidance, if not
23 general rules that are inviolable, and that is,
24 first of all, people who are planning to do
25 research, whether are commercial sponsors or

1 academicians, benefit tremendously from having
2 advance knowledge of regulatory expectations. If
3 you know when you are designing a protocol or
4 seeking a grant or funding a research study, or
5 whatever, that you are going to have to bring
6 patients back and archive specimens and examine
7 them for five years you have a better idea of what
8 your costs are and whether or not you are willing
9 to do that. So, it is a lot easier for people to
10 pursue research in an efficient manner if they have
11 some general idea of expectations.

12 A second reason is that when we don't put
13 out those general principles that we work from
14 there is often a perception, whether correct or
15 not, that we are being arbitrary and capricious.
16 We say we think your study requires five-year
17 follow-up and they say, well, the guy down the hall
18 doesn't require five-year follow-up. Why is that?
19 And we say we can't tell you; that is confidential
20 information. You know, they may do a different
21 study with their irradiation machine or something.
22 Frankly, it also is a more difficult job for us to
23 ensure that that doesn't happen, to ensure
24 consistency. Then if we have guidance, it would
25 serve not only sponsors but ourselves.

1 Finally, it helps you understand what is
2 in that database that you have accumulated. While
3 there may always be exceptions, if you have a
4 database that in general has these sorts of data on
5 these sorts of protocols, then when you go back to
6 analyze for incidences or occurrences, or whatever,
7 you know that that is what is there.

8 So, those are some of the reasons why,
9 although from a scientific perspective it would
10 always be best to try to just say, well, let's deal
11 with each one in the most appropriate way as it
12 comes, there are advantages to try and spell out
13 general rules, not to mention, of course, the
14 opportunity to have public discussion, which is
15 hard to do when everything is simply done on a case
16 by case basis.

17 DR. MULLIGAN: But I think what we are
18 trying to do is separate the kinds of information,
19 and we are still having trouble. I mean, there is
20 confusion. Just from what you said, you know,
21 sponsors will want to know what kind of archival
22 sampling but, I mean, I think that should be built
23 into the scientific and medical review and
24 separated from -- I think this is why we have been
25 doing this for such a long time, we haven't

1 articulated a real distinction between that and the
2 murky stuff that might or might not be put in a
3 clinical protocol, and I am just saying that one
4 way to do that is to make sure it is very clear
5 that the long-term follow-up information is
6 different. Still, based on the years of talking
7 about this, you obviously have a lot more guidance
8 based on all the discussions you have had about the
9 clinical protocols and what might go into
10 individual clinical protocols.

11 But I think that is the key to resolving
12 this, separating as best we can those two classes
13 of info. Otherwise, we are worrying about how to
14 collect the information that probably should be in
15 a clinical protocol in this long-term follow-up. I
16 am just trying to set the stage so we get to the
17 point of talking about what information we want and
18 don't get confused with, oh gee, we can't get this
19 information because it is too complicated; we can't
20 be tracking these patients and getting samples for
21 twenty years, and so forth.

22 DR. SIEGEL: The two classes of
23 information you are referring to are?

24 DR. MULLIGAN: The information that I
25 would say is more medical, scientific long-term

1 follow-up, things that are more technically
2 directed to a protocol, issues like retrovirus,
3 integration, persistence, from, I would say, the
4 value of the long-term follow-up, we will come down
5 to eventually, has to be just collecting raw
6 information, keeping track of gene therapy patients
7 and make it very, very simple. At the end of the
8 day we will want to keep track of these patients.
9 We will want to identify things that happened and
10 it will undoubtedly be in an unorganized fashion.
11 It will have to be, but there is greater value to
12 it.

13 DR. HIGH: I would just say that actually
14 I agree with the points that Dr. Mulligan made and
15 it might be useful to collapse the intermediate and
16 high tier groups. When I look at the field, it
17 seems to me that the way most clinical trials are
18 structured now, one does elicit information on
19 short and medium term consequences of the
20 intervention.

21 What is really lacking in the field are
22 data about long-term consequences of the
23 intervention, and what would be most valuable I
24 think to all of us in terms of eventual licensing
25 of products would be to begin to collect

1 information about long-term consequences, and the
2 information we need I think could really be
3 acquired through a simple questionnaire rather than
4 -- I don't see the purpose or archiving samples and
5 doing annual physical exams between one and five
6 years. I think it is much more important to
7 collect data out through twenty years, very simple
8 kinds of information that is just essentially
9 patient follow-up.

10 DR. SALOMON: Good. Let's go back to a
11 question that I think we can't go forward with this
12 discussion until we answer, and that is, are we
13 agreeing that there are going to be cases that
14 don't require any long-term follow-up?

15 DR. CHAMPLIN: I guess I have been
16 bothered a little bit by this. I would like to say
17 yes because we would all like to simplify matters,
18 but the question is can you be truly sure that a
19 non-integrating virus doesn't have a small fraction
20 of integration going on? Or, if you are treating
21 macrophages, you know, 99 percent macrophages, that
22 the one percent stem cells that are in your
23 preparation aren't going to transduce? So, even
24 when the objective might well meet the tier one
25 objective, is the reality of the manufacturing of

1 those cells totally safe in terms of the potential
2 for long-term consequences? I would like to be
3 assured that that would be the case and that we
4 could do things in a simplified manner but I am
5 just uneasy that that is truly possible.

6 DR. GALORE: I am probably getting ahead
7 of the question here, but follow-up doesn't have to
8 be an all or nothing situation. We could do a
9 sample of 200-300 people and do physical exams on
10 them, and depending on what we see there we may
11 decide to increase that sample size or we may
12 decide to discontinue physical exams. So, it
13 doesn't have to be all or nothing. I think we can
14 make use of sampling.

15 DR. SALOMON: Okay. I guess the reason I
16 am pushing this, and I could be wrong, is that if
17 we agree that everything needs long-term follow-up,
18 then there is no tier system. Right?

19 DR. MULLIGAN: I would agree with Dick. I
20 have to agree with him that if the level -- well,
21 just what you said that basically even with a
22 non-integrating virus I think it would be
23 ridiculous at this point to say that we can predict
24 that there would be no reason to collect this.
25 And, if you make the eventual question very simple,

1 or whatever, then it is not an impediment to have
2 that information and it would be inconsistent with
3 the concept not to include all gene therapy
4 activities.

5 DR. SALOMON: Okay, that is a clear
6 statement. So, taking my two circles, that could
7 bring the two circles together. So, we have two
8 things on the table still, but progress. It is
9 really I think up to the FDA staff at this point to
10 tell us what they think of the three-tier system in
11 the context of the conversation we have already had
12 this morning.

13 DR. SIEGEL: Well, I guess I hear mixed
14 opinions. I certainly hear some subset quite
15 concerned about the notion that there is a group
16 where if you have one-year follow-up, you would be
17 comfortable just to do one-year follow-up. I think
18 we could target not too complex follow-up beyond
19 that on that group. Although I certainly heard
20 opinions to the contrary, I think that is something
21 that we can work with.

22 One of the areas I am still seeking more
23 input on for the tier system is the implication
24 between tier two and tier three, and as I read this
25 -- although, again, I would ask the experts who

1 devised the system to comment or elaborate or
2 correct, some of this, it seems to me, was driven
3 in part by a desire for samples and that, in fact,
4 the issue of whether somebody is viremic, has an
5 immune response or has an insert for some of these
6 classes of viruses, even if they are doing well,
7 whether they have those things going on over the
8 first few years may be important information to
9 have, particularly if they develop toxicity later
10 on.

11 I have not heard this but I am reading
12 between the lines that, to some extent, there is
13 probably a thinking that if you are going to bring
14 somebody by for a sample, rather than just send
15 them a questionnaire, you might as well examine
16 them and take a medical history while they are
17 there. I don't know what drove what there, but I
18 guess having heard some comments that seem to
19 allude to whether there is a difference between
20 these high and intermediate risk categories, one
21 thing that might be worthwhile focusing on is while
22 they are, in fact, high or weak, overestimating the
23 potential value of getting samples beyond the first
24 year in some these cases, or are we saying it
25 should be in all cases? Bringing patients back, I

1 think we all agree, is a bigger endeavor than
2 sending questionnaires. Philippe, do you want to
3 comment on that?

4 DR. BISHOP: I think that when we
5 initially envisioned this three-tier system there
6 was a notion that there would be vector
7 characteristics that would present a higher risk in
8 the long-term for these subjects. The notion that
9 coming in to the clinical institution where the
10 expertise lies where, indeed, there is going to be
11 a specimen collected, maybe a physical examination
12 and maybe a directed interview of the patient by
13 the experts that are well aware of what is
14 happening in the field would have some value.

15 So, I think in terms of trying to identify
16 flags or signals that a certain strategy or gene
17 transfer may represent a long-term risk, we thought
18 that certainly the clinical centers where this took
19 place would probably be the best suited to
20 recognize those signals. Hence, the physical exam
21 and the direct patient-physician contact that would
22 take place at the same time, maybe an archival
23 specimen would be collected which could have some
24 value for the reasons that Dr. Siegel outlined.

25 DR. SALOMON: So, that we be a tier three.

1 You are making the argument for why tier three
2 would be different than a tier two.

3 DR. BISHOP: Tier three, and we felt,
4 based on discussion that this committee had and the
5 advice, that maybe the concerns would not be as
6 great beyond the first five years, especially when
7 it comes to autoimmunity and maybe hematologic
8 disorders, although malignancies and neurologic
9 disorders could occur much later, but most likely
10 these would be captured in the questionnaire and
11 would not necessarily necessitate the level of
12 expertise that the physician at that center may be
13 able to provide.

14 So, that is the distinction between tier
15 two and three, tier three being the highest risk
16 and maybe requiring that within a certain period --
17 we picked arbitrarily five years; I don't know if
18 that is a correct number for follow-up, maybe a
19 year is sufficient. I don't know. But we picked
20 that, number one, because we thought that this
21 could potentially be manageable and doable, and
22 would probably provide the most specific
23 information.

24 We had entertained at some point, if all
25 gene transfer products needed to be monitored,

1 maybe combining tier one and tier two. However, I
2 think we had heard the committee here previously
3 expressing a need to have the flexibility that you
4 articulated for us and, therefore, we were
5 uncertain after the last meeting whether or not we
6 had heard you correctly and whether or not we
7 really needed to leave tier one intact, or whether
8 or not we needed to combine tier one and tier two,
9 again, tier two being just the clinical
10 questionnaire as being a useful tool here. All of
11 that, of course, was a thinking exercise for all of
12 us and certainly your comments are appreciated.

13 Carolyn, do you want to address maybe some
14 of the value of sampling, especially as we
15 understood it for retroviral vectors and how that
16 may apply to the high risks?

17 DR. WILSON: Yes. I am Carolyn Wilson,
18 Center for Biologics. I wanted to give a little
19 bit of a historical background of how we got to
20 this particular recommendation. Actually, to go
21 back to almost ten years ago, 1993, after the
22 Donahue report came out there was a letter that was
23 issued to sponsors that actually asked for lifelong
24 follow-up of all patients who were treated with
25 products involving retroviral vectors, and that

1 lifelong follow-up involved active obtaining and
2 testing of samples for evidence of RCR infection
3 and we recommended that three different methods be
4 used, serologic, PCR methods and infectivity
5 assays.

6 It became evident very shortly that that
7 was a very onerous burden on sponsors to fulfill
8 that particular request. So, back in '97 and '98,
9 starting sort of in 1996 and 1997 actually, I think
10 it was, we were having FDA-sponsored gene therapy
11 forums, and in those forums we were having sessions
12 to address those concerns with the guidance at that
13 point.

14 We had proposed one of several different
15 options regarding how to scale back that kind of
16 lifelong follow-up for patients in retroviral
17 vector gene therapy trials, and we were focusing
18 again primarily on the issue of RCR and the
19 clinical manifestations of the potential infection
20 by an RCR. We felt that if testing during that
21 first year of follow-up was all negative, one
22 potential would be that you wouldn't do any
23 additional physical examination but that you would
24 do just data collection but not archiving of
25 samples.

1 Interestingly enough, during the
2 discussion, because we had an extensive panel
3 discussion with extensive input from audience
4 members, there was a strong feeling that people
5 weren't ready at that point to give up archiving of
6 samples, at that point. So, this was really sort
7 of a compromise position between not doing anything
8 past the first year if all the of the RCR testing
9 was negative and doing everything lifelong. So, I
10 don't know if that helps the discussion.

11 CHAMPLIN: Is there experience now with
12 archiving all that dead tissue that has been
13 worthwhile in any way? Have you find evidence of
14 persistent virus that would then be meaningful?

15 DR. BISHOP: In November I think I
16 presented to this committee some of the limitations
17 that followed an attempt by sponsors, and I think
18 there were legitimate attempts by most of our
19 sponsors to comply with the guidance, and we
20 presented an outline, and it was a pretty long
21 outline, of the limitations that were identified in
22 the course of a survey. We attempted to contact
23 almost everybody that was doing retroviral vector
24 studies at that time. So, I think that conclusions
25 in terms of the value of having done that are

1 difficult to state because I think there was a lot
2 of information that had not been collected that
3 precluded us from really knowing whether or not
4 there was any value to this exercise.

5 But there was a general sense from almost
6 everybody that had this been done, then maybe today
7 we would know and we would be in a better position
8 to make statements, more definitive statements
9 about whether or not this was a valuable exercise.

10 In addition, I wanted to come back to one
11 comment that Carolyn had made, which is the
12 collection of specimens and archiving them, one of
13 the values of doing this is in the course of
14 following individuals three years following gene
15 transfer studies who develop an autoimmune disease,
16 we now have yearly archival that has occurred where
17 you can go back and start looking at whether or not
18 antibodies have become apparent, or there was maybe
19 the presence of viremia. So, I mean there are
20 various studies that can be performed that, at the
21 time of collection may not be obvious that would be
22 extremely valuable once a particular clinical
23 disorder had been recognized.

24 DR. SALOMON: Yes, Doug Jolly?

25 DR. JOLLY: My name is Doug Jolly. I work

1 for Biomedica [not at microphone; inaudible] ...
2 respond to the gentleman's question [not at
3 microphone; inaudible] ... HIV infection and [not
4 at microphone; inaudible]... in the final go-around
5 we had 250 patients approximately from two HIV
6 trials and we tried to do follow-up for three
7 years, three to five years out from the initial
8 start of the trial, and we got about 66 patients
9 out of the [inaudible; not at microphone] ... which
10 is about 25 percent of patients.

11 I guess I would agree with what Dr.
12 Mulligan was saying, that I think for those kind of
13 protocols [not at microphone; inaudible] ... not
14 too much to worry about. [Not at microphone;
15 inaudible]. So, I would say that you really have
16 to look at the clinical experiment to try and
17 categorize the [not at microphone; inaudible].

18 DR. SALOMON: Can you enlighten us on the
19 reason why out 350-some patients you only go, I
20 think you said 64?

21 DR. JOLLY: Yes, 250 patients.

22 DR. SALOMON: But why? What happened to
23 the others? Why didn't you get follow-up on the
24 others?

25 DR. JOLLY: Because the way that trial was

1 run [not at microphone; inaudible] ... cystic
2 fibrosis patients there are not particular centers
3 where it is common to follow [not at microphone;
4 inaudible]. These are patients that were recruited
5 at various sites referred from other physicians,
6 and so just the whole process to find these
7 patients again is much more complicated ... [not at
8 microphone; inaudible].

9 DR. CHAMPLIN: If we are getting into
10 practical issues here, sort of doing annual
11 physical examinations at the treatment center for
12 five years becomes a very difficult thing to
13 actually accomplish. We try to do this with our
14 bone marrow transplant patients and the fall-off is
15 just dramatic even after the first year. So, if
16 what you really want is blood samples. You can get
17 that without the patient having to fly across the
18 country to come to the treatment center, and it is
19 good to have some sort of organized interview by a
20 physician to collect interim history and medical
21 information and potentially get a chemistry panel
22 to check for creatinine levels etc. that might not
23 be symptomatic in the patients if they had mild
24 renal insufficiency for example.

25 But I wouldn't necessarily think they

1 would need to return to the treatment center to do
2 that. So, one would need to have in a protocol
3 physician examination, perhaps laboratory studies
4 and if you want samples, have samples sent but not
5 require them to return to the treatment center.

6 DR. SALOMON: If we want to have a break
7 this morning before lunch, this would be a logical
8 time to take a ten-minute break and then come back.
9 I think that would be good, just in terms of
10 everyone having a chance to break for a second and
11 come back. So, ten minutes.

12 [Brief recess]

13 DR. SALOMON: Thanks, everybody for coming
14 back to the table. You never know with these
15 breaks how long they will take; I always have this
16 fantasy that they will be ten minutes. So, I
17 thought we would try and see how much we can get
18 done between now and 12:30. That is an hour, and
19 then break for lunch.

20 So, just trying to restart where we left
21 off, it seems like one big step to take right now
22 would be to come back again to one of the primary
23 questions, and that is can we -- you know, option
24 one, there are no gene transfer protocols today
25 that the committee believes should be exempt from

1 long-term follow-up as has been defined.

2 Option two, there are some possible gene
3 transfer clinical trials that should be exempt and
4 we are not trying to define exactly what that
5 should be yet. Can we deal with that because
6 depending on whether we agree with option one,
7 there are none that are exempt, then we can just
8 agree on that and move forward? Then I would like
9 to come back to sort out finally this tier thing.

10 DR. RAO: I think it is more like option
11 two, that there are some trials where there
12 shouldn't be necessarily an absolute long-term
13 reporting requirement.

14 DR. MULLIGAN: I vote option one, that
15 there are none that shouldn't have long-term
16 follow-up. By long-term we mean longer than one
17 year. Is that what we are talking about?

18 DR. SALOMON: Yes.

19 DR. CHAMPLIN: I voiced earlier that I
20 wanted to be reassured that both the manufacturing
21 as well as the concept was consistent with the
22 goals of option one and that there was truly no
23 potential for long-term toxicity, so I think the
24 onus is on the sponsor to demonstrate that.
25 Perhaps if you think that there are some that would

1 meet those criteria, you know, you could describe
2 those types of studies that would meet those
3 criteria.

4 MS. LAWTON: I would just like to comment
5 that as far as, you know, if it is decided that
6 everything needs long-term follow-up, that is fine
7 but we also need to look at where is the highest
8 risk that we want to try and collect information
9 and understand, and the practicality of all of this
10 is a huge issue and I don't want us spending a lot
11 of time trying to collect long-term follow-up on
12 those very low risk things and, therefore, not
13 getting the information in the high risk areas
14 where we really want to focus. So, that is the
15 only comment I would make.

16 DR. SALOMON: Any comment on that to try
17 to give us what your sense of the public would be?

18 MS. KNOWLES: Well, I wrote something down
19 here earlier this morning during our discussion,
20 and this is probably something that is not going to
21 be taken very well but it sounds like in some
22 senses FDA needs to redefine research protocols to
23 include long-term follow-up at the front end of
24 those protocols so that it is part and parcel of
25 the research protocols. The sponsors know about it

1 up front and there is no discussion. It just
2 happens.

3 DR. SALOMON: Well, I think that is
4 definitely the premise of all of this, that we
5 would define a type of long-term follow-up that
6 would be applied, and would be up front, and would
7 be applied to all protocols to the extent that
8 those criteria --

9 MS. KNOWLES: Excuse me, I am not talking
10 about just gene therapy. That is why I say it is
11 probably not going to be well received, but I think
12 it is something that should maybe considered at
13 some point in time.

14 DR. SALOMON: Well, I think everyone would
15 realize that it is beyond the purview of this
16 committee to comment on any other committee's area
17 or any other FDA activity, but I certainly think
18 that that is now on the record.

19 Dr. Patterson, I don't want to put you on
20 the spot but you have a very important role here in
21 terms of not only your expertise in the area but
22 your liaison with the recombinant DNA advisory
23 committee. Can you give us some sense of where the
24 RAC is on this?

25 DR. PATTERSON: Well, I think since the

1 inception of this field the NIH and the RAC, in
2 concert with the FDA, has underscored the
3 importance of long-term follow-up. I think as an
4 agency, its mission is to advance knowledge in
5 order to promote good health and it is incumbent on
6 us to try to get information that is pertinent to
7 the safety and progress of this field.

8 I think I have said before each of the
9 other times the committee has discussed this topic
10 that I think that the FDA is to be commended for
11 the steps it has taken so far in trying to outline
12 a paradigm for long-term follow-up, as has this
13 committee. I want to stress that we think that
14 there needs to be a broader consultation process
15 before the final lines and characteristics of this
16 framework are put in place. That consultation
17 should include I think not only patient advocacy
18 groups and communities, but it should also include
19 people and agencies, such as the CDC, with
20 expertise in surveillance studies and long-term
21 follow-up so that twenty years from now we have
22 data that is both scientifically valid and
23 statistically useful, and is as least burdensome in
24 the collection process as possible. So I would
25 hope that this is a very important pivotal first

1 step to a longer-term process.

2 I also realize, in reading the briefing
3 materials, that there is mention, particularly for
4 the autoimmune diseases, about the possibility of
5 having some of these conditions become reportable
6 diseases. That is a process that involves the CDC.
7 That is a longer-term process. I know that
8 colleagues at the FDA recognize this.

9 I also think, in addition to the tier
10 approach we may want to think about a phased
11 approach to long-term follow-up. What is the
12 short-term fix to long-term follow-up? What can do
13 we do right now? What can we put in place now
14 versus in the longer-term? What regulations may be
15 needed? What changes in the local and state health
16 departments for reporting diseases are needed?
17 That is a longer-term issue that is going to
18 require a much wider dialogue than what is
19 happening here, important as it is, in this room.

20 DR. SALOMON: Thank you. I certainly
21 think I can speak for the committee in saying that
22 we would very much welcome additional discussions
23 outside this committee. I think as you have had to
24 come back three times to this committee to get us
25 to this point reflects the fact that I don't think

1 anyone here feels that the complexity of this
2 issue, and its impact on so many different groups
3 with so many different kinds of interests, can be
4 adequately reflected by anything we accomplish
5 today or, you know, in the last two meetings. So,
6 I agree. Do I speak for everyone? I think we
7 would love additional consultations, and I think
8 that is implicit in any advice we give today.

9 DR. CHAMPLIN: To say the obvious again,
10 long-term follow-up is easy to say but it is very,
11 very, very hard to do, and it is very hard to get
12 information. It is hard to get information that is
13 interpretable. Just thinking about, you know, if
14 you have a questionnaire and somebody says, "I have
15 kidney problems" and sends that back to you. How
16 do you score that? Do you call them? What sorts
17 of things do you do to sort that out, glomerular
18 nephritis, bladder infection? So, you are going to
19 get just reams of data that are going to be very
20 difficult to interpret, and this is really going to
21 require enormous resources in personnel, in time
22 and computer systems and effort to sort it through
23 probably for very little gain in the end. We hope,
24 in fact, there are going to be few, if any,
25 long-term adverse events and it is just an enormous

1 undertaking to try to be sure of that.

2 MS. LAWTON: I was just going to comment
3 that that is assuming you can find those patients.

4 DR. SALOMON: So, trying to move this
5 forward, what I have heard so far this morning is
6 -- trying to seek kind of minimum consensus here --
7 everybody agrees that not all vectors are created
8 equal and that we all agree with the basic concept
9 that there is an array of relative risks for long
10 term. But having said that, I also sense that
11 rigidifying that in a tiered system is something we
12 are probably not really comfortable with.

13 I think to move this field forward, I
14 think what Amy suggested for the first phase would
15 be that we have so far agreed with the concept that
16 long-term follow-up beyond one year after the last
17 dose of the gene transfer vector is appropriate.
18 That is an important start.

19 Secondly, I think there is a general sense
20 today that probably all gene transfer vector
21 clinical protocol patients should be followed long
22 term. I am not going to tell you that that is
23 fifteen or twenty years yet. We will get to that
24 in a minute, but there ought to be some tracking of
25 those patients, albeit all of us are concerned

1 about what that will entail, and we feel that is a
2 reflection of concerns from regulatory agencies,
3 Congress and the public.

4 Perhaps if one agrees then that all should
5 be tracked, in the future we can use that data and
6 come back to this so that this is, as Dr. Siegel
7 instructed us at the beginning, only our best
8 advice for today and not necessarily for all future
9 time, that we could agree that the tier system per
10 se doesn't add anything and it would be just
11 rigidified interactions. If everyone has to have
12 some form of long-term follow-up, then we can
13 basically not try and stick to specific vectors
14 without repudiating the basic concept that there
15 are going to be relative risks that will increase
16 with certain kinds of trials and that that should
17 be dealt with on a trial by trial basis. Can we
18 have some discussion of that? Can we get there?
19 Have we gotten that far?

20 MS. LAWTON: Can I just ask a question?
21 Then, if we agree that we need long-term follow-up,
22 are we willing to have a discussion around what we
23 think is a minimal of the data that we need to
24 collect, and then that the additional things is
25 what is out for further discussion?

1 DR. SALOMON: I think that is critical.
2 So, what I would suggest that I can be comfortable
3 with -- again, this is just to start the discussion
4 -- is affirming that we need long-term follow-up;
5 creating a framework that is generic enough to
6 cross all different kinds of trials that come
7 forward that would satisfy, in phase one, what I
8 think are critical issues. One is I just can't see
9 burdening this field with such a financial
10 involvement based on just this sort of major thing
11 -- everybody needs follow-up -- that it just
12 decreases the ability to do gene therapy and move
13 this field forward because that is the last thing
14 this field needs right now. But, at the same time,
15 we need to be respectful of the fact that there are
16 a lot of unknowns in this new technology and do
17 that as well.

18 So, I am thinking that what we could deal
19 with in the next half hour or forty-five minutes is
20 what this committee feels would be the phase one,
21 what everyone should get, and then if you want to
22 do, you know, brain biopsies yearly on a specific
23 trial that is between you and the sponsor on that
24 trial and that didn't come from this committee.

25 DR. SIEGEL: I guess I would also like, in

1 light of Alison Lawton's question and some that we
2 have, rather than accept -- you made a comment that
3 while the tier system sort of becomes irrelevant
4 everyone needs follow-up, but her comment was, and
5 our approach and thinking has been that even if
6 everyone needs follow-up attention ought to be
7 focused on those areas of greater concern, which
8 could lead to systems where there was either more
9 frequent or extensive data collection based on
10 certain factors, or whatever, and I would like to,
11 you know, keep that on the table for discussion as
12 to the merits of that sort of approach.

13 DR. SALOMON: I guess what I am trying to
14 get at, and I am just testing the water in a way,
15 but what I am trying to get at is affirm that the
16 principle of long-term follow-up is there; affirm a
17 framework for long-term follow-up that we feel
18 could be applied to anyone in the gene transfer
19 protocol, with the implicit advice to the FDA that
20 the protocol details should then be left between
21 the sponsor and the FDA staff. Then, we could
22 finish by discussing more generally principles for
23 that long-term follow-up, which we already have and
24 I think there has been tremendous progress. So,
25 that could be the last thing we do. You know, if

1 you want to do details, here they are. But that
2 way at least I think the committee could get to a
3 point where we move the field forward. We affirm,
4 we gave a general concept, we didn't kill the field
5 -- not to be too dramatic but I am just really
6 scared of that. So, we could be practical, move
7 the field forward and also give you good advice.
8 That is what I am hoping.

9 DR. MULLIGAN: One thing is that we are
10 not getting rid of the tier system but, I mean, we
11 have spent a year talking about the tier system and
12 I think that the concepts and principles are very
13 sensible. So, it is a question of whether to
14 incorporate the tier system organizationally into
15 the formal long-term follow-up. So, I think we do
16 agree; there is some consensus about what diseases,
17 what applications require more or less and I think
18 we are saying we don't want to stick that into the
19 formal long-term follow-up because of all the
20 issues we have discussed over the last hour or two.
21 But I think that all of those principles are very
22 reasonable and there probably is a consensus, or we
23 could get at some point to a consensus on the tier
24 principles. We probably did that several months
25 ago.

1 DR. SIEGEL: Right. The tiers came out in
2 the November discussion to try to incorporate those
3 principles. What you are saying is you endorse the
4 principles but it is hard to be too highly
5 prospective and specific about exactly how to use
6 them. I understand that. I made the case for why
7 there is a lot of advantage to trying to be
8 prospective and give guidance on how they are used.
9 But I hear what you are saying.

10 DR. MULLING: But the other message,
11 certainly my message is that I think there ought to
12 be a very deliberate incorporation of some of these
13 principles into the actual product review. That is
14 the other part of this, a kind of a different way
15 of thinking, that those things that are most of
16 most concern to people that are bringing up issues,
17 taking samples for the first five years -- you
18 know, we maybe ought to be thinking a little
19 differently about those.

20 DR. SIEGEL: Just to clarify further in
21 terms of the way you set the goals for the end of
22 today, surely, basically it is feasible but I
23 question setting them too low, and there is some
24 consensus there needs to be longer follow-up
25 because where are we in the process? That is, I