

1 seroconverted, and if so, how should those be  
2 addressed.

3 DR. TAKEFMAN: So, you are trying to say  
4 what if an HIV--oh, I see--so, you gave them the  
5 gene transfer vector and then they acquired  
6 wild-type. I am not too sure how to answer that  
7 one.

8 DR. VERMA: That would be no different  
9 than if the vector doesn't mobilize, it should be  
10 the same reason as the one who has the other way  
11 around.

12 DR. TAKEFMAN: Yes, it should make no  
13 difference.

14 DR. ALLAN: I don't really think that that  
15 will ever happen, because I don't know that you  
16 will be doing gene therapy on infected populations  
17 is all I would think, at least with HIV.

18 DR. VERMA: Well, I think that's not fair.

19 DR. SAUSVILLE: That depends on the nature  
20 of the therapeutic intent. I mean I could  
21 certainly imagine issues where--I mean we saw  
22 examples of potentially replacing a defective gene  
23 that, you know, might have anything to do with HIV,  
24 but if the nuts and bolts of getting it there were  
25 HIV derived, and that subject were to become

1 infected with HIV, then, that is an issue.

2 DR. DELPH: I think one of the reasons I  
3 asked this question is that very early in HIV  
4 infection, you probably get the highest HIV viral  
5 loads that you see at any other time in the  
6 disease, and I don't know that we really even know  
7 the details of exactly how that differs from and  
8 the consequences of that.

9 DR. SALZMAN: Rachel Salzman from the Stop  
10 ALD Foundation. I just want to comment that we  
11 definitely are interested in using lentiviral  
12 vectors in patients that don't have HIV, and that  
13 is why we attend these kind of meetings, to be  
14 concerned that it is a safe vector and that it can  
15 be useful.

16 I also do happen to be a veterinarian and  
17 from my experience with VSV and learning about  
18 zoonotic diseases, there is a population of people,  
19 maybe not so much in the United States, but maybe  
20 more in Third World countries that have antibodies  
21 to VSV, have been exposed to it, their cattle get  
22 VSV, and they probably also have AIDS in the same  
23 population.

24 So, there is sort of like kind of this in  
25 vivo real model, and I think that they haven't been

1 optimized and we are just dealing with natural  
2 infections, but there are places in the world where  
3 animals have VSV, humans have VSV, and humans are  
4 HIV-positive, and I don't know if that can be used  
5 or not.

6 DR. SAUSVILLE: My comment on that is, you  
7 know, one recognizes that exists, but to me it  
8 seems like that is a different situation than where  
9 one consciously restitches the hardware, as it  
10 were, so that the part of one is now intimately  
11 related to the part of the other, so I am not sure  
12 that that natural history would necessarily be  
13 relevant to a new construct base.

14 DR. SALOMON: That is very interesting. I  
15 would comment that from our experience with dealing  
16 in xenotransplantation, one of the interesting  
17 things was a comment like that in one of our  
18 advisory committees led to a worldwide study of  
19 patients who had been exposed to pig tissues in  
20 this case, looking for porcine endogenous  
21 retrovirus, and that came up with the very  
22 surprising group of several hundred patients in the  
23 former Soviet Union that had gotten pig spleen  
24 perfusion.

25 So, it wouldn't be crazy for someone to go

1 to these countries and see whether or not you could  
2 track a group of HIV-positive patients who got  
3 VSV-G. I mean to the extent I have no idea what  
4 the incidence of the zoonosis is in that  
5 population.

6 DR. SALZMAN: It's fairly high, in some  
7 places, it is fairly high. I know as  
8 veterinarians, they teach us sort of not to worry  
9 about getting VSV no matter what other diseases we  
10 may or may not have.

11 DR. ALLAN: That also comes back to the  
12 point of cell tropism, and even though the VSV-G  
13 has a wide tropism, I don't know whether or not  
14 regulation of expression is limited to epithelial  
15 cells or other cell types, or whether if you took  
16 an intact VSV, whether it would replicate in  
17 lymphocytes or not. I don't know that answer, so  
18 the question is whether or not you get both viruses  
19 in the same cell. I think that is something that I  
20 am sure it is in the literature, so it is just a  
21 question of looking.

22 DR. SALOMON: I would say one of the  
23 interesting questions that just came up this  
24 morning, and I would like to return to this  
25 afternoon, is this sort of conflict of are

1 lentiviral vectors more appropriate to test in HIV  
2 patients or in non-HIV patients, and I think there  
3 are strong feelings on both sides.

4 I mean some people clearly feel that there  
5 is a safety issue and it should be tested in HIV  
6 patients, and others, I think who feel equally that  
7 there is a safety issue and it shouldn't be tested  
8 in HIV patients.

9 So, I think that will be an interesting  
10 discussion to enter in this afternoon. Certainly  
11 if it fits into the thread of any of our  
12 conversations this morning, I would encourage you  
13 to bring it up.

14 Well, if there is no driving thing, I  
15 think this a great time for a break, 15 minutes.

16 [Break.]

17 DR. SALOMON: Now, the next part of the  
18 session will be two presentations from Cell  
19 Genesys. The first will be presented by Dr. Gabor  
20 Veres on LentiKat Vectors Overview.

21 **LentiKat Vectors Overview**

22 **Dr. Gabor Veres**

23 DR. VERES: Thank you very much for the  
24 opportunity to present some of the data that we  
25 generated with lentiviral vectors at Cell Genesys.

1           You will see that obviously, some of my  
2 presentation and even some of the slides will be  
3 kind of redundant after the two excellent  
4 introduction by Dr. Verma and the FDA  
5 representative, but I hope that I can provide you  
6 some additional data particularly related to  
7 testing strategies and even some data which might  
8 be helpful to have a discussion how we want to go  
9 forward and what assay sensitivities we have to  
10 achieve for the different applications.

11           [Slide.]

12           Just to go back a little back, as you all  
13 know by now, we are using HIV as a basis for the  
14 vector system, and the work was all done in Dr.  
15 Verma's lab by Luigi Naldini originally to generate  
16 these vector systems.

17           So, contrary to the fairly broadly used  
18 murine retroviruses, HIV is a fairly complex  
19 retrovirus, so on top of the basic structure of  
20 proteins gag, pol, and envelope, we are dealing  
21 with the regulatory proteins rev and tat and quite  
22 a few accessory genes which are very important for  
23 the in vivo infectivity and the in vivo  
24 pathogenesis.

25           [Slide.]

1           So, what is the advantage of the Lenti  
2 over the existing retrosystem concerning all the  
3 issues and probably safety concerns, why won't we  
4 use a lentiviral system? It is clearly the  
5 transduction efficiency is substantially better  
6 than the existing retrovectors. In particular, it  
7 transduces non-dividing cells, which is retroviral  
8 vectors murine, retroviral vectors are not really  
9 capable of.

10           It can provide the same long-term  
11 expression than the other retroviral system. It is  
12 also highly efficient for in vivo delivery  
13 particularly when it is pseudotyped with VSV  
14 envelope, and to make a qualifying statement here,  
15 as far as we know, the majority of the population  
16 doesn't have preexisting antibody against VSV  
17 envelope or the major HIV proteins.

18           The recent progress which has been  
19 reported from several places are the improved  
20 biosafety, which means that we try to minimize the  
21 HIV sequence in a vector, development of stable  
22 producer lines, which is also reported from  
23 multiple labs, and a couple of places has now  
24 large-scale production capability and also some  
25 level of purification.

1 [Slide.]

2 Our lentiviral system originally is from a  
3 parental HIV isolate LN4-3, and there was a  
4 significant departure from the original  
5 organization of the virus. We split the HIV genome  
6 into four components which I will show you in the  
7 next slide, and we tried to minimize the HIV  
8 sequence on the vector, so the transfer vector  
9 itself has approximately 10 percent of the HIV  
10 sequences, removed all the accessory genes, which I  
11 mentioned is important for in vivo infectivity and  
12 probably plays a substantial role in pathogenicity,  
13 so nef, vif, vpr, or vpr are all removed and also  
14 the system doesn't require tat for efficient  
15 transcription.

16 We split the rev on a separate construct  
17 and this provided in trans [?] to regulate the  
18 gag-pol gene expression, and finally, the LTR is  
19 deleted, so this is a so-called self-inactivating  
20 vector.

21 [Slide.]

22 Dr. Verma showed almost the same slide.  
23 Again, third generation, put it this way, this is  
24 the current vector, what we are using, so these are  
25 the four components which consist of the third



1 generation vector, the helper plasmid codon for the  
2 gag-pol.

3           The rev is on a separate construct and  
4 this is absolutely essential to provide high level  
5 of gag-pol expression. VSV-G is a heterologous  
6 envelope, and then the transfer vector with  
7 appropriate promoter and the transgene of interest.

8           [Slide.]

9           So, again, this is a summary of the kind  
10 of evolution of the vector system. The very first  
11 generation had all the HIV sequences except the  
12 envelope, and that was pseudotyped with VSV  
13 envelope.

14           The second generation has the minimal  
15 packaging construct, but all the accessory genes  
16 were deleted, and the gag sequence was minimized on  
17 the transfer vector to prevent potential homologous  
18 recombination.

19           Finally, the last generation vector is  
20 tat-less. It has the same phenotype and certain  
21 other modification has been made to further reduce  
22 the overhead between the helper construct and the  
23 vector construct.

24           [Slide.]

25           As it also has been shown, one of the

1 major advantage of the vector system, that it can  
2 transduce non-dividing cells. I think one of the  
3 primary interest in several laboratories to use it  
4 for hematopoietic stem cell transduction.

5           Retroviral vectors would transduce  
6 unstimulated CD34 cells very, very poorly. This  
7 example shows immobilized peripheral blood CD34  
8 cell population, which was transduced overnight  
9 without any cytokine stimulation with lentiviral  
10 vector construct expressing GFP, and if you look at  
11 the total 34 population, there is a substantial  
12 high level of the cell population is transfused,  
13 and even the subset, which sometimes it is claimed  
14 that it represents the more primitive subset of the  
15 CD34 cells, even that cell population has a  
16 substantially high transduction rate.

17           [Slide.]

18           For in vivo application, obviously, the  
19 central nervous system is a very obvious target.  
20 In this experiment, we used the SIN vector  
21 expressing the luciferase gene under the CMV  
22 promoter, and the vector was  $10^8$  infectious unit in  
23 a volume of 100 microliter.

24           This vector was injected into the  
25 vertebrae, somewhere here, into the mice, so it's

1 interatrial injection, and you see a luciferase  
2 expression in the spinal cord and also in the  
3 brain.

4           This is our imaging system from xenogene  
5 is being used. The substrate is injected IP, and  
6 about 30 minutes later, the mice are imaged with  
7 the CCB camera, and you can see a very high  
8 expression in different parts of the central  
9 nervous system. The expression is fairly stable,  
10 and these animals are still alive, and we haven't  
11 seen any particular adverse effect.

12           [Slide.]

13           The other major target if you think about  
14 in vivo delivery of the vector system, is the  
15 liver. Again, luciferase vector was injected in  
16 this case directly through the portal vein, and as  
17 you see, there is a fairly stable expression and  
18 long-term expression in these animals, and the  
19 expression is confined pretty much into the liver  
20 of these animals.

21           [Slide.]

22           Obviously, in this case, one would want to  
23 look at the potential toxicity of the vector. We  
24 repeated these experiments at different vector  
25 doses up to  $10^9$  infectious particles per animal,

1 and we look at one of the most characteristic liver  
2 enzymes, and independent of the route of delivery,  
3 so either it was injected through the portal vein  
4 or into the tail vein, PBS control, we haven't seen  
5 any substantial elevation of the major liver  
6 enzymes.

7 This vector does in this case represent  
8 approximately to  $2 \times 10^8$  infectious units.

9 [Slide.]

10 The biodistribution is something which is  
11 probably related to what we discussed previously in  
12 the VSV. Depending on the route of delivery of the  
13 vector, if it is delivered directly through the  
14 portal vein, as you can see the great majority of  
15 the vector ends up in the liver.

16 This is a DNA real time PCR analysis of  
17 the animal after approximately 30 days, so the  
18 majority is in the liver, but quite substantial  
19 part of the vector is actually transfusing the  
20 spleen.

21 In case of the tail vein delivery, the  
22 vector distributed approximately 50 between liver  
23 and the spleen, and one can see a trace level of  
24 the vector, integrated vector DNA in a couple of  
25 tissues, lymph nodes in particular, and a little

1 bit in the lung.

2 [Slide.]

3 The production of the vector obviously is  
4 an important issue. One is clearly a safety  
5 concern, the other issue from a more practical  
6 point, how much vector one can make transiently or  
7 in the stable producer cell line.

8 Currently, for most of the application we  
9 use a transient production system. The explanation  
10 for this, we are still testing different vector  
11 construct, so establishing a producer cell line for  
12 each of the vectors is very time-consuming, but  
13 obviously, a final vector will be put in the  
14 packaging cell and that system will be also tested.

15 But for convenience sake and also for  
16 certain application, the transient production might  
17 be quite suitable. So, we co-transfect the four  
18 plasmids at a certain ratio into 293 cells using  
19 so-called cell factory, and after three days, we  
20 collect the supernatant treated with benzonase to  
21 remove the DNA, and the vector undergoes a  
22 purification step, which provides us also  
23 concentration, but also removal of the cellular  
24 protein, protein from the tissue culture media and  
25 also substantial portion of the plasmid DNA.

1 [Slide.]

2 Obviously, one of the major issues is  
3 biosafety concerning these vectors. The vector  
4 system, what we are using obviously is  
5 replication-defective, and since we removed almost  
6 everything from the vector, as I said, only 10  
7 percent of the HIV sequence is still present, we  
8 are not going to transfer any viral genes into the  
9 target cells.

10 The vector is pseudotyped with an  
11 unrelated envelope, so wild-type virus cannot be  
12 generated, but, of course, someone cannot exclude  
13 the possibility that a non-homologous recombination  
14 happened and this heterologous envelope might be  
15 incorporated into the vector.

16 The safety concerns are the generation of  
17 replication-competent virus, insertion of  
18 mutagenesis into the chromosome, and in the case of  
19 population which already has HIV, remobilized the  
20 vector.

21 [Slide.]

22 With this vector system, we tried to look  
23 what would be required to generate the  
24 replication-competent virus. Based on the  
25 characteristic of the system, we believe that at

1 least four steps are required to restore a fully  
2 functional virus.

3           One is to restore a functional LTR, which  
4 would mean that the sequence should be acquired  
5 from the chromosome nearby, which has the promoter  
6 and enhancer potential to be able to generate the  
7 full length transcript from the LTR.

8           Then, a homologous recombination  
9 potentially could happen between the vector  
10 sequence and the helper plasmid coding for the  
11 gag-pol. Fortunately, this wouldn't be still  
12 sufficient because that construct still would  
13 require rev to generate the gag-pol sequence, and  
14 the rev has no overlap with this part of the vector  
15 construct, so that has to be an non-homologous  
16 recombination or a plain insertion, and then this  
17 construct has to acquire an envelope from the cell  
18 to generate a fully functional  
19 replication-competent virus.

20           [Slide.]

21           So, this is the schematic. If you look at  
22 the vector design, what we are currently using,  
23 there is an overlap, which is really a few base  
24 pair between the 5-prime and the helper gag  
25 construct and the vector. This is needed for the

1 efficient packaging of the vector.

2           There is a direct overlap in the center of  
3 polypurine tract, which again is an important  
4 component of the vector system for efficient  
5 transduction and also for efficient vector  
6 generation.

7           There is no direct overlap between the RRE  
8 sequence because we use it from HIV-2, and this is  
9 an HIV-1 RRE sequence, so this has only a 60  
10 percent homology. The rev, as I mentioned, has no  
11 overlap with the vector whatsoever, and the same is  
12 true for the envelope construct.

13           [Slide.]

14           So, what are the criterias when we think  
15 about developing an RCL assay? You have to  
16 appreciate that we are trying to develop an assay  
17 for a potential vector which actually doesn't  
18 exist.

19           It has been pointed out that under the  
20 best circumstances, the optimal control would be an  
21 HIV which carries a fully functional VSV envelope,  
22 and that could have been a positive control.  
23 Clearly, we have no wish to generate this and use  
24 it in an assay what we are developing, so what are  
25 the alternatives?



1           A pseudotyped vector, which would be  
2 sufficient to control the initial infection, but  
3 then if you do further amplification, that vector  
4 wouldn't be amplified further on because it has no  
5 envelope.

6           We can use attenuated HIV. Attenuated HIV  
7 is lacking all the accessory genes, but otherwise,  
8 has all the function, envelope, gag-rev and  
9 gag-pol. This would be limited to cells which  
10 could be infected with HIV.

11           Finally, we can use an HIV pseudotyped  
12 with the VSV-G envelope, which is probably the  
13 closest one to the real life situation.

14           The amplification system, it should be a  
15 cell line which is highly susceptible to HIV  
16 infection, so we need very few particles to start  
17 the initial infection, and that infection could be  
18 amplified with the further passage of the cells.

19           Finally, what is the endpoint? I mean the  
20 most obvious one is to follow p24 production  
21 because that is a fairly well established assay to  
22 detect the progression of HIV infection.

23           [Slide.]

24           So, what we are using as a positive  
25 control, as I mentioned, HIV without the accessory

1 genes, it has a full envelope, and generally  
2 particles where we add also the VSV envelope in  
3 trans, so actually, this generates a chimeric  
4 envelope for the first round of infection.

5 We look at this, the wild-type HIV in  
6 different cell lines to see which is really  
7 susceptible for the infection of either this  
8 control construct wild-type HIV or just the plain  
9 attenuated HIV which doesn't have accessory genes.

10 If you look at the numbers here how many  
11 the TCID<sub>50</sub> needed to establish infection, you should  
12 appreciate that this is required on primary human  
13 CD4 cells to start HIV replication. Actually, with  
14 this construct using the C8166 cells, we could  
15 lower the threshold, so we are at the range of one  
16 TCID<sub>50</sub> to start efficient HIV replication.

17 C8166 is a lymphoblastoid cell line which  
18 is available from ATCC commercially.

19 [Slide.]

20 So, the test system could look the  
21 following. We put the viral stock or the producer  
22 cell line on the detector cells, so in this case  
23 it's infection or co-cultured with the producer  
24 cells, detector cells, the C8166, then, passaged  
25 five times to further amplify a potential RCL in

1 the supernatant, so if there is one  
2 replication-competent particle in the system at  
3 the beginning, that should be greatly amplified at  
4 the end, and the endpoint is p24 ELISA.

5 [Slide.]

6 We started to test the system to establish  
7 the sensitivity, so in this table we summarize one  
8 of the experiments that we conducted lately using  
9 the attenuated HIV which, as I said, was  
10 pseudotyped also with VSV-G for the first round of  
11 infection.

12 We know that the physical to infectious  
13 particle in this particular preparation was  
14 approximately 100. So, if you look, we put a  
15 different number of particles in the system, 1,600,  
16 160, 16, and, of course, zero, and what you can see  
17 here that we could detect approximately 100  
18 particles throughout the infection.

19 What this represents here, that obviously,  
20 in this case, that is probably one viral particle  
21 which started the initial infection, in this  
22 scenario, probably more than one particle was in  
23 the system. So, that is why we estimated, it is  
24 probably one TCID<sub>50</sub>, what started the infection.

25 We are going to do further analysis in

1 this range to completely establish the final  
2 sensitivity.

3 [Slide.]

4 In summary, the detector cells,  
5 T-lymphoblastoid C8166 cells, the score  
6 replication-competent recombinants, detects  
7 recombinants also with the heterologous envelope,  
8 and we can measure 10 fg p24 of attenuated HIV-1.

9 When we spiked this positive control into  
10 production lot, currently, we have a detection  
11 limit of 1 TCID<sub>50</sub> in 100 ng of p24, which represents  
12 approximately  $1.2 \times 10^9$  physical viral particles.

13 [Slide.]

14 So, what we would suggest at least for an  
15 ex vivo application as a testing strategy is the  
16 following. In the vector production, and whether  
17 that is transient or a producer cell, we know that  
18 we can achieve even currently approximately  $8 \times 10^{12}$   
19 viral particle as a total, and we can test 5  
20 percent of that.

21 That corresponds to the requirement that  
22 is currently being used for retroviral testing.  
23 That means that we would test  $5 \times 10^{11}$  physical  
24 viral particle. On the other hand, for ex vivo  
25 cell therapy, which Dr. Ando will give you the

1 details of the protocol, we estimated we would use  
2 approximately  $4 \times 10^7$  cells as a starting material.

3 If we transduce this with the MOI of 5,  
4 that represents  $6 \times 10^{10}$  viral particles, and we  
5 suggest to test 1 percent of these transduced cells  
6 as a final product, which is approximately  $4 \times 10^5$ .

7 So, if you look at these numbers, testing  
8 5 percent of the final production lot, it would  
9 mean that we are testing 8-fold of the clinical  
10 dose which one would use in a clinical protocol.

11 So, even if the detection limit is not  
12 just a single particle, but let's say a particle  
13 between 1 to 5, using the multiple of a single  
14 clinical dose would allow us to detect the  
15 replication-competent viral particles in this  
16 scenario.

17 Finally, I would like to acknowledge my  
18 co-workers and also people who contributed  
19 previously to all of this work, in particular  
20 Luigi, Dr. Verma, Didier Trono, Anatoly Bukovsky,  
21 and my current co-workers who work on both vector  
22 construction, packaging line construction, some of  
23 the in vivo studies, large-scale production and  
24 purification, and RCL assay in particular.

25 Thank you very much.

1 [Applause.]

2 DR. SALOMON: The second part of this will  
3 be by Dr. Dale Ando, Cell Genesys, on Lentiviral  
4 Gene Therapy.

5 **Lentiviral Gene Therapy**

6 **Dr. Dale Ando**

7 [Slide.]

8 DR. ANDO: What I wanted to emphasize was  
9 that actually none of us have worked in lentivirus  
10 in the clinic, but a lot of us have worked on  
11 retrovirus for about the last 10 years, and I think  
12 a lot of the clinical systems and regulations in  
13 terms of testing and evaluating patients, I think  
14 we can benefit a lot from the previous decade with  
15 respect to that, in the same way that the  
16 construction of the vector has benefited from the  
17 previous experience.

18 Again, I think a lot of us who have worked  
19 in this area are familiar with some of the common  
20 themes of germline transmission, insertional  
21 mutagenesis, and the strategies for testing the  
22 virus in manufacturing and in the clinical trial  
23 subjects.

24 [Slide.]

25 With respect to the unique lentiviral

1 clinical issues, there are several, and what we  
2 would like to do in our first approach is to really  
3 focus on the issue of replication competent and  
4 lentivirus and recombination.

5 This has been approached as we have seen  
6 with respect to the design of the vectors to  
7 minimize that, and then, which we will get into a  
8 little bit more, is the testing strategy, but the  
9 idea here is that we would like to test with an  
10 assay that we feel can give us limit detection of  
11 hopefully at least one particle, completely the  
12 vector, and then a portion of the ex vivo product.

13 Obviously, we can't test the complete  
14 product prior to infusion in the patient, but there  
15 may be a way actually in what are called  
16 qualification lots or practice lots prior to the  
17 study to really evaluate whether or not you have  
18 RCL in a total ex vivo product.

19 So, there are strategies of trying to  
20 approach this, so that we can get some data to see  
21 whether or not our systems are working. So, for  
22 this particular application, we are sort of not  
23 addressing the issue of mobilization because we are  
24 using a SIN vector and going into a situation of  
25 patients who do not have HIV.

1 [Slide.]

2 After sort of extensive looking and  
3 discussing with several investigators and  
4 internally, we feel like we would like to move  
5 ahead in adenosine deaminase deficiency, and I  
6 would like to go through some of the rationales for  
7 that.

8 Again, this is a proposal, we haven't  
9 finalized this. I know there are a lot of  
10 limitations in addition to benefits of this  
11 indication.

12 As you know, this is a severe combined  
13 immunodeficiency with a fairly marked loss of T, B  
14 and NK cell function, high mortality without  
15 treatment, and 20 percent of the cases are related  
16 to a specific genetic deficiency in adenosine  
17 deaminase gene.

18 This has been defined genetically in 1972,  
19 the gene has been cloned, and actually, there is  
20 enzyme replacement therapy available. There has  
21 been a gene therapy trial, and actually one of the  
22 first gene therapy trials in genetic disease  
23 occurred in 1990.

24 So, again, there has been a lot of  
25 clinical experience in gene transfer in this area.



1 [Slide.]

2 Wide ranges of ADA expression levels are  
3 tolerated and modest levels are needed for  
4 replacement. Again, for the eventual efficacy,  
5 there is a selective advantage for ADA expressing  
6 cells in patients, and to be able to functionally  
7 and clinically benefit the patient, the selective  
8 advantage is very important.

9 The other important factor is that enzyme  
10 replacement therapy is available, so we are not  
11 basically limiting the patient with respect to any  
12 maximal clinical benefit, that can come later, so  
13 it really allows a stepwise evaluation of this  
14 setting with the first step being safety and  
15 understanding gene transfer in the periphery,  
16 because there a lot of preclinical studies you can  
17 do to see whether or not a particular gene  
18 transduction and marrow culture procedure works,  
19 but you never really know actually until you get  
20 into the clinic.

21 So, again, the studies first will be  
22 safety in gene transfer and then if we can achieve  
23 an adequate level of gene expansion, then, the  
24 PEG-ADA can then be actually decreased in the  
25 second portion and the efficacy and T cell

1 immunologic endpoints can be pursued.

2           So, in some cases, to us, it represents a  
3 "best case scenario" for the general area of gene  
4 therapy targeting hematopoietic stem cells.

5           [Slide.]

6           Three clinical trials of Moloney  
7 retroviral gene transfer to hematopoietic stem  
8 cells in bone marrow and cord blood have been done.  
9 Actually, that number may be five, and there are  
10 some unpublished reports of possibly two patients  
11 who have been successfully reconstituted using  
12 Moloney vector.

13           Frequency, however, of gene corrected  
14 cells in most of these studies was very low and  
15 little evidence of gene expression.

16           So, really, the efficacy that may have  
17 been seen in those two patients probably depends  
18 mostly on the fact that there is a selective  
19 advantage of the T cells, so that is key.

20           What would turn this area actually into a  
21 fairly uniform or fairly efficacious clinical trial  
22 would be to get good levels of gene transfer. That  
23 is the real key I think to moving this ahead and  
24 then to moving it into other areas of stem cell  
25 therapy.

1 [Slide.]

2 Again, the rationale, I think it has been  
3 described fairly clearly in what Dr. Verma and Dr.  
4 Veres have shown in terms of the hematopoietic  
5 progenitor cells, and we still have some work to do  
6 with respect to figuring out a minimal gene  
7 transfer system between hematopoietic stem cells to  
8 preserve function and increase transduction  
9 efficiency.

10 Again, the key question is whether there  
11 would be greater benefit with increased levels of  
12 gene transfer in the study.

13 If we can achieve low levels of gene  
14 transfer, even on the level of 1 percent, this most  
15 likely will result in fairly significant clinical  
16 benefit in the setting of ADA deficiency and help  
17 us in the future in development of in vivo  
18 methodologies for human stem cell therapy, gene  
19 therapy.

20 [Slide.]

21 So, the proposed trial's evaluation of  
22 safety and administration of autologous CD34 cells  
23 transduced with a lentiviral vector carrying a  
24 normal human ADA cDNA in children with ADA  
25 deficiency and SCID.

1           We are using an investigator who is very  
2 experienced in this area, Don Kohn, and his group  
3 at Children's Hospital in L.A. The objectives are  
4 the standard clinical and laboratory safety, and  
5 gene delivery to hematopoietic cells and gene  
6 expression.

7           The patients will be infants and children  
8 with ADA-deficient SCID, less than 1 percent ADA  
9 enzyme activity in peripheral blood, laboratory  
10 documentation of impaired T and B cell functions,  
11 and subjects basically who are not eligible for  
12 HLA-matched sibling transplants, and again negative  
13 for HIV.

14           [Slide.]

15           The basic trial will be screening to  
16 determine eligibility, and this is actually a  
17 fairly complex process at Children's Hospital.

18           Treatment, to remind you, includes taking  
19 bone marrow out from the patient, isolation of the  
20 cells, and then a manufacturing process at the site  
21 with transduction of CD34 cells and infusion.

22           So, really, there are two manufacturing  
23 processes, the manufacture of the lentivirus at the  
24 company and then the gene transduction at the site.

25           Then, the observation period, looking at

1 safety, gene expression, immune function, RCL  
2 testing, and then long-term follow up.

3 In general, these patients are followed  
4 fairly closely by these types of specialists  
5 throughout their life.

6 [Slide.]

7 The lentiviral manufacturing, as we have  
8 previously discussed, will be the transient viral  
9 production using DNA transfection in 293 cells, and  
10 replication-competent lentiviral testing and  
11 release. So, basically, we feel that our current  
12 paradigm will allow us to test and have less than  
13 one copy per lot in a lot that is probably 8-fold  
14 higher than the clinical release.

15 So, we would feel fairly confident that we  
16 have the best sensitivity achieved in the viral  
17 testing. Then, this virus will be released, then  
18 used in the clinical site for transduction of the  
19 CD34 cells, at which point we will be testing 1  
20 percent of the cells.

21 [Slide.]

22 So, in summary, we are planning on using  
23 our latest generation ADA SIN lentiviral vector.  
24 This is a 4-plasmid system without accessory genes.  
25 We will transduce CD34 cells with ADA lentivector

1 infused patients.

2 RCL testing, as we have noted, in the  
3 virus will be extremely complete, and 1 percent of  
4 the cell product, and clinical evaluation to follow  
5 up the patient according to current guidelines for  
6 retrovirus.

7 Thanks.

8 [Applause.]

9 DR. SALOMON: That was excellent.

10 Again, I just want to remind everyone that  
11 questions to these sponsors are very appropriate,  
12 but they are not here today to tell you that they  
13 are getting ready tomorrow to do a gene therapy  
14 trial, so I think we need to just temper the kinds  
15 of questions that we ask.

16 **Questions & Answers**

17 DR. SALOMON: One of the issues that I  
18 think is kind of coming here as a theme that I want  
19 to raise just for discussion, clearly from a  
20 scientific point of view, a strategy that everyone  
21 in the field seems to be using is designing their  
22 different plasmid vectors with reduced homology to  
23 prevent these potential events of homologous  
24 recombination, RT strand transfer, et cetera.

25 That is very molecularly appropriate, yet,

1 the weakness, it seems to me, is that if as little  
2 as 10 to 25 base pair homologies are adequate for  
3 homologous recombination, and certainly in the work  
4 we are doing with DNA arrays and things very  
5 accurate, and then when you say there is 60 percent  
6 homology in basically 100 or more base pair  
7 crossover, et cetera, it raises a question.

8           So, the fallback position seems to be,  
9 well, you know what, if we do it and can't  
10 demonstrate replication-competent lentivirus, then,  
11 what's the problem.

12           So, the question I have is can we have  
13 some discussion about the concept of how much do  
14 you have to prove in terms of all this homology or,  
15 in the end, is that really just a good way to start  
16 and it's all based on proving replication-competent  
17 lentivirus doesn't exist.

18           DR. ANDO: My comment on that would be  
19 that if you look at what happened in the Moloney  
20 retrovirus, we went through a number of generations  
21 of the Moloney, but really the mainstay, and this  
22 took several years and actually Richard was  
23 involved with this, was really coming up a very  
24 sensitive mouse study co-culture assay, of which we  
25 based our release specifications.

1           Actually, there are a lot of different  
2 cell lines, so the tack that we are taking now is I  
3 guess parallel to that. We have designed  
4 scientifically a very nice system, minimized  
5 homology, but the real key now is to get unit one  
6 viral particle sensitivity and be able to test that  
7 one viral particle in a signal-to-noise ratio in  
8 something that would be a clinical lot.

9           We are producing 40 liter scale or 14  
10 liter scale  $1 \times 10^{11}$  virus. We would like to  
11 detect, be able to have a sensitivity to detect one  
12 viral particle in that, and that has been a  
13 paradigm that has been safe at least in the Moloney  
14 area. At least for us, that is a starting point  
15 for discussion.

16           DR. KAPPES: I would like to follow up on  
17 your comment. I think it is, for me at least, one  
18 of the central issues, but I would like to raise  
19 the question, maybe perhaps to a more defined level  
20 as it relates to at least what I understood that  
21 you said, and that is whether or not any system, no  
22 matter how sensitive it is, that we use in vitro  
23 for detecting RCL is really an adequate predictor  
24 of the outcome of treatment.

25           I could discuss this more, but I think



1 perhaps I will wait until after my presentation  
2 today, because this is the very issue which I will  
3 try to address.

4 DR. SALOMON: Dr. High.

5 DR. HIGH: I have a question related to  
6 your comment. In your lot release criteria, do you  
7 look at contaminating plasmid DNA or mammalian cell  
8 DNA in the vector, because, you know, I guess  
9 benzonase digestion can't really get to plasmid  
10 that may be sort of stuck near the capsid, this  
11 sort of thing?

12 DR. VERES: No. I mean the purification  
13 procedure, we really look at the residual protein  
14 residue of DNA, also PCR specific to 293 cells, so  
15 actually, it is a quite complex assay event, so it  
16 is not just a crude DNA's digest, but actually we  
17 look at the final product, and I think some  
18 specifications are there defining how much DNA is  
19 really allowed in a certain product.

20 DR. MULLIGAN: One of the issues that we  
21 may get into at some point is whether any  
22 packaging-derived sequences are shown to transfer,  
23 and obviously, there is assays for  
24 replication-competent retroviruses, assays for tat  
25 function, other things, but in principle, there is

1 assays for any HIV-derived sequences being  
2 transferred, if you have ever looked or developed  
3 an assay where you would simply, for instance, with  
4 PCR, move all the way down, gag involved with  
5 little primer sets, and asked the question do you  
6 detect any transfer of HIV-derived sequence in  
7 recipient cell.

8 DR. VERES: So far we haven't done any of  
9 this, and I think it would be very, very difficult  
10 to perform these assays because I mean we would  
11 have to assay for multiple components both for rev  
12 and also gag-pol, and all of the different--helper  
13 constructs are slightly different, I mean not  
14 everybody is using the same helper constructs, so  
15 we can detect the conserve [?] sequence, for  
16 example, in the gag, but that would be only just  
17 one part.

18 DR. MULLIGAN: I missed why that would be  
19 difficult. If you just take your helper construct  
20 and you ask the question whether any of those  
21 sequences transfer to recipient cells, why would  
22 that be difficult?

23 DR. VERES: I mean we can do that. I mean  
24 the question is where are we going to draw the  
25 line, what is the minimal sequence we are looking

1 for, are we looking for the whole gag or just part  
2 of the whole gag.

3 DR. MULLIGAN: That is a different issue.  
4 I just raise it as a question that may surface in  
5 terms of is there any difference between our  
6 concerns about MLV versus HIV in terms of the  
7 notion of transferring any HIV sequences.

8 Obviously, you can adopt the case that,  
9 well, what difference does that make if you don't  
10 have a coding sequence, but I am not aware that  
11 anyone has really done this in the past, certainly  
12 not in the MLV case, but I think it might be a very  
13 revealing activity.

14 The second question was just my eyesight  
15 isn't actually so hot, but the biodistributions you  
16 showed and the one I looked at, it looked like  
17 there is just a tiny, tiny little bar graph bar in  
18 the testes, but I couldn't tell whether that was  
19 the last thing.

20 Was there any detectable, after the I.V.  
21 or the I.P. inspection, any detectable signal in  
22 testes?

23 DR. VERES: Yes, there is DNA in the  
24 testes, but as Dr. Verma showed, I mean it is  
25 nothing--I mean we didn't look explicitly which

1 part of the testes, just took the testes and there  
2 is a detectable level by PCR.

3 DR. SALOMON: Dr. Allan.

4 DR. ALLAN: I wanted to follow up on his  
5 question, which is you are primarily looking at  
6 whether you get replication-competent recombinants  
7 and also whether you get like tags, pressures, and  
8 these other things.

9 In the case where the patient could be  
10 exposed at some point to HIV, which is one of the  
11 issues for tomorrow, so even if you transferred a  
12 small portion of a gag or pol, or whatever, into  
13 the patient, even though it is not  
14 replication-competent, and then you come back in  
15 with a wild-type HIV, it could rescue partial genes  
16 from that patient, and so the issue then is, well,  
17 wild-type is worse than--and we will get into that  
18 tomorrow--but that possibility still exists, is  
19 that even though you don't get  
20 replication-competent virus, you may be  
21 transferring pieces of genes to the patient, isn't  
22 that correct?

23 DR. VERES: As I said, we don't have any  
24 data showing that we would transfer the gag-pol  
25 sequence. Obviously, this is something we can look

1 in the final product, but I am not aware of any  
2 data that would really happen, but I cannot exclude  
3 it either.

4 DR. ALLAN: You are not doing PCR for gag  
5 and pol in your product, are you?

6 DR. VERES: No, currently, we haven't done  
7 any experiment addressing this.

8 DR. ALLAN: I have another question that  
9 is more general. The SIN vector with the LTR that  
10 has basically taken on all promoters and enhancers,  
11 do you think you can get recombination in the  
12 portion that integrates with wild-type HIV?

13 DR. VERMA: I think it is a general  
14 question. You asking the question of  
15 recombination. I think you just have to look at  
16 the numbers. If you are asking is there a chance  
17 that there could be 1 percent, zero percent, I  
18 can't tell you if there is a zero percent chance,  
19 but you have to look at the effect.

20 True, there are 10 nucleotide which  
21 overlap, but look at the number of recombination  
22 events that must occur in order for it to become a  
23 viable particle. It has to have the six genes  
24 which are gone, it has to have parts of the LTR, it  
25 has to have parts of the gag, it has to have part

1 of the envelope.

2 It is not that it is impossible to imagine  
3 that can happen one day, but a priori, if you look  
4 at it, there are many, many levels of recombination  
5 to occur before you can get such a molecule.

6 So, I agree with the general comments here  
7 that the more you assay for it, so there is no  
8 reason why one cannot check gag and pol in the  
9 final product, yes, it is a perfectly doable thing  
10 to reduce the chances, because the fact of the  
11 matter is, it is not a MLV. If it was MLV, we will  
12 be less concerned it is HIV, so you want to make  
13 sure that you go the extra distance.

14 So, I agree with you, it should be done,  
15 more assay, but the probability just by experience  
16 of recombination is very low.

17 DR. SALOMON: I think that is a really  
18 important point, and I think to kind of focus what  
19 I was asking is, at least my impression now, and  
20 again, you know, it is up for discussion, is that  
21 from the FDA's point of view, going on to the first  
22 clinical trials and think about regulation, it  
23 seems to me from everything I have heard, that the  
24 type, the definition, the sensitivity, and the  
25 confidence we have in the RCL assays is going to go

1 way beyond any of these theoretical discussions of,  
2 you know, we degenerated that and we took out this,  
3 and we self-inactivated the 3-prime LTR, et cetera.

4           If that is true, then, from a safety point  
5 of view, a lot of these, you know, very high-level  
6 academic discussions of the molecular biology  
7 probably ought to be put aside because it is not  
8 going to get us to the most important thing. The  
9 most important thing is going to be to focus on  
10 what is the best RCL assay, what is the attributes  
11 of the ideal RCL assay meaning specificity and  
12 sensitivity.

13           Now, that is my premise, and that is  
14 certainly open for disagreement or discussion.

15           DR. DELPH: I may be way off target here  
16 because I really don't know enough about molecular  
17 biology, but it seems to me that there are two  
18 different questions.

19           On the one hand, can you reconstitute the  
20 virus from which you deleted all of these various  
21 genes, and on the other hand, if you have already  
22 replication-competent HIV present, can that  
23 integrate some of these genes, and what is the  
24 probability of that latter aspect happening?

25           DR. SALOMON: Just to put that in the

1 context of my comments, I agree, and so that is a  
2 separate issue, in other words, but very important  
3 one. I am asking just should we be focusing on the  
4 design and integrity of the RCL assay, and now you  
5 have added the next point, and that is, if there is  
6 also wild-type HIV, what is the additional risk,  
7 and then can we model that.

8 Dr. Naldini and then Dr. Sausville.

9 DR. NALDINI: I would like to point out  
10 that we do have information, part information in  
11 terms of those issues that you are raising, that  
12 went into the validation of those generation of the  
13 system. This information was acquired by tests  
14 made at the experimental level. They may not  
15 necessarily have been translated into standard  
16 tests to be used as release criteria, but we do  
17 have information, for instance, that the level of  
18 residual packaging, packaging RNA in the producer  
19 cell, which is an important risk factor for  
20 recombination, because, of course, recombination  
21 not only requires some knowledge, but also requires  
22 that two different RNA are packaged.

23 We have data showing that early generation  
24 system allows a certain level of residual packaging  
25 which was lost when we went into the advanced



1 generation system in which we sort of clean the  
2 packaging system.

3 A second type of data is looking for  
4 transfer of packaging function like gag and pol,  
5 which are expressed, so now are functional to  
6 produce particle which would require an envelope to  
7 be infectious.

8 We have looked at that and we have been  
9 able to find evidence of that again in early  
10 generation system, and not detectable one to  
11 certain level of sensitivity in later generation  
12 system. All of these data are available in terms  
13 of validating the safety of the system. Whether  
14 they would be required for release criteria, I  
15 think is a matter really for discussion.

16 DR. SAUSVILLE: I have a question that  
17 again may be off base, but I mean it gets to the  
18 philosophy of all this. I have no doubt that we  
19 can establish a criteria for a release assay, that  
20 we will feel confident will yield a low probability  
21 of an adverse event, such as the generation or a  
22 recombination of either a new virus or an HIV  
23 virus.

24 This is where I turn to our FDA colleagues  
25 in terms of guidance. This new type of vector

1 clearly is different than other vectors that have  
2 been conceived in many cases for gene therapy.

3 Does the Agency have a position with  
4 respect to what the toleration is, because if there  
5 is any possibility of recombination, then, in a  
6 certain sense, a lot of this discussion becomes  
7 moot if it renders something as a problem.

8 So, speak to us on this matter.

9 DR. NOGUCHI: Specifically, for  
10 recombination, I don't think that is limited to  
11 lentiviral vectors. That obviously could happen at  
12 very many levels in production and in vivo with  
13 other retroviral vectors, even with some of the  
14 "non-integrating," and we could even envision it  
15 for adenovirus as an example.

16 DR. SAUSVILLE: But you do agree that the  
17 pathogenic risks intrinsic or as a result of that,  
18 seem to be somewhat different compared to the  
19 current circumstance?

20 DR. NOGUCHI: Well, there is certainly  
21 that potential, which is the reason we bring it to  
22 discussion, but I would say that we do not have a  
23 position set in stone as to something absolutely  
24 not.

25 We have taken that position actually only

1 in the case of cloning a human being, where we said  
2 we have jurisdiction and no, you can't do it.  
3 Short of that, we are really looking for the very  
4 best advice that we can get.

5 We are looking for advice on are these  
6 safety concerns being addressed in an adequate  
7 fashion, if not, what more is needed, are the  
8 patient populations the appropriate one for this  
9 point of development, or if not, what are the other  
10 indications.

11 But in terms of an absolute yes/no on this  
12 particular question of recombination, no, we don't  
13 have a set position.

14 DR. VANIN: Elio [ph] Vanin from St Jude.

15 People keep on quoting there is 10 base  
16 pairs, and I think that that comes from Adolto's  
17 [ph] paper, and we have to remember what that was.  
18 That was a recombination, that was packaging of two  
19 different RNA species into a retrovirus, that then  
20 got transferred and recombined to make an RCR. So,  
21 that is basically two transcripts and one  
22 retrovirus, because that came from a producer line,  
23 so it wasn't DNA recombination.

24 The other thing is, the way the lentiviral  
25 vectors are made, you have to package four

1 different constructs into the same particle and  
2 then you have to have the recombination to give you  
3 an RCL, and I think we have to remember that.

4 DR. CHAMPLIN: I think you should be  
5 commended for the ability to get a positive control  
6 for the RCL assay, but I have some questions about  
7 that.

8 The data shows that this virus, the HIV-A  
9 virus, the SDVG suicide, has a lower PCID 50, maybe  
10 100 to 1000 times lower, for certain cell lines.  
11 But if you were to then spike your final lentiviral  
12 product with this HIV AIDS pseudotype virus, can  
13 you still detect it as well or does then it be  
14 competed out by the other viruses? Or, more  
15 worrisome is that HIV can inhibit other HIVs. Have  
16 you looked at that?

17 DR. VERES: Yes. That is a very good  
18 question. Actually, we are testing the sensitivity  
19 of the system. That is why I had one sentence down  
20 there that currently we are able to detect this one  
21 PCID50 of the background of  $10^9$  physical particles.  
22 We are doing additional experiments to address what  
23 is really the limit of this assay and how  
24 reproducible this is. That is the goal of  
25 continuing this RCL assay.

1 DR. CHAMPLIN: I had a question on the in  
2 vivo tropism of the virus, your biodistribution  
3 studies in the mouse suggested a high level liver  
4 uptake, but is that in hematopoietic cells or in  
5 liver parenchymal cells, is it the spleen and the  
6 liver were the only two organs with substantial  
7 uptake?

8 DR. VERES: We didn't look, but Dr. Verma  
9 looked I think in the liver, it's both hepatocytes  
10 and sinusoidals as transfused, those are Kupffer  
11 cells to a certain extent.

12 DR. SALOMON: Changing the subject just a  
13 little bit, in your trial, you know, just rough  
14 bones proposal, you do the CD34 purification and  
15 the transduction, so I guess one of the things that  
16 comes out then is you didn't specify, do you freeze  
17 the CD34 cells before you do your testing for RCL?

18 I know you have thought about it  
19 obviously, so how would you do this in terms of  
20 product lot test release?

21 DR. ALDO: That is something we haven't  
22 defined. I think there is some controversy now as  
23 to whether or not you can freeze these cells and  
24 maintain the stem cells viable and gene transduce,  
25 and that is actually a major issue to be resolved

1 hopefully in some of these animal models, but if we  
2 are going to test, obviously, these tests take four  
3 to six weeks, can't immediately infuse.

4           You picked up on that, but that will be a  
5 major issue with this, with any bone marrow Lenti  
6 protocol, and it will be very different from T cell  
7 protocols, for example, where you can freeze, and  
8 it is fairly well established.

9           DR. ZAIA: I would also like to change the  
10 subject. I would like you to justify your choice  
11 of disease that you have chosen to "treat." For  
12 the first patient who is non-HIV-positive, who gets  
13 an HIV vector, you have chosen a very  
14 immunosuppressed patient.

15           You could argue that it would be better to  
16 choose an immunocompetent patient because if there  
17 were a problem, there may be less of a problem in  
18 that patient, so that it may be a Fanconi's anemia  
19 or a hemophiliac, a different patient and a lesser  
20 of a problem to confront.

21           Have you thought about that?

22           DR. ALDO: We have actually looked at a  
23 lot of different genetic diseases. Every one has  
24 some problems or issues. Hemophilia is I don't  
25 think much simpler. The real positives on this

1 indication are the fact that there is some effort  
2 for the last 10 years on this, and these patients  
3 are getting PEG-ADA, so in that sense, their  
4 immunodeficiency, although not completely treated,  
5 is at least partially treated.

6 We looked at some of the other SCIDs and  
7 say, for example, you may have to do neonates, et  
8 cetera, and the other SCIDs do not have any  
9 alternative therapy other than, say,  
10 transplantation.

11 There are other biochemical defects that  
12 you can look at, but how clearly stem cell transfer  
13 would work in those particular diseases isn't as  
14 clear, because you have to get something secreted  
15 by cells to other tissues and reverse storage  
16 problems or specific biochemical defects in certain  
17 tissues.

18 So, given the overall balance, I agree  
19 this is not perfect, but we thought this would be a  
20 good place to start. I am sure there will be a lot  
21 of discussions between ourselves and in public  
22 concerning this choice.

23 DR. SALOMON: It is an interesting issue,  
24 immunosuppression and HIV, and it kind of gets back  
25 to a question you brought up, and that is the

1 differences between de novo infection and  
2 established infection.

3           So, in the one piece of one group of data  
4 points that has gotten to be quite interesting in  
5 organ transplantation has been given successful  
6 heart therapy, the HIV community has come to the  
7 transplant community and said, you know, we now  
8 have long-term lives and we deserve transplanted  
9 organs. So, it has become a big issue in the last  
10 couple of years. There is an NIH study group now  
11 specifically looking at what effects  
12 immunosuppression in HIV transplantation has.

13           One of the things that has come out from  
14 the history is that if you take patients who have  
15 HIV and get an organ transplant and then are fully  
16 immunosuppressed, there is really, interestingly  
17 enough, little data suggesting that you enhance or  
18 increase the progression of the natural HIV disease  
19 in that patient group.

20           That would be an argument that  
21 immunosuppression might not be such a critical  
22 issue. There are, however, just to put it in the  
23 other light, evidence from a small group of  
24 patients who got HIV from the transplanted organ  
25 and then were fully immunosuppressed, and there,



1 there was a really dramatic proliferation of the  
2 virus that exceeded the norm, a very rapid,  
3 compressed clinical course that led to death in a  
4 couple of patients in a couple months, very small  
5 numbers of patients, though.

6 This is by no means I am suggesting, you  
7 know, established fact. It is an interesting area,  
8 and there will be some more data coming out  
9 hopefully from the trials getting set up.

10 Dr. Kappes.

11 DR. KAPPES: Your choice of targets is  
12 also interesting and I think poses special concerns  
13 for recombination. That is, if you do have  
14 recombination, and that recombinant is integrated  
15 into the pluripotent stem cell, you certain face a  
16 situation where you will amplify the presence of  
17 that recombinant.

18 What considerations have you given for  
19 this, but with respect perhaps to safety, with  
20 respect to monitoring, any comments?

21 DR. ALDO: Really, just what we have seen  
22 there in terms of the testing of the 1 percent, but  
23 I think prior to this, we are planning to undergo a  
24 fairly extensive in vitro and possibly some of  
25 these SCID-reconstituted animals to look at these

1 issues.

2           Hopefully, if there is something that  
3 comes up there, we should be able to see it. In  
4 the end, reducing the probability of this is  
5 difficult. I would say the validation of full  
6 clinical lots and showing that we didn't see this  
7 kind of recombination would be probably the best  
8 paradigm that I could think of right now.

9           I don't know if that answers your  
10 question.

11           DR. MULLIGAN: Could you guys weigh in on  
12 the stable packaging cell transient transfection?  
13 When the first speaker spoke, I thought the  
14 implication was that we are using transient system  
15 because it is very easy to use, but--and I forget  
16 what the last part of that sentence was--but it was  
17 something to the effect, I thought, that, you know,  
18 of course, we would move to the stable packaging  
19 cells and we have that technology in-house, but  
20 then the presentation here was that you would go  
21 for the transient transfection.

22           So, what is your philosophical point of  
23 view about the differences between the two systems?

24           DR. VERES: The philosophy is that the  
25 transient transfection system is fairly well

1 established and we are capable of producing up to  
2 14, 16 liter of material with a particle number of  
3 well over  $10^{10}$  and  $10^{11}$ .

4 We do have a third generation packaging  
5 cell line which we actually just started to  
6 evaluate, for example, one of the issues, what you  
7 raised with the SIN vector, can we establish a  
8 clone which will have a high enough titer that it  
9 is really practical to use, what are the production  
10 criterias, how long we can generate viral  
11 supernatants.

12 I think we hope that in the next three to  
13 six months, we can have answers to some of the  
14 questions and we can make enough material both from  
15 the transient system and the stable system, and we  
16 can put them into this RCL assay in different test  
17 systems to really establish the safety, and based  
18 on that, we can make decision which one we would  
19 move to the clinic.

20 For application like the ADA where the  
21 number of patients are fairly limited, the  
22 transient production obviously is a possibility  
23 because we can easily make enough materials. For  
24 other applications, for example, systemic delivery,  
25 for example, hemophilia, which probably would

1 require a much higher particle number, I don't  
2 think that a transient production system would be  
3 suitable.

4 DR. MULLIGAN: So, in your hands, have you  
5 found difficulty making very high titer, stable  
6 producer cells with SIN constructs?

7 DR. VERES: As I said, we are testing it  
8 currently, so I don't really have hard data, and I  
9 really cannot comment right now. It is a couple of  
10 more months before I can answer this.

11 DR. SALOMON: That was great. Again, I  
12 want to say thank you from all of us on the  
13 Committee for your willingness to step up at a  
14 preliminary point in your work and share it with  
15 us.

16 The last talk of this morning, certainly  
17 not the least and no priority implied, is from Dr.  
18 Kordower, on Lentivirally Delivered GDNF for  
19 Parkinson's Disease.

20 It is nice to welcome Dr. Kordower back.  
21 He was a valued colleague in the deliberations on  
22 neural stem cells about a year or so ago. It is  
23 nice to have you back.

24 **Lentivirally Delivered GDNF for Parkinson's Disease**

25 **Dr. Jeffrey Kordower**

1 DR. KORDOWER: Thank you. It is nice to  
2 be back. I like gene therapy more than I like stem  
3 cells and for my types of applications, closer to  
4 the clinic.

5 What I am going to talk to you about today  
6 is all preclinical work using gene therapy in  
7 animal models of Parkinson's disease.

8 [Slide.]

9 Parkinson's disease, unlike many other  
10 neurodegenerative disorders, has a face, a face for  
11 America, in fact, multiple faces, and Muhammad Ali  
12 and Janet Reno and Michael J. Fox, and the Pope all  
13 have Parkinson's disease, as you are all well  
14 aware, although what I am going to talk to you  
15 about today really doesn't apply to any of these  
16 individuals, because these individuals all have  
17 advanced Parkinson's disease, and what I want to  
18 talk to you about today is using gene therapy which  
19 most likely will be most efficacious in patients  
20 with early Parkinson's disease, because these  
21 patients, because they have advanced Parkinson's  
22 disease, their nigrostriatal degeneration is very  
23 advanced and there are few nigra neurons left in  
24 the midbrain and little dopamine left in the  
25 striatum.

1 [Slide.]

2 So, these patients require different types  
3 of strategies, strategies such as neuronal  
4 replacement, which can be accomplished with fetal  
5 neurons, possibly stem cells, and a variety of  
6 different types of stem cells can be used in this  
7 type of application.

8 [Slide.]

9 The type of gene therapy that I am  
10 interested in, the mechanism is both  
11 neuroprotection and neuroregeneration, and that  
12 requires having some residual nigrostriatal system  
13 left for your compound to work on. Now, the way in  
14 which I want to try and neuroprotect and regenerate  
15 the nigrostriatal system is with the use of trophic  
16 factors.

17 Now, what I am going to do is spend the  
18 first part of my talk, talking about why gene  
19 therapy is needed for the delivery of trophic  
20 factors, and then the second and third parts of my  
21 talk showing why lentiviral delivery is a very  
22 potent and promising way to deliver trophic factors  
23 to the parkinsonian brain.

24 The trophic factor I am going to talk  
25 about exclusively today is GDNF or glial

1 cell-derived neurotrophic factor, although it  
2 should be noted that there are other trophic  
3 factors, such as BDNF and other gene therapy  
4 approaches, such as transfecting cells to make  
5 certain enzymes that make dopaminergic drugs work  
6 better are also in the experimental stage.

7 [Slide.]

8 Now, when I mention trophic factors for  
9 neurologic diseases, if there are any neurologists  
10 in the room, they usually start to roll their eyes  
11 at this point, because they say here is another  
12 basic scientist with his trophic factor and he has  
13 given us NGF for Alzheimer's disease, BDNF for ALS,  
14 CNTF for ALS, GDNF for Parkinson's disease, et  
15 cetera, et cetera, and the one thing you can say  
16 about all these clinical trials, they have all been  
17 failures.

18 Now, have they been failures because the  
19 preclinical state doesn't predict clinical outcome?  
20 Well, if that is true, we have a lot of problems,  
21 but I don't think that is true.

22 What has happened in all of these clinical  
23 trials, that the trophic factor has never been  
24 delivered in a way in which the factor ever reached  
25 the vulnerable cells that were dying in the

1 disease, so therefore, there is no reason to  
2 suspect or to expect that the trophic factor should  
3 have worked in these clinical trials.

4 [Slide.]

5 Now, let me give you a little bit of  
6 background about GDNF and why we are interested in  
7 using this particular trophic factor for  
8 Parkinson's disease.

9 Lin, et al., initially discovered GDNF by  
10 its ability to support the viability of midbrain  
11 dopaminergic neurons in vitro, and then it was  
12 subsequently found that when you give toxins to  
13 these cells, which is MPP+, to dopaminergic cells,  
14 GDNF also prevented degeneration caused by these  
15 toxins.

16 GDNF has some effects upon normal rats,  
17 but the real reason that people got very excited  
18 about GDNF in Parkinson's disease is that no matter  
19 what animal model you use, whether it's toxins,  
20 methamphetamine, age arrest, no matter how you try  
21 and destroy dopaminergic cells, GDNF will prevent  
22 that degeneration, and if it is applied  
23 appropriately, the animals that receive these  
24 lesions will not display functional deficits and  
25 will have functional benefits from the trophic



1 factor.

2 Don Gash and his colleagues then extended  
3 these studies to rhesus monkey, and that led Amgen  
4 to start a clinical trial which tested the safety  
5 and efficacy of GDNF in patients with Parkinson's  
6 disease.

7 [Slide.]

8 Rush Presbyterian Medical Center, where I  
9 work, was one of the centers that participated in  
10 this trial, and one of the patients came to autopsy  
11 that was in this trial, came to autopsy from events  
12 totally unrelated to the GDNF, but it gave us a  
13 window to determine whether (a) GDNF was  
14 functioning in this patient, and whether  
15 anatomically, there was any evidence of  
16 regeneration or neuroprotection.

17 Let me just give you a little bit of  
18 information about this patient. He was a  
19 65-year-old male with a long history of PD.  
20 Initially, he had a good response to levodopa, and  
21 that is critical because you don't want to give a  
22 dopaminergic trophic factor if a patient doesn't  
23 respond to dopaminergic pharmacology.

24 He initially entered into a double-blinded  
25 trial, and still the blind hasn't been broken to

1 me, but then he entered an open-label phase in  
2 which, through an Elmira reservoir, this patient  
3 received monthly interventricular injections of  
4 GDNF into the ventricular space in an ascending  
5 dosing limit. You can see the doses here.

6           Following his final dose of 300  
7 micrograms, three weeks later he died at home from  
8 a heart attack, and we were able to get the brain  
9 from this patient and examine both the behavior and  
10 the anatomy in this patient.

11           Clinically, this patient was evaluated  
12 using the UPDRS or Unified Parkinson's Disease  
13 Rating Scale. There are two types of scores here.  
14 There is a motor score and then the ADL is an  
15 Activity or Daily Living Score. "On" means this  
16 patient was tested while on levodopa, "off," while  
17 off levodopa.

18           The details of the scale really aren't  
19 important right now, but all you have to realize is  
20 high scores is bad, low scores are good, and what  
21 you can see here at baseline to last visit is no  
22 matter how it was measured, the scores continued to  
23 rise, and the patient's parkinsonism continued to  
24 worsen.

25           Not only did his parkinsonism continue to

1 worsen, but there were side effects related to the  
2 interventricular injections, loss of appetite,  
3 nausea, Lhermitte's sign, which is like an  
4 electrical stimulation down the back of the neck,  
5 and these are all temporally related to the  
6 injection, so as soon as he got the injection,  
7 these symptoms were seen.

8           Then, there were other side effects that  
9 were quite serious, that weren't necessarily  
10 temporally related to the injection, but we think  
11 were involved related to GDNF infusions -  
12 hallucinations, this person did not hallucinate  
13 prior to the GDNF trial, inappropriate sexual  
14 conduct, and depression.

15           [Slide.]

16           So, clinically, nothing good happened and  
17 some bad things happened. When we got the brain of  
18 this patient, we basically saw that the GDNF did  
19 not enhance nigrostriatal function. Now, you might  
20 see here in the top panel, Panel A, this is  
21 tyrosine hydroxylase staining through the forebrain  
22 of this patient, and there is some staining here in  
23 the caudate nucleus.

24           However, down in Panel B, this is a  
25 patient, Parkinson patient, that did not receive

1 GDNF, and so this is a typical finding that can be  
2 seen in PD patients.

3           The critical region that must be  
4 reinnervated is the putamen, this region here, and  
5 you can see in this GDNF-treated patient, and in  
6 Panel D at higher magnification, there is virtually  
7 no dopamine in the striatum as a result of the  
8 interventricular GDNF infusion.

9           [Slide.]

10           So, we thought, well, maybe let's look  
11 down at where the cell bodies of origin are, the  
12 substantia nigra, and basically, we found that  
13 there was no effect in the substantia nigra. On  
14 the left panel, you see TH staining in the normal  
15 patient, the loss of cells in the middle panel of a  
16 PD patient without GDNF, and the third panel, the  
17 patient that did receive GDNF even had fewer  
18 dopamine cells within the nigra.

19           [Slide.]

20           So, basically, no clinical efficacy, side  
21 effects, and no evidence that there was any kind of  
22 regeneration or neuroprotection in the brain.

23           Now, I just showed you a slide previously  
24 where we had all this preclinical data that  
25 suggested that it should work, so why didn't it

1 work in this patient, and, in fact, it didn't work  
2 in the clinical trial in general?

3 [Slide.]

4 The reason it didn't work is because the  
5 GDNF never got to the cells that were vulnerable in  
6 Parkinson's disease. On the left here, we have two  
7 monkeys that received, not monthly injections of  
8 GDNF, but high-dose chronic injections of GDNF into  
9 the lateral ventricle, and you can see here in the  
10 brains that were stained for GDNF, just trivial  
11 amounts of GDNF staining in the caudate nucleus and  
12 here in the septum, which is an irrelevant  
13 location, and the monkey, too, it all backed up  
14 into the singular gyrus.

15 So, basically, the reason it didn't work  
16 is because the GDNF was not delivered in a way in  
17 which it could work, and that is why you need to  
18 have gene therapy, a site-directed delivery of GDNF  
19 if this is ever going to be an efficacious  
20 strategy.

21 Just, if I can step back in general,  
22 putting trophic factors in the ventricular system  
23 in general is a very bad idea. Lots of things do  
24 happen, most of them are bad. So, you want to have  
25 site-specific delivery into the parenchyma and gene

1 therapy allows that.

2 [Slide.]

3 Well, the previous speakers did a far  
4 better job than I could in describing why we want  
5 to use lentivirus for gene therapy, so for time  
6 sake, I am not going to go into it, but we are  
7 going to use lentivirus GDNF in our animal models.

8 [Slide.]

9 Before we go into our monkey models using  
10 GDNF, we wanted to do a quick study just to see  
11 whether we get any kind of transfection in monkey  
12 at all, so we did three consecutive rhesus monkeys  
13 and injected with lenti beta-gal or marker gene.

14 The first two monkeys were sacrificed at a  
15 month, the third at three months, and each one of  
16 these little dots here represents a successfully  
17 transfected cell with lenti- beta-gal.

18 [Slide.]

19 Look at just how many cells there are,  
20 just really--and I will give you the quantitation  
21 of this in just a moment. Just look at Panel C and  
22 D here. It is interesting that things change over  
23 time. At one month, just the cell bodies seem to  
24 be transfected or expressing the marker gene, but  
25 at three months, the marker gene is now not only in

1 the cell body, but expressing both in dendrites and  
2 in axons.

3 [Slide.]

4 Well, how many cells were transfected?

5 Let's just look at the striatum total. In monkey  
6 1, we had 930,000 cells; monkey 2, a million 2, and  
7 this was actually a transposition; monkey 3 was a  
8 million 5.

9 That is a lot of cells, and to just give  
10 you some kind of context, back in '95, we published  
11 the first report of a postmortem case of a fetal  
12 transplant that came to autopsy, and on one side of  
13 the brain we had 125,000 cells, and the other side  
14 of the brain we had 85,000 cells, and we couldn't  
15 be happier.

16 We were so excited to have so many of  
17 these cells surviving and doing what we wanted them  
18 to do, and here, we have an order of magnitude  
19 greater in terms of the number of cells doing what  
20 we wanted them to do. These are direct injections  
21 into the striatum.

22 So, our first study on three consecutive  
23 monkeys demonstrated very successful transfection.

24 [Slide.]

25 Now, what cells were transfected? Most of

1 them on neurons. The top panel on each side is the  
2 beta-gal. On the left here we have NeuN, a  
3 neuronal marker. On the right we have GFAP, an  
4 astrocytic marker, and then the merged image where  
5 the yellow shows that between 84 and 88 percent of  
6 the cells that were transfected were neurons, the  
7 rest were astrocytes.

8 [Slide.]

9 Now, we have talked a lot today about  
10 safety, and safety involves not only just some of  
11 the issues that were discussed, but also in vivo  
12 toxicology, and I will talk a little bit more about  
13 immune status and toxicology a little later in the  
14 presentation, but I just want to use this slide to  
15 illustrate a couple of points.

16 Here is a needle track right here, and  
17 this is a blow-up of where this needle is right  
18 here. This is perivascular cuffing. That was the  
19 only vessel I ever saw that had perivascular  
20 cuffing in any of these three monkeys, and it was  
21 sitting right on top of the needle track, and you  
22 can get perivascular cuffing just from putting an  
23 injection in the brain.

24 Panel D, the needle track is right here,  
25 and this is a NeuN stain, and I want to illustrate



1 the fact that there are many, many healthy neurons  
2 right adjacent to our injection sites. So, from a  
3 toxicological point of view, not only do you have  
4 lots of cells being transfected, but the striatum  
5 appears to be intact, and not expressing any kind  
6 of toxic insult from the injections.

7 [Slide.]

8 So, now we are ready to go into our animal  
9 models. In our initial studies, we chose what is  
10 often an unusual animal model for Parkinson's  
11 disease, and we decided to use aged monkeys. I  
12 have a large colony of aged monkeys, and I define  
13 an aged monkey as 22 years of age or older. Every  
14 monkey year is about 3 human years, so it is about  
15 66 to, in this study, 66 to 90 years of human age.

16 [Slide.]

17 Now, there are many reasons why it shows  
18 aged monkeys as our initial step. One of them is I  
19 wanted to make sure that we had somewhat of a  
20 present nigrostriatal system there for the GDNF to  
21 work on, but there are a number of other advantages  
22 to using aged monkeys as a model of PD.

23 One is that the changes that occur in the  
24 nigrostriatal system are slow and occur over  
25 decades, much like it does in Parkinson's disease.

1 Now, many of us who do lesions write our NIH  
2 grants, and routinely we get some reviewer who  
3 says, "Well, your lesions, and they occur over a  
4 week or a month period of time, that doesn't mimic  
5 what occurs in the disease state."

6 It is true, it is also very often a  
7 trivial comment, but on the plus side, using aged  
8 monkeys does allow us to have the temporal changes  
9 occur in a manner that is more analogous to  
10 Parkinson's disease.

11 The reason I chose aged monkeys, though,  
12 is point 2. Aged monkeys don't lose nigral  
13 neurons, they lose their ability to synthesize  
14 dopamine in existing nigral neurons, and that is  
15 one of the first things that happens in the nigra  
16 of a Parkinson's patient.

17 A cell doesn't just go into an apoptotic  
18 cascade and just die or become necrotic and  
19 explode. One of the first things that happens, it  
20 shuts down its synthesis of dopamine, and that is  
21 what we can model here using aged monkeys.

22 There are a number of other interesting  
23 aspects of using aged monkeys. They are all  
24 progressive motor declines that are associated with  
25 nigrostriatal degeneration. For other reasons, you

1 may want to study the cognitive impairment or  
2 concomitant age-related problems, so there are a  
3 lot of reasons to use aged monkeys.

4           There is a disadvantage. Aged monkeys do  
5 not have Parkinson's disease, and they do not  
6 respond to levodopa, so the first study I am going  
7 to show you is purely anatomical, and then we will  
8 switch model systems and I will show you the  
9 functional and anatomical studies using a different  
10 model..

11           [Slide.]

12           Well, we gave injections of our lenti-GDNF  
13 into the caudate nucleus and the putamen and the  
14 substantia nigra, and the first inkling that we  
15 were on the right track came from our PET scan  
16 studies. We used fluorodopa uptake, which is a  
17 measure of dopaminergic terminals in these aged  
18 monkeys.

19           This is one monkey at four different  
20 levels preoperatively and three months  
21 postoperatively. We put the injections on what is  
22 your left side. You can see the caudate nucleus  
23 and putamen here, and you can see the dramatic  
24 increase in fluorodopa uptake in all of these  
25 panels on the side of the lenti-GDNF injections.

1 [Slide.]

2 Right after that we sacrificed the  
3 monkeys. I already showed you this slide showing  
4 the lack of GDNF expression in the brain when you  
5 infuse it into the ventricle. I want you to  
6 compare that to what happens when you give  
7 lenti-GDNF right into the striatum, and look at the  
8 panel on the right.

9 [Slide.]

10 Don't worry about these holes. These were  
11 punches taken for postmortem analysis. But here is  
12 the caudate nucleus and here is the putamen, and we  
13 can virtually cover the entire striatum with GDNF  
14 expression. This is three months postoperatively.

15 Just to show you that it is not due to  
16 just putting needles in the brain, when we do  
17 lenti-beta-gal, and we stain for GDNF, we don't see  
18 anything.

19 There is one other point I want to make  
20 here. Cliff Saper, who is the editor and chief of  
21 JCM, one of the best journals, always says you are  
22 supposed to present your representative case, but  
23 you have got to show your best case because if you  
24 show your representative case, people will think  
25 that is your best case.

1 Well, this is both our best case and our  
2 representative case, and one thing I want to  
3 emphasize about the data that we have collected  
4 that is incredible to me is that every single  
5 monkey shows virtually the same thing. We have yet  
6 to have any monkey fail in having outstanding gene  
7 expression, whether it be lenti-beta-gal or  
8 lenti-GDNF.

9 So, all our monkeys look like this three  
10 months postinjection.

11 [Slide.]

12 I would like to show this slide. Here is  
13 the cerebral aqueduct here, and here is the  
14 cerebral peduncle down here. This is one,  
15 5-microliter injection of lenti-GDNF, and we can  
16 cover virtually the entire hemi-midbrain with this  
17 one lenti-GDNF injection.

18 [Slide.]

19 Not only that, the lenti-GDNF gets  
20 transported throughout the basal ganglia system.  
21 Here is an injection in the putamen, and this  
22 staining is not from an injection, but an  
23 anterograde transport of the GDNF from the putamen  
24 to the globus pallidus.

25 Look how the staining respects the

1 boundaries of the globus pallidus, outlining the  
2 striatal pallidal pathway, and there is also  
3 staining down in the substantia nigra, pars  
4 reticulata, outlining the striatal nigral pathway.

5           There is also retrograde transport of the  
6 secreted GDNF following the striatal injections.

7           [Slide.]

8           Well, how much GDNF is actually being  
9 made? This is now a different set of monkeys that  
10 were sacrificed 8 months following the injection.  
11 Their immunocytic chemistry was identical to what I  
12 just showed you from our short-term studies, and  
13 the punches we took went through GDNF ELISA.

14           This is a typo here. This should be  
15 nanograms per milligram of protein. But from these  
16 punches, we got 2,500 and 3,500 nanograms per  
17 milligram of protein.

18           Each one of those holes I showed you was  
19 about a milligram of protein, and so if you examine  
20 the type and number of the staining, what I am  
21 telling you here is that for a least eight months  
22 postinjection, we are getting chronic microgram  
23 doses of GDNF being synthesized and secreted from  
24 the lenti-GDNF injections. That is an incredibly  
25 high dose.

1 [Slide.]

2 Biologically, what happened in the aged  
3 monkeys? Here is a lenti-beta-gal-treated animal.  
4 Here is the low intensity of TH staining that is  
5 seen in the striatum of an aged monkey, and here is  
6 the site of the injection that received lenti-GDNF,  
7 and I think you can appreciate the dramatic  
8 increase in TH staining on the side of the  
9 lenti-GDNF expression relative to the intact site.

10 [Slide.]

11 Both dopamine and HVA levels are  
12 dramatically up following lenti-GDNF on the side of  
13 the injections both in the caudate nucleus and in  
14 the putamen.

15 [Slide.]

16 Some of our most dramatic effects were  
17 actually seen back in the level of the substantia  
18 nigra. Here is the nigra of an aged monkey that  
19 received lenti-beta-gal treatment, and here is a  
20 monkey that received lenti-GDNF treatment.

21 There are three things I am going to show  
22 you on the next three slides - more cells, bigger  
23 cells, more good stuff in the cells.

24 [Slide.]

25 In terms of more cells, let's just

1 concentrate on the right side here, because that is  
2 the injection side. There is an 85 percent  
3 increase in the number of TH-positive cells on the  
4 side of the lenti-GDNF injection. That is an  
5 incredible response.

6           What is interesting are the absolute  
7 numbers. We have previously published that aged  
8 monkeys have about 60,000 nigral neurons, and young  
9 monkeys have about 120,000 nigral neurons. So,  
10 basically, what we have done is made an old  
11 substantia nigra into a young substantia nigra with  
12 lenti-GDNF expression, and we believe this is not  
13 due to any neurogenesis, but basically, all those  
14 cells that downregulated their expression of  
15 tyrosine hydroxylase has now been boosted up and  
16 the downregulation has been prevented, so now they  
17 can be counted, just what we had hoped for when we  
18 designed this study in the beginning.

19           [Slide.]

20           The volume of each one of these nigral  
21 cells is increased by 35.7 percent, and for those  
22 of you who aren't familiar with quantitative  
23 morphology, a volumetric increase of 35 percent is  
24 a huge increase in cell size.

25           [Slide.]



1           Finally, I said there is more good stuff  
2 within each cell. This is tyrosine hydroxylase  
3 mRNA staining, lenti-beta-gal-treated animal on the  
4 left, lenti-GDNF-treated animal on the right.

5           Obviously, there are more cells here, but  
6 I want you to appreciate that each cell is darker  
7 due to the fact that there is more TH mRNA within  
8 each cell, and when you do the quantitation of the  
9 optical density for TH mRNA, there is a 21.4  
10 percent increase in the relative optical density.

11           So, we have no toxicity, we have  
12 consistent and robust gene expression that is long  
13 term, and we have robust effects at the level of  
14 the striatum and the nigra with lenti-GDNF  
15 delivery, but still we are missing one thing, we  
16 are missing recovery of function, because that  
17 model system, as I mentioned, does not respond to  
18 dopaminergic drugs.

19           [Slide.]

20           So, now we have to switch model systems.  
21 I think most of you may be familiar that the best  
22 animal model of Parkinson's disease is the primate  
23 model of MPTP.

24           MPTP was discovered in California as a  
25 byproduct of drug abusers making synthetic heroin

1 in their basement and created this byproduct called  
2 MPTP, and they were wheeled into emergency rooms in  
3 San Jose with all the symptoms of Parkinson's  
4 disease, and were for all intents and purposes were  
5 end-stage Parkinson's disease cases with the  
6 exception that they were all 20 years old.

7 Bill Langston and Irwin went on this  
8 remarkable detective story, in which they went to  
9 their houses and they got the drug, and they found  
10 that the offending agent was MPTP. Actually MPTP  
11 is a protoxin, the actual toxin is MPP+, and the  
12 MPTP is broken down by monoamine oxidase into MPP+.  
13 It doesn't work very well in rats, it doesn't work  
14 at all in rats, it works somewhat well in mice,  
15 works exquisitely well in monkeys.

16 So, now we are using this model system.  
17 What we do is we train these animals on a fine  
18 motor task and also we score them on a modified  
19 Parkinson's disease rating scale. It is analogous  
20 to the UPDRS scale that I talked to you about  
21 previously.

22 Then, all the monkeys get a single  
23 injection of MPTP up the carotid artery. Now,  
24 there is one problem with this model system, that  
25 monkeys don't always get parkinsonian symptoms with

1 a single injection, so what we have to do is we  
2 start out with a large number of animals, in this  
3 case it was 20, and we inject them all.

4 Three or four days later, you go into the  
5 room, and I can take any one of you into the room  
6 and say which one of these animals are  
7 parkinsonian, and you would be able to pick out  
8 those that are parkinsonian. They have this  
9 crooked arm posture, they drag their leg, and many  
10 of them will rotate around in their cage. It is a  
11 very obvious, obvious clinical phenomenon.

12 Then, what we do is we just take those  
13 animals because we know from experience that those  
14 animals will always be parkinsonian unless you  
15 intervene and will never display spontaneous  
16 recovery.

17 So, after we take those animals a week  
18 after the MPTP, we then distribute them based upon  
19 parkinsonian rating scores into a lenti-beta-gal  
20 group and into a lenti-GDNF group. We test them  
21 for three months on the same behavioral tasks, we  
22 give them a fluorodopa PET scan and then we run  
23 them through the same anatomical studies that I  
24 just showed you previously.

25 [Slide.]

1           Here is a cartoon of the pick-up task.  
2 Basically, it's a modified home cage. We put apple  
3 in these recessed food wells, and simply just time  
4 the animals for how long it takes them to remove  
5 the food treats or apple out of the food wells.

6           [Slide.]

7           Let's not worry about the red bars. This  
8 is for a different talk. A normal animal can  
9 perform this task in about eight or nine seconds.  
10 You give them MPTP, and then if you look at the  
11 yellow diamonds, which are the lenti-beta-gal  
12 group, these animals get worse and worse and worse,  
13 and the longest we let them go is at 30 seconds.  
14 There are no error bars here because all  
15 controlled-treated animals cannot perform this task  
16 within 30 seconds.

17           In contrast, animals receiving the same  
18 lesion, same lentivirus injections, but now  
19 encoding for GDNF, they initially get a little  
20 worse, but then they get better and better, and  
21 stay stable significantly better for the duration  
22 of the study.

23           You may notice there are pretty big  
24 standard error bars here. That is because one  
25 animal did not recover, but all the rest recovered

1 completely, and went back down to normal. I will  
2 talk to you in a little bit about why that one  
3 animal didn't recover.

4 [Slide.]

5 In terms of the parkinsonian rating scale,  
6 a normal animal will score a zero. Once they are  
7 given MPTP, they score about 11 or 12 on this task.  
8 Lenti-beta-gal-treated animals stay stable  
9 parkinsonian throughout the duration of the study.  
10 Lenti-GDNF-treated animals get better and better  
11 and better. It is relatively small, so these  
12 changes did not get statistically significant  
13 through the last four evaluation points, but still  
14 a robust anti-parkinsonian effect.

15 [Slide.]

16 We had our first indication again that  
17 things were going well anatomically. Certainly,  
18 that was excellent news behaviorally when we looked  
19 at the fluorodopa uptake. This is the side of the  
20 MPTP infusion, the side of the lenti-beta-gal  
21 injections, and you see basically you lose all  
22 fluorodopa uptake on the side of the MPTP  
23 injection.

24 In contrast, when you give the lenti-GDNF  
25 to parkinsonian monkeys, you are able to prevent

1 the degeneration of the nigrostriatal system  
2 completely. In fact, in this monkey, there is more  
3 fluorodopa uptake here than here.

4 [Slide.]

5 When we looked at the brains of these  
6 animals, these are coronal sections through the  
7 anterior commissure. Here is the caudate nucleus,  
8 here is the putamen. You can see  
9 lenti-beta-gal-treated animals lose virtually all  
10 their dopamine within the caudate nucleus and the  
11 putamen.

12 In contrast, animals receiving the same  
13 lesion, same virus, but now encoding for the  
14 trophic factor, we get not only complete  
15 preservation of the nigrostriatal system, there is  
16 more dopamine here than there is even on the intact  
17 side.

18 [Slide.]

19 When you do the quantitation,  
20 lenti-beta-gal-treated animals lose TH optical  
21 density dramatically, and it appears to be a  
22 normalization here in lenti-GDNF-treated animals,  
23 but if I would have culled out that one animal that  
24 didn't recover, there is actually an overshoot and  
25 there is more dopamine in the striatum as a group

1 under those conditions than on the intact side.

2 [Slide.]

3 Well, what about the nigra? The same type  
4 of phenomenon. At the level of the entopeduncular  
5 fossa, here is the intact side, this is the  
6 MPTP-treated side, and this is an animal that  
7 received the controlled vector lenti-beta-gal, and  
8 you see the dramatic loss of TH-positive cells on  
9 this side. Same vector, same lesion, just now  
10 encoding for GDNF, and there is a complete  
11 preservation of the nigrostriatal system.

12 You can see the gold staining up here.  
13 This is regenerating fibers, of sprouting fibers  
14 that have resulted from the intranigral injection  
15 of the GDNF.

16 [Slide.]

17 When you do the quantitation, lenti-beta  
18 gal-treated animals lose almost 90 percent of their  
19 cells, and this is completely prevented with the  
20 lenti-GDNF, and, in fact, there are more cells  
21 here, and we don't think that this is due to again  
22 any neurogenesis.

23 What we think happens with the MPTP going  
24 up the carotid artery, there is a little bit of  
25 leakage to the other side, and so basically, we

1 think we have protected everything on this side of  
2 the GDNF, but we didn't protect the small loss that  
3 is seen on the opposite side.

4 [Slide.]

5 Again, if you look at the volume of the  
6 changes, the changes in volume of nigral cells, of  
7 the remaining cells in the lenti-beta-gal group,  
8 these cells shrink by 32 percent, just like they do  
9 in Parkinson's disease. In contrast, not only is  
10 that prevented, but these cells hypertrophy, and if  
11 you look at the difference here, there is almost a  
12 76 percent difference in the size of these cells.

13 [Slide.]

14 Again, if you look at TH mRNA, again, the  
15 remaining cells, there is a loss of TH mRNA within  
16 the nigral cells, just like it is in Parkinson's  
17 disease, and again not only is this prevented, but  
18 there is an augmentation of TH mRNA within  
19 individual nigral neurons.

20 [Slide.]

21 I am going to skip all this.

22 [Slide.]

23 Again, so we have all this great stuff.  
24 We have got functional recovery, we have got  
25 anatomical preservation to the max, just what we



1 would hope, but nothing is worthwhile if have  
2 immune responses and toxicity.

3           So, we carried out detailed immune studies  
4 using CD45, CD8, and CD3 markers, and what I am  
5 showing you here is all CD45, which is the most  
6 ubiquitous of those stains. What I am showing you  
7 in Panel A and Panel B is the worst response we  
8 got--oh, excuse me--the most intense staining we  
9 got from any of these markers on the worst or most  
10 intense section from that animal, and this is all  
11 that we have ever seen, just a little bit of  
12 staining here, a couple of cells with microglial  
13 morphology even in other brains, right through the  
14 needle track, and that is an antiimmune response.

15           We got nervous that maybe we were having a  
16 problem with our staining protocol, so we threw in  
17 an Alzheimer's piece of tissue that stained up  
18 beautifully to illustrate the specificity of this  
19 response.

20           So, there is no immune response following  
21 lenti-GDNF injection in these animals.

22           [Slide.]

23           Finally, there is one other bit of caution  
24 I did want to pass along. Now, we did our  
25 injections in the caudate nucleus and the putamen

1 and the nigra, and why did we choose all three  
2 sites? Because we were gutless in the beginning.  
3 These are very expensive studies, we wanted to show  
4 which sites would be more important, so we figured  
5 we are going to inject all of them.

6 Well, it turns out it is interesting that  
7 we injected the nigra, and I showed you all those  
8 good things that did happen, but bad things can  
9 happen also. This is the lateral septum, and look  
10 at this very robust sprouting response seen here in  
11 the lateral septum.

12 From an anatomous point of view, that is  
13 pretty cool, that's things we would like to see,  
14 but the problem is the cells of origin here are not  
15 nigral, they are from the adjacent ventral  
16 tegmental area, and when you augment the adjacent  
17 ventral tegmental area, that is what in part causes  
18 schizophrenia.

19 So, I think it is very important that we  
20 do not put dopaminergic trophic factors down in the  
21 midbrain, because you are not going to be able to  
22 control them sufficiently to ensure yourself that  
23 you are not going to augment an adjacent nucleus  
24 that can cause very severe side effects in patients  
25 that are taking levodopa and are potentially

1 teetering on hallucinogenic behavior anyway. So,  
2 that is one important point I wanted to make.

3 [Slide.]

4 So, in closing, where do we go from here?  
5 I think it is absolutely essential that no one goes  
6 into a clinical trial with gene therapy, at least  
7 the types of trials that I am discussing here,  
8 without your ability to control gene expression,  
9 and it is not just enough to be able to control  
10 gene expression, you have to be able to show that  
11 you can shut off your gene, and that shutting off  
12 your gene reverses whatever you did, because, for  
13 example, too much dopamine can cause abnormal  
14 involuntary movements called dyskinesias, and many  
15 of you may be aware of the recent report about  
16 fetal transplants that cause these runaway  
17 dyskinesias in these patients, and they have no way  
18 of reversing that.

19 What we are doing is we have a study  
20 ongoing right now in aged monkeys where we are  
21 putting the lentigene in with the tet-Off system,  
22 and we will do fluorodopa uptake on PET scan.  
23 Then, half the animals will get tetracycline, we  
24 will attempt to shut off the GF gene, and we will  
25 also measure dyskinesias in these animals and see

1 whether we can reverse the fluorodopa uptake and  
2 reverse any changes in dyskinesias.

3 I think it is absolutely essential that  
4 these first two points be met before anyone goes to  
5 the clinic with a therapy such as lenti-GDNF.

6 One of the big questions that will be  
7 asked by regulatory agencies is what is the  
8 appropriate patient population to go into.  
9 Typically, trials start with more advanced  
10 patients, especially safety trials, especially in a  
11 disease state that has other therapeutic strategies  
12 available to them, but this type of strategy, GDNF  
13 strategy theoretically should work best in, as I  
14 mentioned earlier, the less advanced patient.

15 So, we are also doing studies to model,  
16 instead of modeling early Parkinson's disease,  
17 modeling late-stage Parkinson's disease to see  
18 whether GDNF will be efficacious in that system, if  
19 it is not, that would question whether we should be  
20 doing trials from the beginning in earlier patients  
21 rather than late stage patients.

22 Then, just in closing, I showed you a lot  
23 of work, and I tend to go around giving the talks  
24 while all the people back in the lab are doing all  
25 the work. I am very proud of my group who

1 collected all this data, as well as Patrick  
2 Aebischer and Jocelyne Bloch and Nicole Deglon who  
3 provided all the vectors, University of Wisconsin  
4 group that did all the PET scanning, as well as  
5 Philippe Hantraye and Didier Trono who participated  
6 in other aspects of the study.

7 I will stop there. Thank you.

8 [Applause.]

9 **Questions & Answers**

10 DR. SALOMON: So, one of the things you  
11 started out by saying is that when any of us went  
12 in the room, we would be able to detect the  
13 animals, so after you did the gene therapy, would  
14 we now have difficulty detecting the animals?

15 DR. KORDOWER: Yes, you very much would  
16 have difficulty detecting the animals. In fact,  
17 the fact that these animals have some score on the  
18 Parkinson rating scale really attests to the  
19 experience of the observers and the trained  
20 observers who do this all the time.

21 If just someone who didn't do this for a  
22 living went in there, you would have a hard time  
23 detecting which animals were parkinsonian and which  
24 were untreated, which were GDNF treated and which  
25 were untreated. I am sorry.

1 DR. SALOMON: Is that what you meant to  
2 say?

3 DR. KORDOWER: Excuse me - which are  
4 normal and which are GDNF treated.

5 DR. VERMA: Were you not surprised that if  
6 you are using it for eight months uncontrolled  
7 expression of GDNF, the monkeys, that there was  
8 nothing bad that happened to them by and large?

9 DR. KORDOWER: There was nothing bad that  
10 happened at all. In fact, all the caveats I  
11 brought up are theoretical, there is no empirical  
12 data at all to suggest that bad things will happen,  
13 but there is one big caveat, and I think this is  
14 the caveat that the Freed [?] people ran into. No  
15 one ever did fetal transplants in monkeys into  
16 levodopa-prime Downs, and it is undoubtedly initial  
17 clinical trials with gene therapy will go into  
18 patients that have been on levodopa, and that could  
19 be a major variable.

20 So, monkeys are not parkinsonian patients,  
21 and that is a key parameter that needs to be  
22 tested, and we are testing that currently.

23 DR. MULLIGAN: This is kind of an  
24 irrelevant question vis-a-vis the meeting, but it  
25 is interesting one I think. The lac-Z infections,

1 you showed a time course and you showed that the  
2 cell bodies looked like they were making some  
3 lac-Z, but then over time you saw protections.

4 Do you have any idea what that is, what  
5 accounts for that, and have you looked ever in  
6 these to see whether directly there is integrated  
7 sequences, on integrated sequences, is there a  
8 transition from unintegrated to integrated  
9 sequences?

10 DR. KORDOWER: We haven't looked at that.  
11 What I think is basically happening is that the  
12 gene product, both lac-Z and GDNF, is being made  
13 and is just being integratedly transported down  
14 axons to normal target cells.

15 DR. GROSSBARD: Elliott Grossbard, Amgen.  
16 Would I be correct in inferring that some  
17 of the preclinical studies with proteins were done  
18 in MPTP primates?

19 DR. KORDOWER: That is correct.

20 DR. GROSSBARD: So, you haven't really  
21 explained the inconsistency because you suggested  
22 they were trivial delivery of the neurotrophic  
23 factor even in the primates, and yet they had a  
24 clinical response.

25 DR. KORDOWER: If you read those papers

1 carefully, some of those clinical responses are  
2 pretty trivial.

3 DR. GROSSBARD: Oh, okay.

4 DR. ALLAN: How long can you wait after  
5 you induce Parkinson's in the monkeys before you  
6 won't have an effect?

7 DR. KORDOWER: Well, we are not sure. We  
8 think we were right on the bubble. I mentioned  
9 there was one animal that didn't recover. That  
10 animal had great gene expression. We think what we  
11 ran into with this particular animal is that there  
12 is some variability in the speed at which the  
13 fibers regress, and that particular animal may have  
14 had quicker fiber degeneration than the others, and  
15 the gene that was not able to capture that.

16 It is interesting that that animal had  
17 complete protection at the level of the nigra, but  
18 did not have protection at the level of the  
19 striatum, and that animal did not recover.

20 I don't want to appear too flippant about  
21 my response to the previous questioner. A lot of  
22 those MPTP studies involved interparenchymal  
23 injections. The trivial response that I was  
24 referring to were studies that used  
25 interventricular administration of the protein.



1 DR. HIGH: You described adverse events in  
2 the patient that you took care of who had GDNF  
3 protein therapy, and I was wondering if any of  
4 those adverse events were accurately modeled in the  
5 primates.

6 DR. KORDOWER: No, we didn't see any  
7 adverse--what we would call an adverse event in the  
8 monkeys, and that is quite typical. You know, with  
9 other trophic factor deliveries, when we did  
10 studies with NGF, and we put NGF secreting cells in  
11 the ventricle of monkeys, they did very badly and  
12 they had significant side effects. You do those  
13 same studies and put them in parenchyma, and you  
14 don't see the side effects. It gets back to the  
15 point I made earlier, I don't think trophic factors  
16 should be put in the ventricle.

17 DR. RAO: It seemed implicit in your  
18 statement that GDNF is not causing sprouting, if  
19 you think that the failure to see response was  
20 because you couldn't reverse the regression?

21 DR. KORDOWER: No, there is evidence for  
22 sprouting, certainly at the level of the nigra, but  
23 to get the sprouting, the trophic factor has to get  
24 to those fibers that have the receptors on them,  
25 and the distance may have been too great for that

1 to occur.

2 I didn't have a chance to go into it,  
3 there is other evidence, and we are presenting some  
4 of that in the Science paper, I believe, to suggest  
5 that there is both protection and sprouting.

6 DR. SALOMON: Was this a VSV-G  
7 pseudotyped?

8 DR. KORDOWER: Yes.

9 DR. SALOMON: So, at least we could say  
10 that in vivo injections into the brain, VSV-G was  
11 an effective delivery system.

12 DR. KORDOWER: Correct.

13 DR. SALOMON: Did you ever take any of  
14 these tissue biopsies at, let's say, a month after  
15 delivery, take them out and put them in co-cultures  
16 with cells that would be, you know, H9 or--

17 DR. KORDOWER: No, that is something we  
18 have to do.

19 DR. SALOMON: Do you have any studies at  
20 all that would address the issue of  
21 replication-competent lentivirus?

22 DR. KORDOWER: None that have been  
23 currently finished.

24 DR. SAUSVILLE: You introduced in a  
25 prominent way the possibility that having

1 regulatable expression would be important, and you  
2 used the tet system as an example. Although tet  
3 promoters are used very avidly in preclinical  
4 models, they tend to be at either the On or Off.

5 Is there evidence that you can actually  
6 grade the level of expression using that particular  
7 promoter system, or do you think this would be  
8 relevant, for example, to use in humans?

9 DR. KORDOWER: My answer is totally  
10 speculative. This is such a potent trophic factor.  
11 My guess would be that you would not be able to  
12 dose it with the tet-On system.

13 DR. SAUSVILLE: Although it's comforting  
14 that you can turn it on and turn it off and  
15 regulate it, whether that would be practically have  
16 value in terms of grading doses is unclear at this  
17 point.

18 DR. KORDOWER: Right. For me, the  
19 necessity to have it is totally a safety issue.

20 DR. RAO: I was also curious about the  
21 fact that the lentivirus seems to be relatively  
22 more specific towards the neurons. I mean would  
23 you care to say? I mean the relative ratio at  
24 least published would be 10 to 1 for astrocytes and  
25 oligodendrocytes.

1 DR. KORDOWER: In culture.

2 DR. RAO: Even in the brain?

3 DR. KORDOWER: No, I think Dr. Naldini is  
4 here, I don't know whether he has data, but I know  
5 the original rat studies, I believe were also  
6 predominantly neuronal, and I think that is quite  
7 consistent. That is my understanding.

8 DR. VERMA: That may have to do with the  
9 promoter off.

10 DR. KORDOWER: The PGK.

11 DR. VERMA: But some PGK, CMV, many of  
12 them have very often, but some of them, like  
13 EFN-alpha, does not do as well in neurons as it  
14 does in other cells. It is a matter of the  
15 promoter, too.

16 DR. RAO: But it seemed better in neurons.

17 DR. VERMA: Depending upon the nature of  
18 the promoter you use.

19 DR. RAO: Is there any culture data from  
20 this lentivirus suggesting that there is a cell  
21 bias?

22 DR. KORDOWER: I am not aware of any.

23 DR. VERES: If anything, I think this is  
24 related to the envelope, the VSV envelope. In this  
25 regard, I think there is some data published, at

1 least from meeting reports, they are using either  
2 rabies or the other retroviral envelope which claim  
3 to have tropism to the glial cells.

4 DR. MULLIGAN: You mentioned on several  
5 occasions that you didn't think neurogenesis was  
6 responsible for the effects. I thought one of the  
7 effects of GDNF purported in the past was indeed  
8 neurogenesis. Why isn't that happening or why  
9 wouldn't that happen?

10 DR. KORDOWER: We have actually pulsed a  
11 couple of animals with BODU and didn't see  
12 anything, and also, the cells are always in the  
13 exact cytoarchitectonic location that they should  
14 be, and you never see any streaming.

15 You saw, I guess the best example was the  
16 nigral injection where basically, half the midbrain  
17 was filled with GDNF, and so you would figure if it  
18 is going to cause neurogenesis, it should do it  
19 throughout. You don't see that. It is only in the  
20 nigra.

21 DR. MULLIGAN: What were the original data  
22 suggesting that that was a GDNF effect, was there  
23 injection made in the past by other people  
24 suggesting that this occurred?

25 DR. KORDOWER: Yes, I think in neonates.

1 DR. ZAIA: Can you repeat one more time,  
2 in terms of your rationale, is the GDNF inducing  
3 dopasynthesis I presume?

4 DR. KORDOWER: The GDNF is preventing  
5 neurodegeneration, and GDNF is increasing tyrosine  
6 hydroxylase expression, which is the rate-limiting  
7 step of dopamine synthesis, causing regeneration of  
8 fiber, so it is doing three things.

9 DR. ZAIA: But then are you suggesting  
10 that if you had gone in with the enzyme that you  
11 needed to increase dopa, that may have failed? Had  
12 you done the control of using whatever the  
13 dopasynthetase is, I don't remember the enzyme--if  
14 you had gone in with TH after the challenge, would  
15 you have protected?

16 DR. KORDOWER: You wouldn't have  
17 protected.

18 DR. ZAIA: Why not?

19 DR. KORDOWER: Because TH isn't a  
20 protective enzyme, it's a synthesizing enzyme.

21 DR. ZAIA: But it would raise dopa levels,  
22 wouldn't it?

23 DR. KORDOWER: It would raise dopa levels.

24 DR. ZAIA: And so you are saying that that  
25 is not sufficient to protect?

1 DR. KORDOWER: I am saying because the  
2 cells are going to die anyway, so if you are not  
3 preventing their death--you may get a bump in  
4 symptomatic benefit, but you are not going to--

5 DR. ZAIA: I see. So for the rationale,  
6 then, it requires the trophic factor.

7 DR. KORDOWER: Correct.

8 DR. ZAIA: Okay.

9 DR. SALOMON: But if we follow that, then,  
10 again deferring to my neurology colleague, the way  
11 this model was set up is he creates an acute  
12 injury, so during that period of time, there is  
13 cell injury death and, you know, this quasi-state  
14 that maybe some cells can be rescued, and that  
15 would be your target, right?

16 You give your GDNF gene therapy then,  
17 right, it is not--you didn't show us any data where  
18 you caused the injury, waited for two months, at  
19 which point the animals have the 30 second or  
20 greater fruit-sorting test, and then gave the GDNF  
21 therapy.

22 So, when we now make the jump between how  
23 one would use that animal model to what is going on  
24 in a human patient with Parkinson's disease, a lot  
25 of it has to do with where in the state of the

1 disease progression we are at, which you  
2 acknowledged right at the beginning, but it also  
3 goes to what extent is neural cell loss and  
4 destruction occurring.

5 DR. KORDOWER: Versus phenotype.

6 DR. SALOMON: Versus, you know, just  
7 changes as I think Dr. Zaia was getting at, where  
8 it would be metabolic or enzymatic pathways that  
9 are being altered, so what's new, you know, animal  
10 models are tough to do. I didn't mean to go too  
11 far beyond it.

12 One thing that I find sort of interesting  
13 is you do this injection and then it's a little  
14 tricky with the slides, because what you are doing  
15 a lot of times is you are showing GDNF staining.

16 DR. KORDOWER: Right.

17 DR. SALOMON: And what you don't show a  
18 lot of is how many cells actually got hit by the  
19 vector and how that relates to where you find GDNF.  
20 I mean it's too wonderful, but you do this  
21 injection and you get only the putamen or only the  
22 substantia nigra.

23 So, how much spread of the original  
24 lentiviral vector occurs outside the needle site  
25 and how much spread afterwards occurs of virally



1 infected cells, and how much is the rest due to  
2 just spread of the GDNF?

3 DR. KORDOWER: We are getting a handle on  
4 that. Part of the vector system has the  
5 woodchuck-enhancing element, and we have an in-situ  
6 probe against that. So, you put the injection in  
7 and you probably have 3 to 4 millimeters on either  
8 side of the injection filled with cells, labeled  
9 cells, but the secretion is much farther than that,  
10 and we can fill out the entire striatum.

11 In fact, there is even more--what I showed  
12 you immunocytochemically is an underestimation of  
13 what is there, because when we do our punches, and  
14 we don't know where the injection is, I am just  
15 doing it on a piece of fresh tissue, sometimes you  
16 get a punch that is outside the area of immunocytic  
17 chemistry, and although the level of protein there  
18 is greater than background, significantly greater  
19 than background, although it is not as much as what  
20 is in the number of the staining, it is still much  
21 greater than background.

22 So, it is even greater than what I showed  
23 you, and we can basically fill the entire striatum  
24 with GDNF.

25 DR. VERMA: Didn't you have a construct

1 with GDNF, area of GFP?

2 DR. KORDOWER: No.

3 DR. VERMA: Oh, you haven't. I thought  
4 you had that construct that would tell you.

5 DR. KORDOWER: No.

6 DR. SALOMON: He threw us--I am kind of  
7 disappointed in you guys actually, because Jeff set  
8 you up with the statement you cannot go forward  
9 with lentiviral gene therapy unless you have a  
10 regulatable promoter, and the resounding silence  
11 here--

12 DR. VERMA: Or trophic factors--

13 DR. SALOMON: I don't know. Okay. I mean  
14 do you guys want to take it or--there is consensus  
15 here from the Committee that you have to have a  
16 regulatable promoter.

17 DR. CHAMPLIN: Here, there is the  
18 functional possibilities, as well as dangers from  
19 systemic effects. In the brain, obviously, you can  
20 make things worse symptomatically, as well as  
21 better, and if they get worse, you could turn it  
22 off by using the tet-Off system, so it is not so  
23 much worrying about the killer virus emerging as  
24 much as the functional effect on the patient.

25 DR. KORDOWER: I must say that the reason

1 I feel that way now, I didn't feel that strongly  
2 about it six months ago, before the Fried, et al.  
3 report, but you put in a fetal transplant, you have  
4 got five patients, and I don't know if anyone has  
5 seen the videotapes, they are horrific, they are  
6 horrific, and you don't want to be doing, you know,  
7 you don't want to have put a dopaminergic trophic  
8 factor in, have something similar happen, and you  
9 can't turn it off.

10 DR. SALOMON: You ought to do a suicide  
11 gene.

12 DR. KORDOWER: Now you are getting  
13 complicated.

14 DR. SALOMON: In a fetal cell transplant  
15 you can. I mean I think the principle here is  
16 really important, and there is two principles. One  
17 is okay, I mean I was partially being facetious. I  
18 realized that Dr. Kordower was making the point  
19 specifically for intraneural applications, but  
20 still that is really a bold point from a regular  
21 point of view to say that.

22 The second issue is to what extent do we  
23 have confidence in tet-On/tet-Off systems. I mean  
24 I thought this was, man, this is a lob for you  
25 guys. I mean everybody goes nuts every time you

1 mention a tet-On/tet-Off system is leaky, it turns  
2 off, it gets silenced, and no one said a word.

3 DR. SAUSVILLE: I did protest a little bit  
4 about the tet, if you remember, and we established  
5 that pharmacologically, it is probably not going to  
6 allow regulation, which leads to what I think you  
7 stated it was the worst case scenario regulator  
8 rather than something that you are going to--but,  
9 also, isn't that rather context-dependent?

10 I mean one could imagine replacement  
11 therapy is where the consequences of having more or  
12 less are not quite the same, but that I guess needs  
13 to be judged on a case-by-case scenario.

14 DR. VERMA: Also, I think in the case of  
15 the tet, it is not really a question of people have  
16 been talking about 100 percent off and on, that is  
17 not what they are asking for. If you have a small  
18 leaking, it is very different than absolutely zero.  
19 So, these systems don't have absolutely zero, but  
20 small leaking is tolerable in many cases.

21 DR. KORDOWER: As long as your biological  
22 effect can be reversed, you are fine.

23 DR. VERMA: I tend to agree with you that  
24 it's a good idea to have regulation in general, but  
25 it is not necessary for every disease candidate,