

1 got to write it up, and they have got to submit it to
2 the agency.

3 And we have then got to review it, and
4 then that is going to be a boat load of data, and so
5 again that is how I am kind of coming up with a year.
6 That is very rough.

7 DR. SNIDER: Karen, could I just sort of
8 press you a little bit more on the accelerated
9 approval issue.

10 DR. GOLDENTHAL: Sure.

11 DR. SNIDER: Because I understand what you
12 are saying, and the way that it has been described to
13 us before in the accelerated approval is that there is
14 one study, and then there is the confirmatory study.

15 DR. GOLDENTHAL: Well, in some cases it is
16 even the same study.

17 DR. SNIDER: Okay. That was my next
18 question. Could you design one study that would allow
19 you then to be looking at more than one endpoint, and
20 then as things evolve make hopefully appropriate
21 decisions about whether to continue on, or --

22 DR. GOLDENTHAL: Well, theoretically, yes.
23 CDER has definitely done that with AIDS drugs, and so
24 on and so forth. Obviously you are blinding and all
25 that would have to be just so. I would not again have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an issue with that trial continuing prior to approval.

2 The issue would be whether -- well, we
3 would need a high level of assurance that things are
4 going to get done.

5 CHAIRMAN DAUM: I think we are going to go
6 to Ms. Fisher, Dr. Fleming, and Dr. Kohl, and then we
7 are going to conclude Dr. Goldenthal's presentation.
8 We will have an opportunity to revisit these issues in
9 as much depth as you like, but we are looking to
10 clarify what Dr. Goldenthal is telling us how about
11 the questions and FDA procedures, and what they would
12 like to hear about. Ms. Fisher.

13 MS. FISHER: If this is the first vaccine
14 that is going to potentially be subject to the
15 accelerated approval process, how does the accelerated
16 approval process impact on the gathering of safety
17 data prior to licensure?

18 DR. GOLDENTHAL: Well, I would -- you
19 know, that is a very good question, and we would have
20 to at FDA consider what is the minimum amount of
21 safety data, and I would prefer that it be randomized
22 prior to approval. So that is a very good question.

23 MS. FISHER: Well, if we were to give the
24 indication to the FDA that we wanted an accelerated
25 approval process here, we have not had any discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in any depth about safety. In other words is there
2 going to be another meeting that is going to talk
3 about safety data?

4 DR. GOLDENTHAL: Well, we did not have a
5 specific advisory committee meeting planned, but since
6 that would be a factor in -- again, this meeting is
7 focused on the endpoint question because that seemed
8 to be the most -- you know, where the most, if you
9 will, controversy had been coming up.

10 But if you have views about the amount of
11 safety data for this particular product needed prior
12 to traditional approval, or accelerated approval,
13 please feel free to speak up. But I do want to make
14 sure that we do cover the endpoint issue in this
15 meeting.

16 CHAIRMAN DAUM: We will. Dr. Fleming,
17 please.

18 DR. FLEMING: Actually, I wanted to
19 continue on the line of questioning that I had done
20 earlier, and actually in a sense follow up with a
21 thought similar to Dixie's thought.

22 The question that I had asked earlier I
23 know was a difficult question, and that is if you
24 randomize -- and let's say hypothetically 10,000 women
25 who are about age 20, who are HPV negative, and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow ahead, and you design a trial, and targeting
2 CIN-2/3, and you are looking at needing to detect a
3 reduction in this rate at let's say 3 to 4 years
4 follow-up.

5 It is my sense that if you followed those
6 people beyond three years for an additional two years,
7 that the number of cases of CIN-2/3 should increase
8 linearly. You are starting at time zero with pristine
9 negative cohort, and during that first three years
10 those people will begin to have HPV infection.

11 And some of them will be rapid
12 progressors, and some of them more slow progressors.
13 But logic would tell me that if you take a cross-
14 sectional snapshot of those people at 3 years, you are
15 going to have a cohort more advanced than the pristine
16 time zero cohort at randomization.

17 And the additional 2 years from -- and
18 let's say from your 3 to your 5, could readily yield
19 much more than the number of CIN-2/3 cases that you
20 saw in the first 3 years. Why is that relevant?

21 Well, it is related to the point that
22 Dixie was stating, which is in essence might the same
23 trial in essence -- and even at the same endpoint, be
24 an accelerated approval endpoint, versus a full
25 approval endpoint?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Specifically, if you were looking at the
2 zero -- and as Dr. Goldenthal had pointed out, if you
3 were designing a trial to simply rule out no
4 reduction, when in truth you expect an 80 percent
5 reduction, it takes a relatively small number of
6 actual cases, on the order of 20 to 23.

7 Well, there are some disadvantages to
8 this, even if we said CIN-2/3 is in essence an
9 acceptable surrogate endpoint, if you are only looking
10 at the very earliest emergence of CIN-2/3, and you
11 show a reduction in that earliest emergence, that is
12 in essence also just a surrogate for the more global
13 protective effect against CIN-2/3.

14 And so one approach might well be to
15 design a trial that is targeting CIN-2/3 over a longer
16 time frame, such as 5 to 6 years, where at 3 years,
17 when you have enough evidence to rule out a quality on
18 the CIN-2/3 endpoint, you have accelerated approval,
19 possibly backed up with persistent infection evidence
20 as well at that point, which would be adequately
21 powered because that would take a smaller sample size.

22 And the backing up by persistent infection
23 would be giving you a bit of a more global perspective
24 of what you might be anticipating in future years on
25 CIN-2/3.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Then you have a cohort that is well under
2 way, and so even if accelerated approval kicks in with
3 access to cross-in's, it will have a less deluding
4 effect on what your ultimate assessment might be 2 or
5 3 years later, where you might have 2 to 3-fold the
6 number of cases.

7 And if you do, now if you have 50 cases to
8 60, now you can look at a test of .5 versus .8, and
9 specifically if you have 80 percent vaccine efficacy
10 now at 5 years, you can rule out that you have less
11 than 50 percent, which is a very relevant issue.

12 Often with vaccines we expect this. We
13 expect to be able to say not only is there 80 percent
14 protection, but actually I am convinced that there is
15 at least 50 percent protection. So there is a very
16 tangible significant payoff in exchange for what will
17 be a very broad exposure program.

18 So just to plant the seed, one approach
19 that could be taken here would in essence be to do one
20 trial that would still only have to be of the size of
21 10 to 15 thousand people, but you get the additional
22 data by additional follow-up, which is consistent with
23 the concept of accelerated approval.

24 You are getting the answer in the earlier
25 time for an accelerated approval, and you are then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 continuing for additional time to get a more global
2 reliable sense of what the effect is.

3 CHAIRMAN DAUM: All right. That is a very
4 helpful comment. Thank you very much, Dr. Fleming.
5 I think at this point that we will thank Dr.
6 Goldenthal very much for her presentation, and turn to
7 the open public hearing portion of our meeting.

8 We have three speakers scheduled to
9 address the committee, the first of which is Ms. Cindy
10 Pearson, from the National Women's Health Network, and
11 her comments are related to the considerations that we
12 have had today. Ms. Pearson. She has been asked and
13 been budgeted for 10 minutes to present to us.

14 MS. PEARSON: I am Cindy Pearson, and I am
15 the executive director of the National Women's Health
16 Network. Our disclosure statement is that we are an
17 independent, non-profit consumer advocacy group,
18 supported by small progressive foundations, and a
19 national membership of approximately 9,000 women, who
20 live in all 50 States.

21 We do not accept any financial support
22 from drug companies, or device manufacturers. We are
23 frequent visitors to FDA advisory committee meetings,
24 although not to this one. We are more commonly active
25 in reflective health drugs and OB-GYN devices, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 metabolic and endocrine drugs.

2 It is interesting to come here and testify
3 today because in my actual 13 years of visiting FDA
4 advisory committee meetings, I think this is the only
5 one that has not had its sponsor presentation open to
6 the public.

7 And I will just share that comment with
8 you. That is an interesting choice, and I understand
9 what guidelines the FDA has that allow it to have
10 closed meetings and when they are useful and even
11 necessary. But just to give you that feedback.

12 From the perspective of a woman's health
13 group that brings the voice of average women to places
14 where decisions are made in Washington, D.C., we are
15 delighted to see sponsors coming to the FDA and
16 supporting the interests and efforts that have been
17 made by the public health community in the quest for
18 a preventive vaccine for HPV disease.

19 I don't want to repeat anything that you
20 have heard 17 times already today about how important
21 this disease is worldwide, and how important it is in
22 the United States.

23 I will just make a point that I haven't
24 heard made this afternoon, which is that it is
25 particularly important I think to low income women and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 women of color in the United States. African-American
2 women are less likely to be screened routinely, and
3 have the opportunity to find changes early when they
4 can be treated more effectively than less basically.

5 And particularly new Asian immigrant
6 women, Vietnamese-American women, have the highest
7 rate of cervical cancer in the United States, and much
8 more reflecting the rate of cervical cancer in the
9 country from which they have come, and other new Asian
10 immigrants.

11 So even though overall we look at cases of
12 cancer and likelihood of death from cervical cancer
13 that are very small in, and you might say low on the
14 priority list for women in the U.S.

15 But as a broad-based consumer group, we
16 are aware that for certain groups of women in the
17 United States that it is much higher on the priority
18 list.

19 So I want to be specific in our comments about the
20 endpoint question, because that is what you are
21 struggling with here today.

22 And I think we have a perspective that
23 might be useful to you in the average woman's views.
24 I would say to put it very, very -- and oversimplified
25 to the average woman, whether this prevents HPV

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infection isn't really all that important, because the
2 average woman is probably infected with HPV, and has
3 it resolved, and never knows.

4 A very common experience though -- and I
5 acknowledge -- is that a woman is told that she has an
6 HPV infection, and she has what she is told a very
7 bad pap, and there is some follow-up, and she gets her
8 HPV infection results, and then has some worry about
9 the commonly known association with cervical cancer.

10 But I would still put forth the
11 perspective from our consumer group that a vaccine
12 that is either approved preliminarily through
13 accelerated approval, or finally through final
14 approval based on its ability to prevent either
15 infinite infection or persistent infection, isn't
16 really making that much of a difference in women's
17 lives.

18 And obviously ideally the real difference
19 would be to prevent those cases of cervical cancers
20 that have the possibility of killing women. But we
21 are as aware as you of the long, long time that it
22 would take for the need to do it in a country where
23 resources are so low that that is almost all that you
24 can measure.

25 Probably the best -- from our perspective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the best way to go in what would be potentially a
2 multi-country trial, possibly involving the United
3 States, is the point at which -- having the endpoint
4 of the vaccine prevention trial be the point at which
5 most women would face treatment if this vaccine never
6 came into use in the country in which it is being
7 tested.

8 We all heard that most women automatically
9 face the definitive treatment if they are diagnosed
10 with CIN-2/3 or HSIL, and in well-insured women in the
11 United States, many women are getting a lot more
12 treatment that has been pointed out a couple of times,
13 in follow-up studies and treatments that they probably
14 don't need.

15 And I recognize that a well-intentioned
16 person could make a strong argument for having an
17 endpoint being earlier at the LSIL point, or the CIN-1
18 point. I think we would probably not want to sort of
19 cave in to the fact that there is a lot of over-
20 treatment and over-use of repeat testing in the United
21 States, and push the endpoint back earlier just
22 because that is the reality in the United States. But
23 it is not the appropriate reality, even though it is
24 the reality.

25 And I also wanted to comment on something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I thought I heard inside, is that there may be a
2 context in which the FDA has asked you all to come and
3 work hard, and think hard, and give advice, which
4 might lead to you recommending an endpoint which 2 or
5 3 years from now doesn't exist anymore in the United
6 States because of looming guidelines that may be
7 issued by primary care groups, who you may think may
8 be posed to recommend treatment long before any of
9 these endpoints come into play.

10 I would argue from the consumers'
11 perspective that it is the FDA and its own
12 deliberative process that gets to the true public
13 health benefit of treatment, drugs, devices, and
14 preventive vaccines, more than the specialty societies
15 with their day to day contact with people who are
16 already being treated or are suffering from late-stage
17 disease.

18 That this is the one place we have as a
19 society to bring in the balances and checks that help
20 us have a conversation about what the product in the
21 end can really make the most difference in women's
22 lives.

23 So those are the thoughts that we wanted
24 to share with you, and we appreciate the opportunity
25 to do so; and if anyone wants to ask me a question,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're welcome.

2 CHAIRMAN DAUM: Thank you very much, Ms.
3 Pearson.

4 MS. PEARSON: You're welcome.

5 CHAIRMAN DAUM: Our next presenter is --

6 DR. HILDESHEIM: I have one or two
7 comments.

8 CHAIRMAN DAUM: We will permit one or two
9 comments. We don't usually do that in open public
10 hearings.

11 DR. HILDESHEIM: I just wanted to state
12 that I am with the National Cancer Institute and we
13 are sponsoring one of the trials, which is publicly
14 financed, and we share your desire for open sessions.

15 And our trial is open and you are welcome
16 to have any information that you would like of the
17 protocol and details you might want.

18 MS. PEARSON: Thanks.

19 CHAIRMAN DAUM: Thank you very much, Ms.
20 Pearson. Our next speaker is Ms. Karen Forschner, a
21 representative or member at least of the Lyme Disease
22 Foundation, who has some comments on LYMERix vaccine,
23 and has asked and been budgeted for between 6 and 10
24 minutes. Ms. Forschner, welcome.

25 MS. FORSCHNER: Thank you for having me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 today. I think most of the committee members have a
2 copy of the statement. What you will be receiving is
3 each of the exhibits sometime in the next week from
4 Nancy Cherry, I believe.

5 And she will be making copies for you that
6 go along with this. I am Karen Vanderhoof-Forschner,
7 a mother whose child was born with, handicapped by,
8 and died from Lyme disease.

9 In 1988, before he died, I co-founded the
10 Lyme Disease Foundation with a team of distinguished
11 leaders who trailblazed into the world unaware of Lyme
12 disease, and within two years, made Lyme disease a
13 household term.

14 The LDF has always fostered vaccine
15 development, and we have always appreciated the value
16 of vaccines in preventing terrible illnesses. My son
17 had received all his childhood vaccines. My daughter
18 is current in all of her vaccines.

19 My aunt, who suffered from polio, could
20 have had a much richer life if there was a vaccine
21 that she had taken. I take the flu vaccine every
22 year, and our pets have always been fully vaccinated.

23 And many of you may remember me from the
24 1998 vaccine meeting, where LYMERix was approved for
25 us. I am back. Based on the new data that we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 seen in the last 6 months, and the data that you will
2 be receiving later, I believe that the OspA-Vaccine
3 represents an imminent and substantial hazard to the
4 public health, and needs to be immediately recalled.

5 I believe that the vaccine process has
6 been seriously flawed. Information has been withheld
7 from the vaccine advisory committee, and possibly the
8 FDA, and that experts that could have helped provide
9 information were never invited to participate, enough
10 to compromise all of the trial data, and even to cast
11 doubts on the integrity of the investigators.

12 Please take this as a clear warning to
13 you, the FDA, and the vaccine advisory committee, that
14 we are asking you to demand that the manufacturers
15 fully complete all safety and efficacy studies and
16 never again let them promise you a study tomorrow for
17 your approval today.

18 The FDA's decisive action is important to
19 pull this from the product. Let me cover several
20 sections that I believe are important. As you know,
21 there has been great concern about the OspA vaccine
22 having a cross-reactive effect to certain genetically
23 vulnerable populations.

24 In May of '95, even the principal
25 investigator in the vaccine stated that he felt a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 small number of people in the vaccine were having
2 vaccine related adverse reactions.

3 In '98, he published finding the actual
4 potential autoantigen to the OspA-vaccine. There was
5 a meeting in January of this year, an excellent
6 meeting, to take a look at the safety.

7 Unfortunately, and in cases of adverse
8 events related to the vaccine were published and
9 presented at scientific meetings. What you didn't
10 know was that in the fall of '99, scientists that were
11 involved in trials found that they modify the
12 polypeptides in the OspA-vaccine and knew exactly
13 which ones to modify to reduce side effects that could
14 be attributed to the vaccine, and then patented this.

15 The patent was on the web and you can see
16 the genetic codes that they modified, and you can see
17 the test that they performed, comparing regular OspA-
18 vaccine to their new modified, safer vaccine.

19 And indeed in the patent it says there
20 exists an urgent need for an improved vaccine for the
21 prevention of Lyme disease, and they were able to show
22 that the OspA-vaccine causes increased self-binding,
23 increased human T-cell proliferation response,
24 increased cytokine production compared their safer
25 vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I believe at this point the theory is
2 ended and we now know that there is a threat. There
3 are also violation entries or violations of entry
4 criteria.

5 Instead of healthy people as an entry, and
6 then the exclusion of those having associated joint
7 swelling and musculoskeletal problems, which indeed
8 they enroll people in the study within six weeks,
9 about 20 percent of those people are in violation to
10 the entry criteria.

11 This includes people with osteoarthritis,
12 clinical depression, multiple sclerosis, Parkinson's
13 Disease, abnormal movement disorders, and the list
14 goes on.

15 The concern we have is that by a 20
16 percent violation, which has as far as we know not
17 been reported to IRB, or to the patients themselves,
18 you put a vulnerable population at risk.

19 I also included in this a sample of some
20 of the people, their prior history, and what was
21 attributed to the vaccine or not. Anyone that had a
22 prior history of any musculoskeletal problems that
23 then had a problem during the vaccine process, it was
24 declared not related.

25 The only one that I could find in an FOI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was a woman who had menopause, and at that point her
2 adverse reaction was attributed to possibly the
3 vaccine. There are serious concerns from the FDA data
4 on the protocol itself, and on how the data was
5 reported.

6 According to SmithKline, there are two
7 people with neurologic Lyme disease that came out of
8 this study. Unfortunately, they had serious flaws,
9 and they had the right to choose, to decline to do
10 spinal taps, and EMGs on patients, and without that,
11 the patients that had neurologic Lyme could not be
12 categorized as definite Lyme.

13 So of those two that were reported as
14 having Bell's palsy, there happened to be an
15 additional 414 that were all of a sudden reported that
16 still don't show up on the slide shows and
17 presentations that are given.

18 The problem that SmithKline said was that
19 they found that they had not included a code for
20 facial nerve disorder, and therefore, they weren't
21 reportable. And for those that did have Bell's palsy,
22 they decided to report them only if they had an EM
23 rash at the same time.

24 Through the FOI, we found repeated
25 problems, including the fact that there was a patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 diagnosed with meningoencephalitis that did not
2 receive a spinal tap, and received oral medication
3 which is outside the standard of care for this
4 protocol.

5 There was a patient that was in the
6 hospital with diagnosed Lyme meningitis, and a spinal
7 tap was performed and not tested. Those people were
8 not afforded. Indeed, there was an analysis of those
9 with the Western positive versus Western block
10 negative that showed those who were Western block
11 positive had an increased incident of late adverse
12 events, including skin and appendage disorder,
13 musculoskeletal system disorder, central and
14 peripheral nervous system disorders, autonomic nervous
15 system disorders, psychiatric disorders,
16 gastrointestinal disorders, white cell and RES
17 disorders, and resistant disorders.

18 This information did not make the package insert.

19 There are people that I am suggesting for
20 any other vaccine advisory committee when the next
21 generation of Lyme vaccine comes along, and I am
22 concerned that the vaccine committee who I have called
23 members that were on as expert witness in January were
24 unaware of any of this data that I presented to you so
25 far.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They were also unaware that the pediatric
2 data was available, and that the Connaught vaccine
3 data was available. They were unaware that we had
4 been told, the Lyme Disease Foundation, that there was
5 a Harvard study -- not the Harvard Pilgrim study, a
6 study that was done earlier that showed some of these
7 adverse events, and whether or not they were related,
8 and it has not been published, and it has not been
9 presented.

10 In July, after this meeting, we found a
11 press clipping where GlaxoSmithKline indicated that
12 they were about ready to start another Phase III trial
13 with 10 to 15,000 people. New York had legislation
14 introduced to mandate this vaccine for all the
15 pediatric population in the State.

16 OSHA was working on a mandate, and there
17 was a mandate in the Federal Government for
18 legislation for Medicare to cover the vaccine. Even
19 the fundamental rule of a vaccine and how it works is
20 not even correct.

21 As you know the vaccine works by your
22 immunonized blood going into the tick. If you read
23 the study which I have presented in the packet, it
24 takes the tick 4 days of feeding, and 10 days of
25 sitting before the bacteria is eliminated in the tick.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It takes 2 to 3 days to transmit the
2 disease to you. So the method of action that is
3 publicized and in the package insert by the own
4 publication that it references, doesn't work.

5 I am concerned, too, that there was blood
6 taken out of this trial and patented for personal
7 profit, and for other people that were not in the
8 trial, and I am concerned about our ability to get FOI
9 information from the FDA, which is heavily redacted.

10 However, I would like to say that Karen
11 Mittune -- and I don't know if she is here, or if I am
12 even saying her name right -- had an incredibly tough
13 job, and from the paper trail that we saw, every day
14 was busy trying to protect the public interest in this
15 material.

16 It was an extraordinary effort, and I am
17 telling you that I am glad that I am paying her salary
18 with my tax dollars, and I would gladly raise my tax
19 dollars if you guys would give her a raise and more
20 power. I am not done. One second.

21 CHAIRMAN DAUM: I think we all share that
22 view.

23 MS. FORSCHNER: In conclusion, I believe
24 that it is now time to recall the vaccine. If anyone
25 wanted a vaccine it would be me, and if anyone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 believes that this vaccine, based on the science and
2 not emotion, is not fit for consumption it would be
3 any of us that are in the community, the scientific
4 community.

5 I believe that the FDA and the Vaccine
6 Advisory Committee should never ever let a
7 pharmaceutical get away with promising studies
8 tomorrow, for an approval today, and what I call the
9 Whimpy effect, which if you remember him from Popeye
10 was constantly promising to pay tomorrow for the
11 hamburger today.

12 I would thank you for the time speaking
13 today, and I hope that you can take this under
14 advisement as a committee and as an FDA. Thank you.

15 CHAIRMAN DAUM: Ms. Vanderhoof-Forschner,
16 we thank you, and our third speaker --

17 MS. FISHER: Dr. Daum, I would like to
18 make just a comment. As a consumer representative, I
19 really feel like I need to make the comment if I
20 could.

21 CHAIRMAN DAUM: Well, we have
22 representatives from all different factions, Ms.
23 Fisher. Why does that make you any different?

24 MS. FISHER: You allowed a comment on the
25 last statement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Please make your comment.

2 MS. FISHER: Thank you. As I said, as a
3 consumer representative, I think I do need to make a
4 comment. I know Karen Forschner, and the work that
5 she has done for many years to promote the development
6 of a safe and effective Lyme disease vaccine that
7 would prevent other children from dying like her son
8 did.

9 And I don't think that she would be coming
10 forward here today if she did not have good evidence
11 about the licensed Lyme vaccine and that it was
12 hurting people.

13 Her assertion in this document, which I
14 only saw a couple of minutes ago, unfortunately, that
15 there was an application for a patent for Lyme disease
16 vaccine filed in March of 2000 that indicated that
17 there is a population of individuals who are
18 genetically at risk for developing autoimmune after
19 vaccination is a very serious assertion.

20 And if this was known nearly 2 years ago,
21 then the FDA and this Committee should have been given
22 the information so that at the very least there could
23 have been a labeling change made, because in the last
24 two years there have been many people who have gotten
25 the vaccine, and they could have been given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information that they were genetically at risk for
2 having a reaction.

3 And I don't know at this point what
4 procedure is followed, but I think that the committee
5 does need to reconsider all of the information so that
6 we can potentially do something about it.

7 CHAIRMAN DAUM: Thank you very much, Ms.
8 Fisher. Our third and last to my knowledge speaker
9 for the open public hearing is Mr. Sheller, of the law
10 firm of Sheller, Ludwig & Badey, who has asked to
11 speak to the Committee also about Lyme disease
12 vaccine, I believe, for 5 minutes. Mr. Sheller,
13 welcome.

14 MR. SHELLER: Yes, thank you. I might
15 mention that I am somewhat familiar with the other
16 issues, the gynecological issues, that you are talking
17 about, and I might suggest to the committee unrelated
18 to my comments on LYMERix, but related, that you
19 should consider calling for your own advice Dr.
20 Charles Magnan, a gynecological oncologist.

21 And my background is that my wife did the
22 original logo for the gynecological oncology surgery
23 group. And John Macuda. I think they have some
24 opinions on this, because we have talked about this,
25 and I think you need to start to look at bringing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 outside people in and not just those that the FDA
2 presents on their agenda.

3 I think you will get some really good
4 information from these people. Those are top surgeons
5 in gynecological oncology from Philadelphia. They
6 have an opinion on this, and would love to help you
7 with it.

8 Let me get on with my comments. On
9 January 31st of this year, I was privileged to have
10 the opportunity to address this Committee to discuss
11 the numerous serious adverse reactions that have been
12 experienced by individuals vaccinated with
13 SmithKline's (sic) vaccine, LYMERix.

14 At the conclusion of that meeting, many of
15 you made serious significant and substantial
16 recommendations to the FDA to help better inform the
17 medical community and protect the general public from
18 the potential serious risks of this vaccine.

19 Now, 10 months later, the FDA has yet to
20 implement any of these recommendations, nor has the
21 manufacturer taken heed of the committee members'
22 admonitions regarding both the safety and efficacy of
23 LYMERix.

24 The circumstances surrounding FDA's
25 approval and continual endorsement of this vaccine are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now becoming disturbingly reminiscent of the case of
2 Lottronex, another GlaxoSmith (sic) product which was
3 recounted in a commentary in the May 19th, 2001 issue
4 of the journal, Lancet, entitled, "Lotronex and the
5 FDA: A Fatal Erosion of Integrity."

6 It was noted that in the case of Lotronex
7 that private communications appear to have subverted
8 official procedures, while suppressed scientific
9 debate has superseded a full and open review process.

10 The FDA's and FlaxoSmithKline's failure to
11 act upon your recommendations is even more troubling
12 given the information that has come to light in the
13 past 10 months, much of which was known at the time of
14 your hearing in January, and even at the time of the
15 hearing in 1998, and not brought to your attention.

16 Let me bring to your attention the fact
17 that there in the Journal of Rheumatology, in the
18 November 2001 issue, a case report series by Dr.
19 Carlos Rose, and Paul Fawcett, and Kathleen Gibney, at
20 the Alfred DuPont Hospital for Children, confirming
21 the adverse reactions of arthritis caused by this
22 vaccine.

23 You can read the article if you haven't
24 read it already. Dr. Fawcett and Dr. Rose offered to
25 come to this FDA meeting, and offered to come to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA and talk to Dr. Mittune and to whoever they want,
2 as did Dr. Donald Marx, M.D., Ph.D., head of the
3 Connaught Research Study, as did Dr. Schell, and as
4 did numerous other medical professionals who know as
5 much as anybody in the world about this LYMERix
6 vaccine.

7 The FDA refused to meet with them. I
8 think that is disgraceful. Now, I don't know what
9 their reasons are, but that has got to stop, and it is
10 up to this committee not to be manipulated into just
11 accepting the material which is put in front of their
12 nose and having the people come before them that the
13 FDA's representatives has chosen to allow you to hear.

14 Now, let me take you back a step, and I am
15 skipping over the statement. You can read a lot of
16 it. Some of it comes from the New England Journal,
17 and I recommended that some of those people should
18 have been called in here.

19 And you ought to ask why Dr. Steere didn't
20 come in and tell you why he is not getting the
21 vaccination himself. Interesting, isn't it? He lives
22 in Boston, I think, and I think he visits Cape Code
23 I would assume.

24 Now, let me take you back. The FDA has a
25 study of the risk of LYMERix, which continues to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proceed at a slower than a snail's pace, and although
2 I was unable to attend the American College of
3 Rheumatology meeting this month in San Francisco, I
4 understand the presentation of Dr. Platt's Phase IV
5 cohort study of LYMERix continues to suffer from low
6 enrollment, well below the 25,000 vaccinee target
7 established by the FDA, and shows no signs of
8 acceleration.

9 The FDA's own study of a small portion of
10 the vaccine adverse event reporting system reports,
11 initially discussed by Dr. Robert Ball of the FDA at
12 the January 31st meeting, continues to raise serious
13 questions.

14 Initially the study only appears to be
15 looking at reports of arthritis and arthralgia, and
16 not the non-specific pain syndromes and developments
17 of Lyme disease-like symptoms, including neurological
18 conditions such as Bell's palsy, optic neuritis, and
19 acute transverse myelitis.

20 We have heard from numerous individuals
21 who experienced these symptoms shortly after
22 vaccination with LYMERix. In fact, you heard that a
23 large group of them come in here on January 31st, and
24 I can tell you that several of them have gotten worse
25 and none have gotten better.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 However, this large population of adverse
2 reactions is apparently being ignored at this time.
3 The FDA has reportedly identified 415 VAERS reports
4 which are coded as arthralgia or possibly arthritis.

5 However, as of the Rheumatology convention
6 in mid-November of this year, they had only completed
7 the interviews of 49 of these people, and had complete
8 medical records only 31 of those 49.

9 Therefore, even the very limited study of
10 this small arthritis subgroup was proceeding very
11 slowly. However, despite these problems in the study
12 design and implementation by the FDA, it nevertheless
13 identified out of these 31 people on whom they have
14 complete interviews and collected full medical
15 records, 14 with physician-diagnosed definite
16 arthritis.

17 According to the FDA, 7 of those 14 cases
18 of physician diagnosed definite arthritis could not
19 plausibly be attributed to any other cause or
20 concomitant condition other than LYMERix. Nothing is
21 in the label about this.

22 Now, I can go on. There were other cases
23 they identified, and they were eliminated possibly
24 because they had some familiar history of the immune-
25 mediated disease or inflammatory arthritis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These seven people also may very well
2 constitute cases of LYMERix induced arthritis, which
3 would bring the incidence rate of arthritis of 45.2
4 percent, 14 of 31 completed interviews with records;
5 and projected out to a total of 187 cases of LYMERex
6 induced arthritis for this small group of 415 reports.

7 That would present much higher numbers
8 than those which prompted Dr. Wayne Ray to make his
9 comment back in January of the unusually high number
10 of adverse reactions in VAERS reports that he found
11 that is a red flag, and I am quoting his words, "red
12 flag."

13 When one considers the generally accepted
14 notion that as few as 10 percent of all adverse
15 reactions are ever reported, together with the fact
16 that FDA has excluded from its study the Lyme disease
17 like adverse reactions which have actually been
18 reported, and the fact that many of the individuals
19 who have reported adverse reactions have never been
20 contacted.

21 And I keep writing to the FDA on how come
22 you have not contacted most of my clients, like 95
23 percent of them. It is clear that the results of this
24 study will grossly understate the actual occurrence of
25 serious and severe adverse reactions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In fact, beyond the issue of arthritis and
2 Lyme-like symptoms, I am aware of several individuals
3 who have experienced crippling acute transverse
4 myelitis, ALS-like symptoms, and other de-myelinating
5 syndromes which were undoubtedly triggered by the
6 immune response to the OspA.

7 In light of the questionable and short-
8 term efficacy of the vaccine, according to the
9 manufacturer's own principal investigator, a vaccine
10 which poses such risks should not be on the market.

11 And to the extent that the FDA is taking
12 the position that individuals with a familial history
13 of immune-mediated disease or inflammatory arthritis,
14 and prior history of physician-diagnosed Lyme disease,
15 cannot have their post-LYMERix arthritic symptoms
16 accurately diagnosed, and at the very least LYMERex
17 should be contraindicated for such people because of
18 that.

19 The FDA's failure to bring the critical
20 information outlined in this submission to the
21 attention of the committee, and the substantial flaws
22 in the FDA's own study of VAERS reports, and the FDA's
23 failure to insist that GlaxoSmithKline comply with its
24 Phase IV safety surveillance obligation, or withdraw
25 the LYMERix until such compliance is achieved, raises

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the specter of the subversion of official procedures
2 and suppression of scientific debate complained of in
3 the Lancet this year in May.

4 As is demonstrated in that article, the
5 FDA essentially sacrificed the credibility and
6 integrity of CDER to accommodate the wishes of
7 GlaxoSmithKline for Lontronex. I fear the same may be
8 happening here, and I would commend to you that there
9 is a representative of NCI here.

10 And I was heavily involved in this NCI
11 report that issued yesterday on smoking, and light,
12 and low-tar cigarettes. I was the guy who discovered
13 the documents that led to this report, and I can tell
14 you that they have a much more open process.

15 Much more open. They bring in people from
16 all over to get information. Judy Wokenfell from the
17 FDA, who is now retired, she was terrific. She didn't
18 wait. She asked for anybody that had information to
19 come into the FDA and talk to them, and bring them the
20 documents, and bring them what they had to know, and
21 that was on February 3rd of 1999.

22 Don Shopplip, from NCI, what he did, he
23 was at those meetings, as was NCI and FDA people, and
24 that is what you should be doing as this committee.
25 You need to hear from the experts and others who have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information, and not just those who the FDA vaccine
2 people want to put in front of your nose. Thank you.

3 CHAIRMAN DAUM: Thank you very much, Mr.
4 Sheller, and in the spirit of fairness, we will offer
5 one comment if there needs to be one from a committee
6 member or sponsor. Okay. Thank you very much.

7 So I think in terms of addressing the FDA
8 questions that are the agenda of the meeting today, we
9 have made a lot of progress, and I think we are
10 prepared to explore tomorrow morning issues which we
11 are uncertain about, and then move on to hearing from
12 each temporary voting member and committee member
13 about their views on the two FDA questions.

14 We will also have an open session on the
15 laboratory of bacterial toxins here at FDA, and I have
16 arranged for that review and discussion to follow our
17 completion of discussion on the two questions related
18 to HPV.

19 So I am hoping to start promptly at 8:30,
20 and that everybody will be bright-eyed and ready to
21 go, and thank you very much for you participation and
22 comments today.

23 (Whereupon, at 5:08 p.m., the Open Session
24 Meeting was concluded.)

25

CERTIFICATE


This is to certify that the foregoing transcript
in the matter of: VACCINES AND RELATED BIOLOGICAL
PRODUCTS ADVISORY COMMITTEE

Before: FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION
AND RESEARCH

Date: NOVEMBER 28, 2001

Place: HOLIDAY INN
8120 WISCONSIN AVENUE
BETHESDA, MARYLAND

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

A handwritten signature in cursive script, reading "John Mangoven", is written over a horizontal line.