

1 any risk.

2 So this is the same reentry algorithm that
3 has been described already today. The idea is to
4 obtain a followup sample and test it with either the
5 same or an alternate anti-core assay. If the sample
6 is again repeat reactive, then the donor remains
7 indefinitely deferred.

8 But if the followup sample is not reactive
9 with the alternate or anti-core assay, then that
10 followup sample would also be subjected to HBV DNA
11 testing with the sensitive NAT assay. And if the NAT
12 test is reactive, the donor would be -- remain
13 deferred. If the NAT test is non-reactive, the donor
14 could be reentered.

15 And the required sensitivity that has been
16 proposed is less than 10 copies per mL. So now I want
17 to talk about the COBAS AmpliScreen HBV test, which is
18 currently under review. The test has two sample
19 preparation methods -- a standard method which is used
20 for individual samples and has a 200-microliter sample
21 input, and that has a sensitivity that is a 95 percent
22 limit of detection at 16 international units per mL,
23 which is about 80 copies.

24 And then, the MultiPrep method, which is
25 used for pooled samples, which has a larger sample

1 input of one mL, and uses a high-speed centrifugation
2 step, to concentrate the virus. And then, after that,
3 the steps are essentially the same as the standard
4 specimen preparation method. And that has a more
5 sensitive limit of detection at 4.4 international
6 units per mL, which is about 22 copies, which is still
7 not as sensitive as the proposed requirement for using
8 that in a reentry algorithm.

9 So what we tried to do is identify a
10 method to lower the 95 percent limit of detection to
11 under 10 copies per mL, with minimal procedural
12 changes.

13 So at such low titers, the sensitivity of
14 a test is significantly affected by the limitations of
15 sampling. So if you take a one mL sample that might
16 have three detectable DNA molecules in it, and apply
17 the MultiPrep procedure, so centrifuge it and then
18 eventually recover the sample in 200 microliters, the
19 PCR test is done on 50 microliters of this material.

20 So the 50 microliter sample that is tested
21 may not contain any of the targets. So a non-reactive
22 result might be observed, even though there were some
23 targets in the sample. So low titer samples can
24 appear negative, just due to sampling error.

25 And you can increase the odds of detecting

1 that just by testing more samples. So if we start
2 with the same sample that's recovered in 200
3 microliters, but then use three aliquots of it into
4 three separate PCR reactions, it's likely that at
5 least one of the three aliquots will contain one of
6 the targets.

7 So in this cartoon, two of the three
8 aliquots contained the target and were reactive, and
9 the interpretation of the result is that if at least
10 -- if one or more of the tests are reactive, the
11 sample is positive. And if all tests are negative,
12 then the sample is considered negative.

13 So we can do some calculations. As I
14 stated, the limit of detection of the test is 4.4
15 international units per mL. That's the concentration
16 that's detected 95 percent of the time. So using
17 Poisson distribution, one can calculate what the hit
18 rate would be for lower concentrations.

19 So a 65 percent hit rate, for example,
20 would be observed on a concentration of 2.4
21 international units per mL, which is about 12 copies
22 per mL. So just looking at the statistics, if one had
23 samples of 12 copies per mL, and did -- and analyzed
24 one sample and conducted three PCR reactions on it,
25 and considered the result to be positive if at least

1 one of the three were reactive, then the sensitivity
2 would improve from 65 percent to almost 96 percent.

3 And this just illustrates that the
4 probability of getting a reactive result at 12 copies
5 per mL with the test is 65 percent. So if you just do
6 one test, you can pick it up 65 percent of the time.
7 But if you do two more replicates, then you have a
8 much greater chance.

9 You have eight possible outcomes. Seven
10 of them are reactive. And if you add up all of the
11 probabilities, you have a 96 percent chance of picking
12 it up, and only a 4 percent chance of missing it.

13 So we tested these calculations out with
14 the study, and the experimental design was to take the
15 HBV international standard and make dilutions to 30,
16 10, 3, and 1 copies per mL, and then to do 40 -- to
17 analyze 40 replicates of each of those levels. And on
18 each replicate one one mL aliquot was extracted, and
19 three PCR reactions were conducted.

20 So I don't expect you to read this, just
21 look at the colors. These -- this table shows all --
22 the results on all 40 samples at 30 copies per mL, and
23 there's two columns for each replicate. One shows the
24 target result, and one shows the internal control
25 result. But what you should look for is where the red

1 is, so the red indicates a non-reactive result.

2 So out of the three replicate tests done
3 on the 40 samples there were a few non-reactive
4 results, but all 40 samples had at least one positive
5 result. So the overall cumulative detection rate was
6 100 percent.

7 And then, at 10 copies per mL, again, all
8 40 samples had at least one of the three replicates
9 detected. So the cumulative detection rate at 10
10 copies per mL was also 100 percent.

11 At three copies per mL, we're starting to
12 see, again, that the sampling issue prevents many
13 samples from being detected. But 29 out of the 40
14 still had at least one of the three replicates
15 positive for a cumulative detection rate of 72-
16 1/2 percent.

17 And at one copy per mL, 10 of the 40
18 samples had at least one test result positive for an
19 overall detection rate of 25 percent.

20 So if you take this data and use Probit
21 statistics to determine the 95 percent limit of
22 detection, it would be six copies per mL.

23 So the conclusion is that using the
24 MultiPrep specimen preparation method on one aliquot
25 per sample, and doing three PCR reactions on each

1 aliquot, and calling a sample positive if one or more
2 of the reactions are reactive, then the cumulative 95
3 percent limit of detection for the COBA sample screen
4 test is under 10 copies per mL calculated to be six
5 copies per mL.

6 So if a followup sample from a deferred
7 donor was non-reactive with an alternate anti-HB core
8 test, coupled with a negative test result with a
9 highly sensitive NAT test, this should provide
10 sufficient data to safely reenter donors who were
11 previously deferred.

12 And I'll be happy to take questions.

13 ACTING CHAIRMAN ALLEN: Thank you.

14 Dr. Hollinger.

15 DR. HOLLINGER: Yes. I'm just a little
16 confused. Is there -- why is it that it doesn't work
17 with the 200 microliters? Why don't you just test the
18 200 microliters which has all the particles in it,
19 instead of doing three replicates? What am I missing
20 here?

21 DR. HERMAN: The reaction can't
22 accommodate that large a volume. So I can't take the
23 200 microliters of the extracted sample and put it all
24 into the PCR reaction. The PCR reaction is designed
25 -- that would be developing a whole new assay.

1 DR. HOLLINGER: I thought initially you
2 showed that you had looked at 200 microliter samples,
3 though, in the very first slides. And you looked
4 at --

5 DR. HERMAN: Oh, no. Let me go back to
6 the -- we have two different sample preparation
7 methods -- one that uses one mL plasma, and it's a
8 more sensitive method but it's less convenient because
9 it requires this high-speed centrifugation step. And
10 when you do the high-speed centrifugation step, and
11 then extract the pellet, the pellet -- the recovered
12 -- DNA is eventually recovered in 200 microliters of
13 an assay reagent with specimen diluent.

14 And then, 50 microliters of this material
15 can be brought into PCR reaction. Regardless -- and
16 with the standard sample preparation method, one
17 starts with 200 microliters of sample, skips the
18 centrifugation step, and just extracts that whole
19 volume. But that still gets recovered in 200
20 microliters of specimen diluent.

21 With both methods you end up with a
22 recovered DNA in 200 microliters, and only one-fourth
23 of it -- 50 microliters -- can get into the PCR
24 reaction.

25 Does that clear it up? Maybe --

1 DR. HOLLINGER: Thank you.

2 DR. HERMAN: We have things that we've
3 thought about to do large volume PCR reactions. With
4 infectious disease testing, the -- one of the main
5 limitations is, how much sample can you get into a
6 test? And one way of doing that is to make better
7 sample processing methods that can concentrate big
8 plasma samples into very small volumes, and there are
9 many factors that limit that.

10 And the other is to make a really giant
11 PCR reaction, and there are factors that limit that
12 also.

13 DR. HOLLINGER: Just for information, how
14 fast are you spinning this down?

15 DR. HERMAN: It's 23-1/2 thousand G's, I
16 believe.

17 DR. HOLLINGER: For just an hour?

18 DR. HERMAN: For an hour.

19 DR. HOLLINGER: And that's in serum?

20 DR. HERMAN: That's in plasma.

21 DR. HOLLINGER: I mean, plasma.

22 DR. HERMAN: It doesn't pellet 100 percent
23 of all the virus particles.

24 ACTING CHAIRMAN ALLEN: Other questions or
25 comments?

1 Okay. Thank you very much, Dr. Herman.

2 The schedule now has us moving to our open
3 session. We're going to actually modify our agenda
4 very slightly. Earlier this morning we did not have
5 the presentation of plaques and recognition of the
6 BPAC members who are -- for whom this is the last
7 formal meeting, let me put it that way, and we will do
8 that now.

9 We will then have a break of 15 minutes
10 and come back and move into our open hearing.

11 So, Dr. Epstein.

12 DR. EPSTEIN: Well, this is always a
13 bittersweet moment at our Advisory Committee meetings.
14 On the one hand, it's a very special privilege to be
15 able to thank our BPAC members for their service to
16 the committee and to the FDA, but, obviously, it's a
17 sad moment when we have to ask those people to step
18 down because they've completed a term of service.

19 We value greatly the advice that we
20 receive from the Blood Products Advisory Committee,
21 and we're fully aware that it requires a very special
22 effort to digest the materials that we send to you and
23 to pay close attention during the course of our very
24 detail-oriented meetings.

25 And so it's my pleasure, my privilege, and

1 with sadness, to thank these specific persons. Dr.
2 Kenrad Nelson, who completed not just a term of
3 service at the last meeting but also his tour as
4 Chairperson; Dr. Jonathan Goldsmith, committee member;
5 Dr. Michael Strong, who has been our member as a --
6 the industry representative; and Dr. Charlotte
7 Cunningham-Rundles, also a voting member.

8 So if each of these people would come up
9 in turn, I'll be happy to award a certificate and a
10 plaque as a token of our appreciation for all your
11 effort on our behalf.

12 Okay. First, Dr. Nelson.

13 (Applause.)

14 And did you have the photographer ready?

15 Okay. Notice this spontaneous setting.

16 Okay. Next, we'd like to thank Dr.
17 Charlotte Cunningham-Rundles.

18 (Applause.)

19 Okay. Next, we'd like to thank Dr.
20 Jonathan Goldsmith.

21 (Applause.)

22 Now, Dr. Michael Strong, thank you.

23 (Applause.)

24 So perhaps one round of applause for
25 everyone together.

1 (Applause.)

2 So, Jim, do we get our break now?

3 ACTING CHAIRMAN ALLEN: Yes. We'll take
4 a 20-minute break. Please be back here at, well, 10
5 minutes after -- 10 minutes after 11:00.

6 (Whereupon, the proceedings in the
7 foregoing matter went off the record at
8 10:50 a.m. and went back on the record at
9 11:14 a.m.)

10 DR. SMALLWOOD: We're in countdown mode.

11 Dr. Allen?

12 ACTING CHAIRMAN ALLEN: Thank you. We
13 will now move into the open public hearing. Just got
14 new stuff put on my papers here, so I -- I've got two
15 speakers who want to speak at the -- on the reentry of
16 anti-HBC donors, Dr. Andrew Heaton and Dr. Steven
17 Kleinman. I need to, first of all, read the open
18 public hearing statement.

19 Both the Food and Drug Administration and
20 the public believe in a transparent process for
21 information-gathering and decision-making. To ensure
22 such transparency at the open public hearing session
23 of the Advisory Committee meeting, FDA believes that
24 it is important to understand the context of an
25 individual's presentation.

1 For this reason, FDA encourages you, the
2 open public hearing speaker, at the beginning of your
3 written or oral statement, to advise the committee of
4 any financial relationship that you may have with any
5 company or any group that is likely to be impacted by
6 the topic of this meeting.

7 For example, the financial information may
8 include the company's or group's payment of your
9 travel, lodging, or other expenses, in connection with
10 your attendance at the meeting. Likewise, FDA
11 encourages you at the beginning of your statement to
12 advise the committee if you do not have any such
13 financial relationships.

14 If you choose not to address this issue of
15 financial relationships at the beginning of your
16 statement, it will not preclude you from speaking.

17 Okay. Dr. Kleinman, may I call on you
18 first, please, to present a combined statement from
19 AABB, ABC, and ARC.

20 DR. KLEINMAN: Hi, and good morning again.
21 The AABB and other blood banking organizations have
22 been working with FDA over the last several years to
23 develop an algorithm for reentry of donors who have
24 been deferred due to reactive anti-HBC results.

25 Blood banking organizations believe that

1 the proposed anti-HBC reentry algorithm will be a
2 major benefit to deferred donors, will increase the
3 number of donated units, thereby improving blood
4 availability, and will not compromise blood safety.

5 AABB, ABC, and ARC support the FDA
6 proposed algorithm and urge BPAC to endorse its use.
7 Approval of this algorithm is only the first step in
8 moving anti-core donor reentry forward. The next step
9 is for manufacturers of HBV NAT assays to work with
10 the transfusion medicine community to design and carry
11 out the necessary studies to establish that their
12 testing system can be used for anti-HBC reentry.

13 The blood banking organizations are
14 committed to this project and will provide the needed
15 donor specimens. We urge the manufacturers to
16 promptly meet with FDA, so as to devise the
17 appropriate studies to obtain an anti-core reentry
18 claim. Furthermore, we urge the FDA, under its
19 critical path initiative, to encourage HBV NAT assay
20 manufacturers to participate in pursuing this reentry
21 claim.

22 Another necessary element for anti-core
23 reentry is the availability of an FDA-licensed more
24 specific anti-core assay for routine donor screening.
25 Compared to the non-specific assays used at the end of

1 the 1980s and in the early 1990s, one such assay
2 currently exists, and the data suggest that another
3 core assay currently under FDA review may have even
4 greater specificity, thus potentially increasing the
5 yield of donors who could be reentered.

6 It is the goal of the majority of blood
7 collection agencies to move forward with anti-core
8 donor reentry soon after licensure the implementation
9 of the PRISM anti-core assay, provided that one or
10 more HBV NAT tests are approve for this purpose.

11 AABB, ABC, and ARC believe that there is
12 widespread consensus that anti-core reentry will be
13 beneficial to deferred donors and to the blood system
14 and urge that all involved parties find a way to
15 expedite its approval and use.

16 Thank you.

17 ACTING CHAIRMAN ALLEN: Thank you, Dr.
18 Kleinman.

19 Any questions or comments pertinent to Dr.
20 Kleinman's presentation?

21 Okay. Dr. Heaton.

22 DR. HEATON: GEMProbe, Incorporated and
23 Karon Corporation have submitted a biologics license
24 application for the procleics ultra blood screening
25 assay to the U.S. FDA on September 29th. Both Karon

1 and GEMProbe do support the FDA request for BPAC
2 advice on a reentry algorithm for the application of
3 HBV nucleic acid testing to allow reentry of donors
4 previously deferred for HB core antibody serology
5 tests.

6 Specifically, and in addition, Karon and
7 GEMProbe also support the AABB proposal for a
8 validation study of 3,000 recalled anti-HB core
9 deferred donors as a means to establish HPB NAT as a
10 required component in an anti-HPC deferred donor
11 reentry algorithm. The companies fully support the
12 transfusion medicine community in their desire to
13 pursue HB core reentry.

14 Thank you.

15 ACTING CHAIRMAN ALLEN: Questions for Dr.
16 Heaton?

17 Okay. Thank you.

18 Are there any other comments that anybody
19 wants to make during the open public hearing?

20 Okay. We will close the open public
21 hearing and move to the committee discussion. This
22 was stated to be -- or the next presentation was the
23 FDA perspective and questions for the committee. I
24 understand that, in fact, there will not be formal
25 questions for us to discuss. But Dr. Kaplan will

1 present the FDA perspective at this point.

2 DR. KAPLAN: Okay. So what you have heard
3 is that we have a -- we're proposing an algorithm, or
4 the Blood Committee is proposing an algorithm to
5 reentry repeat reactive anti-core donors. This
6 algorithm, at the current time, cannot be validated
7 because two big elements -- mainly one big element is
8 missing, is that the testing with a more specific
9 anti-core test of -- it's not available.

10 Sue Stramer mentioned that she is
11 conducting a trial, and that she -- she's in the
12 process of collecting that data. So basically we
13 don't have formal -- as the Chairman said, we don't
14 have formal questions for the committee. However, we
15 would like the committee to -- if they have any
16 comments on the proposed algorithm, if they can do so.

17 ACTING CHAIRMAN ALLEN: Okay. I guess we
18 don't have a slide or a piece of paper that shows the
19 formal algorithm. Well, we've got lots of paper. We
20 don't have a --

21 (Laughter.)

22 -- slide that shows the formal algorithm.

23 DR. KAPLAN: Yes. Let me see if I can
24 pull it forward.

25 ACTING CHAIRMAN ALLEN: Okay. You know,

1 we certainly heard a lot of data presented by blood
2 collection organizations. We have statements from the
3 organizations themselves in terms of the way in which
4 they would like to see this proceed. I think we've
5 got lots that we can discuss and provide guidance to
6 the FDA in terms of its moving forward on this
7 process, even in the absence of specific questions.

8 So with that as background, let me open
9 the floor to discussions, questions, comments, or
10 whatever, on the issue before us of reentry of donors
11 that test repeatedly reactive for anti-core.

12 Jonathan. Dr. Goldsmith.

13 DR. GOLDSMITH: I was just trying to
14 figure out about the impact of this whole system.
15 Have any of the blood collectors surveyed these donors
16 who were deferred to learn if they would actually come
17 back as donors again if they were reentered through
18 some kind of algorithm?

19 Do we have any information about that? Or
20 are these people who have had a test, it came back
21 negative from their point of view, and, therefore,
22 they are going to drop out of the blood donor pool
23 from that point forward? Do we have any information
24 about these people? Have they been surveyed?

25 ACTING CHAIRMAN ALLEN: And I would add to

1 that, I would be interested, in particular, from, you
2 know, the Red Cross' perspective what has been -- I
3 mean, certainly, in looking at the data that Dr.
4 Stramer presented we see a much lower return rate by
5 those donors that received that letter. Even though
6 they're invited to come back in eight weeks, we I
7 think saw a much lower return rate than was true for
8 donors who didn't get any letter of notification.

9 And I will just throw it open. Are there
10 any representatives from blood collection agencies
11 that would like to address the question that Dr.
12 Goldsmith raised?

13 Dr. Kleinman.

14 DR. KLEINMAN: Yes. I don't have a good
15 answer to your question. I don't think that that
16 specific type of survey has been done for anti-core
17 positive donors. I was going to relate a similar
18 phenomena, though, and that is for ATL deferrals that
19 occurred prior to the change of criteria.

20 Centers have tried to access donors who
21 were deferred for ALT and reinstate them, since there
22 is no longer a deferral criteria. And I think the
23 yield has been -- and I don't have any numbers, but I
24 think anecdotally the yield has been reasonably
25 satisfactory, whatever that means.

1 I mean, the centers who do it say that
2 they find it a worthwhile process, but we don't have
3 the actual numbers to help you there.

4 DR. STRAMER: Regarding a survey for anti-
5 core, we don't have that. And for ALT, for
6 reinstatement, I don't have the numbers off the top of
7 my head. But we did see higher rates for those donors
8 that were more recently deferred. The numbers that I
9 do have are for P24 antigen in which we did do
10 reinstatement, and about 30 percent of the repeat
11 reactive donors who were eligible for reinstatement
12 did return and were successfully reinstated. So for
13 P24 antigen it was about 30 percent.

14 But those -- that was automatic or more
15 proactive reinstatement closer to the time of their
16 next donation. So the question is these long-term
17 deferred anti-core donors, what would be our success
18 of getting those back?

19 But, again, as I said, if we don't do this
20 for anti-core, then we might as well not do reentry
21 for other -- any other marker, because this is clearly
22 the highest marker of why we defer donors for test
23 results. And we hear from donors -- I alone hear from
24 donors every single day about, how can they be
25 reentered for HIV, HCV, HBV? So it's definitely

1 something that the community or these committed donors
2 want.

3 ACTING CHAIRMAN ALLEN: Dr. Nelson.

4 DR. NELSON: Yes. It seems to me that
5 beyond the numbers of donors that could be captured or
6 reentered, the benefit -- there would be a benefit to
7 an individual person to know that, in fact, the test
8 was false positive, the initial screening test, and
9 that he doesn't have a chronic infection -- an
10 infection with a chronic infection, viral infection.
11 So I would think there would be some benefit to that.

12 And probably if the blood bank didn't do
13 it, if a person is asymptomatic, probably nobody else
14 would. I doubt his physician would do that. So I can
15 see some benefit to the individual person who was
16 repeated reactive on the core antibody alone. And it
17 seems to me there would be some individual donor
18 benefit from that.

19 ACTING CHAIRMAN ALLEN: I certainly agree.
20 And, in fact, the availability of more specific tests
21 probably could allow a total reexamination of the
22 testing scheme, which tests are used, and in what
23 sequence, quite apart from the reentry issue.

24 Dr. Fitzpatrick, do you want to introduce
25 yourself formally, please?

1 DR. FITZPATRICK: Mike Fitzpatrick from
2 America's Blood Centers. Two things. One is it's
3 very hard to measure the impact of reentry of
4 anything. And it's hard to measure the impact of the
5 deferred donors on other donors who have been deferred
6 for some reason.

7 But the thing that I would suggest is that
8 this would be the first step toward the next step,
9 which is a supplemental algorithm to core testing that
10 would allow us to use a battery of tests, whether it's
11 NAT or a more specific -- more sensitive core, to
12 evaluate those initial repeat reactive tests and not
13 have that initial deferral.

14 And so if we don't have the initial
15 deferral on a new donor, we don't have to worry about
16 reentry, and we're not deferring donors who are
17 eligible to donate. So I would see this as a first
18 step in the progression toward accumulating more
19 information about the tests available and the results
20 available, so that we can come up with an algorithm
21 for supplemental testing that will allow us to not
22 defer those individuals who shouldn't be deferred.

23 ACTING CHAIRMAN ALLEN: Thank you.

24 Any questions or comments on that?

25 DR. SAYERS: My name is Merlin Sayers, and

1 I'm CEO at Carter Bloodcare, which is the community
2 independent blood program for Dallas/Ft. Worth.

3 Dr. Allen, this will be an acronym-free
4 statement.

5 (Laughter.)

6 I'd like to applaud the FDA for taking
7 this approach to donor reentry. And without wanting
8 to downplay the importance of the question that
9 prompted these comments from the floor, it's not just
10 the yield of a reentry program that's important.

11 One of the issues that we are dealing with
12 is increasing incredulity on the part of donors who
13 perceive that their donor deferral flies in the face
14 of their own self-assessment of good health. And this
15 is particularly true with regards to core antibody
16 deferral.

17 These donors who dispute why they might
18 have been deferred, if we cannot confirm to them that
19 the reason they are deferred is because there is
20 genuine risk to their health, these individuals
21 essentially have become disincentives to others in the
22 community when the deferred donors, particularly these
23 core antibody deferred donors relate their experience
24 to friends, family members, and neighbors, saying that
25 they have indeed been deferred.

1 But during the deferral process, we do not
2 have anything really beyond the precautionary
3 principle to invoke to explain to them what the dual
4 core deferral might mean to their good health.
5 Reentry of these individuals, even individuals who
6 might have been deferred 10 or 15 years ago, is going,
7 to a significant extent, enable us to restore
8 credibility in the minds of deferred donors, and I
9 hope reduce the likelihood that their experience is
10 going to act as a disincentive to other would-be
11 donors.

12 So we do applaud the possibility of
13 reentry for this particular group of deferred
14 individuals.

15 ACTING CHAIRMAN ALLEN: Thank you. I
16 think the point that you make is extremely important,
17 and along with that -- with the comment from Dr.
18 Fitzpatrick, about perhaps with the right evaluation,
19 with new tests, we may never need to send out that
20 letter of deferral initially, or the letter of --
21 "There is something that's not quite right in your
22 testing mechanism. Please come back and donate again,
23 so we can retest you."

24 You know, the point being that if you take
25 healthy people with tests that have less than 100

1 percent sensitivity, and less than 100 percent
2 specificity, you are going to have some inaccurate
3 test results. The specificity being -- for a
4 perfectly health donor being the most important
5 measure there.

6 And our donors are supposed to be a
7 totally healthy population. So it -- it really is an
8 important issue in terms of the message that is given
9 to them about their health.

10 Dr. Kleinman.

11 DR. KLEINMAN: Yes. One other aspect
12 moving forward -- and that follows up on these
13 comments -- is that when we do go to a new anti-core
14 test, hopefully it will be more specific and the
15 people that we defer really will have anti-core. But
16 we still may have some false positives, even on a new
17 test. So I think if we have a reentry algorithm in
18 place, it permits our donor notification message to
19 make more sense.

20 We can say to people, "We don't know" --
21 you have these results, and if you want to check them
22 out further, if you're concerned, you can come back
23 and potentially" -- and this is for the newly-
24 identified people, you can potentially be reentered.

25 Now, we may not be able to reenter many of

1 those, but we could get a bad lot of reagent, for
2 example, and wind up deferring persons for an anti-
3 core test result that doesn't reproduce in the future.
4 So I think just moving forward it gives us the ability
5 to notify donors, and that issue that always comes up,
6 "Well, if I'm okay, why can't I donate?"

7 And we never have an answer for it, and it
8 creates cognitive dissidence in people's minds. "They
9 are telling me I'm okay, but they're telling me I
10 can't donate. That means they don't really think I'm
11 okay. They just sort of think I'm kind of okay."

12 And by at least offering people reentry
13 you can say, "We have a way of knowing -- we have a
14 way of coming back to you and giving you further
15 information." And I think that's valuable in the
16 notification message also, psychologically valuable
17 for donors, that we've gone the extra step.

18 ACTING CHAIRMAN ALLEN: Yes.

19 DR. SCHREIBER: I guess I'm a little bit
20 confused, because when we're talking about reentry,
21 we're only talking about reentry of the people who
22 were deferred, and, therefore, had two subsequent
23 repeat reactive tests and different donations.

24 80 percent of those, as Sue indicated,
25 will -- that have the first repeat reactive will never

1 show up again in the door. So they will never be
2 deferred for hepatitis B tests. So whatever you put
3 out, they will never get a message letting them know
4 what their true status of infectivity is, because they
5 will never come back to the blood center, unless you
6 go through some process to rerecruit those.

7 Sue's data showed that of those 20 percent
8 of first-time donors that came in, 88 percent were --
9 were repeatedly reactive a second time. So those are
10 the people who are deferred. And then --

11 DR. KLEINMAN: And that's with the current
12 test, because you had --

13 DR. SCHREIBER: Right, right. But still
14 -- you're still going to have a significant number of
15 people, unless you really drive that false positivity
16 rate way down that are not going to be told to come
17 back and be retested.

18 I guess the other question I had is that
19 when we talk about this reentry algorithm, and it
20 seems that it's been deferred because there is not a
21 more sensitive core antibody test, what about all of
22 those people that are still out there?

23 And if we really are talking about a
24 reentry algorithm, why wouldn't we institute it now,
25 because that test is really dependent on the

1 subsequent NAT and other test followup. And the
2 chance that they would get a second or a third -- a
3 third core antibody test would probably be really,
4 really small at this point.

5 ACTING CHAIRMAN ALLEN: Dr. Kleinman, are
6 you responding to --

7 DR. KLEINMAN: Yes. I just wanted to
8 clarify that. Maybe we didn't state it strongly
9 enough. For reentry to really work, you have to have
10 a licensed, more specific test that the blood center
11 switches to. And without trying to promote or detract
12 from any companies, we do believe that the Abbott
13 PRISM assay is that more specific test, we do think
14 that most Abbott users will switch to that test once
15 it's licensed. And we do believe that the false
16 positivity rate will go down.

17 But, I mean if you had a reentry algorithm
18 and you're using the same test you used before, it's
19 quite -- I mean, it wouldn't make sense, because
20 you're still going to be repeatedly reactive on that
21 non-specific test. Most people who are repeatedly
22 reactive are repeatedly reactive to that test over
23 time. They don't -- it doesn't go away. It's not a
24 one-time thing.

25 So, really, we need that new test, and,

1 you know, it's been under consideration at FDA for
2 quite a while, and hopefully it will be licensed at
3 some point. And then, at that point, once test
4 centers are using it, then reentry hopefully, if we
5 validated the NAT assays and have the claims, then it
6 might be a practical thing to do. But without that
7 new assay, it's unlikely that reentry would have as
8 good a yield as we would hope.

9 I don't know if that clarifies it a little
10 bit.

11 ACTING CHAIRMAN ALLEN: Dr. Epstein.

12 DR. EPSTEIN: Yes. You know, I think what
13 would be helpful to the FDA is if the committee
14 members would comment specifically on the elements of
15 the proposed algorithm and their scientific validity.
16 And they are posted here on the slide that Dr. Kaplan
17 put up, but just to highlight it it's the idea of an
18 eight-week delay. That's to allow, you know, full-
19 blown development of markers.

20 It's the idea of an offline test. In
21 other words, you don't collect a unit that might be at
22 risk before you've resolved the status. It's the idea
23 of hepatitis B done on the individual sample -- in
24 other words, ID NAT -- but with a sensitivity of at
25 least 10 copies per mL. And I think you've heard that

1 that's hard to reach, but it is feasible.

2 And that, you know, some data appeared to
3 have been -- generated less sensitive assays that, you
4 know, could be debated. And that we have dropped the
5 idea of looking at an anti-HBS as part of the reentry
6 algorithm on account of the vaccine issue, and
7 retaining the concept that you must demonstrate at
8 least some negative anti-core test, whether it's the
9 same assay or a different assay.

10 It's been explained that it's highly
11 desirable to switch the assay, because if you simply
12 use the same assay over again the likelihood it will
13 be reactive again is very, very high.

14 So I think it would help us if, you know,
15 there were specific comments on the elements of the
16 algorithm.

17 ACTING CHAIRMAN ALLEN: Thank you. We
18 will get to that.

19 Dr. Kuehnert.

20 DR. KUEHNERT: Yes. I just had a question
21 about how this would work practically. So someone
22 comes in, they're repeat reactive the first time,
23 under this algorithm they would not get any kind of a
24 letter or indication that they have a positive test.
25 Is that right? Or are they going to have some

1 indication that they have a positive test the first
2 time but can donate?

3 ACTING CHAIRMAN ALLEN: Dr. Kaplan, do you
4 want to address that question?

5 DR. KAPLAN: Yes. So, basically, a person
6 will be repeat reactive on any test, and then it will
7 be deferred about eight weeks, and then it will be
8 asked to -- a new sample will be collected. And so it
9 will be again tested for surface anti-core and NAT.

10 DR. KUEHNERT: So they would be asked to
11 come -- I guess I'm a little confused. So they'd be
12 -- they'd get a letter saying that they have a
13 positive test, and they need to come back for testing,
14 or it would be when they come back to donate?

15 DR. KAPLAN: Well, this would have to be
16 a testing at eight weeks, because they were reactive
17 twice and --

18 DR. NELSON: The person has already been
19 tested twice and found to be anti-core positive. So
20 under the current algorithm, they are permanently
21 deferred.

22 DR. KUEHNERT: Right.

23 DR. NELSON: And I guess what this is,
24 it's a -- it's to try to reenter those people are
25 permanently deferred because the feeling is that a lot

1 of permanently deferred --

2 DR. KUEHNERT: I understand that. I'm
3 just saying, subsequent now to this, not thinking
4 about people who have already been deferred, but
5 people who in the future then are repeat reactive to
6 anti-hep B core --

7 DR. KAPLAN: Okay. Basically, we are not
8 right now talking about in the future, what will
9 happen with a future license test. And that's
10 something we are developing and we are thinking about
11 it. However --

12 DR. KUEHNERT: But that will apply. If
13 you change this algorithm, that will apply to them as
14 well, won't it? What am I not understanding?

15 DR. KAPLAN: Yes. Robin wants to comment
16 on this.

17 DR. BISWAS: Robin Biswas, FDA. This
18 after somebody is repeat reactive on the initial --
19 initially. They wouldn't be deferred at that point.
20 You know, the unit, of course, would not be used. The
21 person could return and donate. I think that the
22 blood organizations said that they do get a letter, in
23 fact.

24 ACTING CHAIRMAN ALLEN: The Red Cross does
25 send out a letter --

1 DR. BISWAS: Right.

2 ACTING CHAIRMAN ALLEN: -- after the first
3 repeat reactive that invites the donor to come back
4 in.

5 Dr. Stramer, do you want to clarify that?

6 DR. STRAMER: First-time core reactivities
7 are not deferred. It's up to the blood center --
8 well, clearly, the policies of two times deferral are
9 up to the blood center. As Steve mentioned earlier,
10 some blood centers will defer after the first time
11 core reactive, because the yield on the second time is
12 so low.

13 Some blood centers do the two times
14 deferral policy and don't notify after the first time
15 core deferral -- I mean, the first time core reactive
16 and just let the donors come back and only notify them
17 based on the second deferral.

18 We do notify after the first-time deferral
19 for the reasons that these donors truly could be
20 hepatitis B, in fact, and we believe it's the right
21 thing to do. But they still can come back a second
22 time.

23 So did I help clarify that?

24 DR. KUEHNERT: Sort of. I mean, what I'm
25 asking, if this gets put into place now --

1 DR. STRAMER: Would that change?

2 DR. KUEHNERT: Yes, right. That's what
3 I'm asking.

4 DR. STRAMER: Okay. I don't think the
5 question on the table is whether the two-time core
6 deferral policy will change. I think the question
7 just is for those donors who have been core reactive
8 two times, can they be reentered using the algorithm
9 that the FDA proposes.

10 DR. KUEHNERT: That is correct. I know
11 other people -- it will just be explained to them that
12 this was a false positive test, and that now they can
13 donate?

14 DR. KAPLAN: Well, the following slide --
15 it's basically at this point people will be told that
16 they can donate, come back, they're negative, and then
17 they will be tested again on the donation. And if
18 it's negative, everything is fine. They can donate if
19 everything is negative.

20 So I don't know if you can put the next
21 slide --

22 DR. NELSON: Do you have an estimate of
23 what proportion of the people who were twice positive
24 will qualify under this?

25 DR. KAPLAN: No. Because we don't have to

1 -- we haven't seen the data yet with the PRISM, and
2 that's what Sue Stramer's data was -- would fill that
3 blank, and then let us know how -- fully validate this
4 algorithm.

5 ACTING CHAIRMAN ALLEN: We've got several
6 hands up. Dr. Strong, and then Dr. Doppelt.

7 DR. STRONG: We don't have good data on
8 that. However, if you go back to the -- several years
9 ago when the PRISM clinical trials were being done,
10 comparing current license tests with the clinical
11 trial results, there was about a 10-fold improvement
12 in specificity. So it could -- that's what got
13 everybody excited about the possibility that these
14 donors could be recovered.

15 ACTING CHAIRMAN ALLEN: Mr. Doppelt.

16 DR. DOPPELT: I was just going to say I --
17 I thought I had it straight. Now I'm -- perhaps I'm
18 confused. But in terms of fairness to the potential
19 donor, it seems to be there's a difference between
20 whether you say come back a third time and we're going
21 to retest you, to see if you can be a donor, versus
22 come back a third time and we're going to do some
23 different tests and try and sort out whether or not
24 you really have an -- you're really infectious or not.

25 So I'm a little bit confused as to what

1 information is going to be specifically relayed to the
2 potential donors.

3 ACTING CHAIRMAN ALLEN: I think that's an
4 important question to answer. And my guess is based
5 on what we've already heard from different blood
6 collection centers today that since there isn't any
7 single way of handling that first time, that this is
8 an issue that needs to either be clarified by the FDA
9 or to allow the marketplace to sort it out on its own
10 as currently has happened.

11 DR. DOPPELT: I mean, it just seems to me
12 that you are far more likely to get patients to return
13 if you tell them there is some additional testing that
14 may be done to help sort this out versus come on back
15 and try it again and let's see what happens.

16 DR. KLEIN: In the proposed FDA algorithm,
17 they are going to do a NAT test that's quite
18 sensitive. So they are, in fact, going to be doing
19 something different.

20 ACTING CHAIRMAN ALLEN: Right. And one
21 might, you know, raise the question -- and I'm sure
22 there aren't data there to answer that today, but one
23 might raise the question, if you've got a donor who is
24 repeatedly reactive the first time, if you want to
25 give them the best possible information, maybe you

1 ought to take, you know -- take the samples from that
2 first donation and subject them to these additional
3 tests instead of asking them to come back in eight
4 weeks.

5 And I understand the reason for -- you
6 know, for the eight-week or longer delay in terms of
7 trying to find out if there's progression of markers
8 over time that might indicate real infection, but if
9 you want -- you know, if you want to give the most
10 reassuring message back to the donor the first time,
11 you would do that before you contact them the first
12 time.

13 So, you know, I think there's a lot of
14 permutations here that aren't really on the table and
15 haven't been discussed.

16 Dr. Klein.

17 DR. KLEIN: Yes, I -- just to move this a
18 little forward I hope, I'd like to support the
19 concepts of the FDA algorithm. I think it's a good
20 one, and I certainly support reentry for those people
21 who have been deferred because of a two-time causative
22 core antibody test.

23 At the same time, I'd also certainly like
24 to encourage you to do whatever is possible to get a
25 more specific core antibody test available, and also

1 keep an open mind to the possibility of having an
2 algorithm that will prevent us having to defer such
3 donors in the first place.

4 ACTING CHAIRMAN ALLEN: Thank you for that
5 statement.

6 Dr. Strong.

7 DR. STRONG: Actually, I was going to
8 support his proposal. I think we -- we have lots of
9 -- we have lots of donors who get that message by
10 letter. The letters are constructed in different
11 ways, so the recipient may get a different message
12 than was intended. But many of them at least will be
13 told that they should see a physician, and they go to
14 a physician and they get tested and it's negative,
15 because they're using different tests.

16 So I think also to accelerate the
17 discussion that we've probably heard enough, and I
18 would certainly support it.

19 ACTING CHAIRMAN ALLEN: Yes. Dr.
20 Hollinger.

21 DR. HOLLINGER: Again, I support this
22 statement. I think it's a good statement. You might
23 ask the question, you know, even when you look up,
24 there will -- why even have to do -- I mean, if you
25 have a test, a new test, let's say, that's licensed,

1 that is completely specific, then why do you even have
2 to do HBV NAT in these patients?

3 And it goes back to the other issue is --
4 what has been taught by the blood bank a lot, and
5 others here, is that it's important to tell those
6 donors who do not have an infection, or do not have
7 any evidence of exposure, that they're clear of this
8 disease. I mean, there's nothing really there.

9 But I -- I also want to say that the blood
10 bank does the clinicians a great service in this
11 regard, because it also tells those who may be
12 infected that this is an issue. So we've talked so
13 much about the ones who may be falsely positive, and
14 what it's going to do by telling them and talking to
15 them about this issue.

16 It's just as equally important to talk to
17 those who have a positive result of whether they might
18 be infected, and that's where the HBV NAT comes in.
19 And by looking at all of the things -- one of the
20 things I like about getting information from the blood
21 bank is that they are willing to send to clinicians
22 all the information -- the cutoff levels, which I like
23 to look at, the ALT levels, which are often -- when
24 they were doing them, which is also -- can be
25 elevated, and yet the patient -- the donor can

1 contribute. It could be a variety of things.

2 But all of that information is really
3 helpful when you then can sit down with a person, and
4 certainly by looking at this, then I could say to that
5 person, "Look, I see no evidence that you have an
6 active infection here. Even if it's a very specific
7 positive anti-HBC test that's highly -- that has a
8 high titer, or high concentration of the antibody,"
9 and the HBV NAT is negative.

10 Then I might be able to tell them that and
11 reassure them that I see nothing in these studies that
12 would have me concerned. I don't mind telling them
13 that they're not infected. It's not a real issue at
14 that point. And if they're positive, then one can
15 deal with that issue also and reassure them about
16 their risks, and so on, for transmission and other
17 things which are usually very small.

18 ACTING CHAIRMAN ALLEN: Thank you.

19 Dr. Bianco, first of all, if you'll
20 introduce yourself, and then Dr. Biswas.

21 DR. BIANCO: Celso Bianco, America's Blood
22 Centers. I want to make two quick comments. One is
23 the reason why only old people remember that plain --
24 the reason why we do it twice in terms of only
25 deferring on the second time is from day one we

1 recognized that this is a lousy test, in terms of
2 specificity. And we were hoping that we could recover
3 some donors. Hopefully, a new test, more specific, we
4 will be able to do it only once and make a conclusion
5 about that. We don't need to wait for two.

6 The second point that I'd like to make is
7 addressing one of the questions that Dr. Kaplan has
8 asked us, and Dr. Epstein, is the question of offline
9 testing. I think I'd like very much to discuss that.
10 It appears that it's a note of caution that you will
11 call back the donor, collect only a sample, and then,
12 after getting the results on that sample, you will
13 allow the collecting of blood units.

14 Donors, unless they are very angry with
15 us, and they come together with a bill from their
16 doctor for all the tests that they did because of our
17 core positive doctor, and they want the blood center
18 to reimburse them for that, they don't come back just
19 for a test. They come back for a blood donation.
20 That's what attracts them is their sense of altruism,
21 sense of trying to help.

22 And so the chances, I believe, of
23 releasing a unit inappropriately because of a test
24 result are very small. Usually units are released
25 inappropriately when it happens. Because of other

1 issues, they are not so much dependent on the
2 interaction, direct interface between testing
3 machines, computers, and the computers that release
4 the unit of blood.

5 So I would ask that these requirements be
6 dropped.

7 Thank you.

8 ACTING CHAIRMAN ALLEN: Dr. Epstein first.

9 DR. EPSTEIN: Well, I think the other side
10 of that argument needs to be heard, which is that
11 there is a finite risk of inadvertent/inappropriate
12 release of a unit, and the risk of consequences goes
13 up if that is, in fact, an at-risk unit.

14 So that's why in our reentry algorithms we
15 have always wanted to requalify the donor offline,
16 because otherwise you've drawn an at-risk unit, you
17 don't know its status yet, it might turn out to be
18 true positive, and there's a finite risk unrelated to
19 the testing that it might get out.

20 ACTING CHAIRMAN ALLEN: Dr. Klein.

21 DR. KLEIN: I'm not sure that those data
22 are correct, Dr. Bianco. We've never had any
23 difficulty getting people back for our studies just to
24 be tested. And, in fact, we've found that people are
25 more reluctant to give a unit that they think might be

1 discarded, so I don't know of any data to say that
2 they won't come back to be tested. Our experience is
3 exactly the opposite.

4 ACTING CHAIRMAN ALLEN: Dr. Kuehnert, and
5 then Dr. Biswas.

6 DR. KUEHNERT: I just wanted to say that
7 I'm supportive of the statement. I think, you know,
8 this is probably going to apply to a very small number
9 of people, at least the way the tests are currently
10 applied. And I do have concerns about sort of donor
11 counseling and health, but that's not at issue here.

12 I think it could be a good topic for
13 another government committee, but, you know, just with
14 that in mind I think donor health is important. And
15 I think there is some work to be done here. There's
16 probably going to be more confusion when the new
17 algorithm is applied initially concerning counseling,
18 but overall I think this is a good statement.

19 ACTING CHAIRMAN ALLEN: Dr. Biswas.

20 DR. BISWAS: I just wanted to say, you
21 know, there's been a lot of talk, which I agree with
22 you, about the specificity, lack of it, and, you know,
23 the importance of having a specific anti-core test.
24 But remember that the reason we are bringing this
25 issue to you at this time is because of the

1 improvement or the development of NAT technology, and
2 that really was the driving force here. I just wanted
3 to say that.

4 ACTING CHAIRMAN ALLEN: Dr. Busch.

5 DR. BUSCH: Yes, I want to address the
6 issue of the NAT sensitivity requirement. The only
7 donors who will be reinstatable will have to be
8 negative on test of records -- surface antigen anti-
9 core assays. In fact, these donors, if they came in
10 for the first time today, they wouldn't be screened
11 out at all. They were the historical false positive
12 anti-cores.

13 We know in true anti-core positives that
14 are persistently anti-core reactive on all the assays,
15 if you progressively increase the sensitivity of your
16 NAT test, you will cull in a little bit more, you'll
17 detect a smaller incremental fraction of very low
18 viremic donors.

19 So the studies that we've done and Sue
20 described where if you go from 100 copy to 50 copy to
21 10 copy sensitivity, you pick up a small fraction of
22 additional low viremic carriage, but this is in people
23 who are fully seroreactive and would be reactive on
24 any anti-core test.

25 And, you know, the requirement for 10

1 copy, 95 percent hit rate is making all the companies
2 have to do multiple replicates -- would make the NAT
3 screening cumbersome for the blood centers even if
4 these tests can achieve that level of sensitivity.

5 And I just don't understand where that
6 number came from and why FDA is pushing such an
7 extremely stringent low sensitivity threshold when,
8 again, these donors would be eligible today. And in
9 the absence of any NAT screening right now, and
10 certainly once NAT is in place, it will be likely done
11 on small pools, and certainly not with assays that
12 have this level of sensitivity.

13 ACTING CHAIRMAN ALLEN: Would anybody like
14 to respond to Dr. Busch's comment?

15 DR. BISWAS: Mike, I think you were asking
16 -- I didn't hear everything you said, but your
17 questioning the -- the requirement for 10 copies and
18 less, that was it. I should say that -- actually, Dr.
19 Kaplan did say it earlier -- that we are sort of
20 flexible on that point. It will depend on some of the
21 results -- on the results that we get back using the
22 less sensitive test that Sue Stramer is doing with
23 NGI.

24 And it -- but I should say that although
25 it is stringent, it does seem to be as though it is

1 possible. But as I said, we would be flexible and
2 will take into account the clinical trials -- the
3 results of the trials that are being done under IND,
4 and that we are flexible on that.

5 ACTING CHAIRMAN ALLEN: Dr. Kaplan, did
6 you want to respond?

7 DR. KAPLAN: Yes. You know, someone was
8 deferred -- gave twice anti-core, it was repeat
9 reactive anti-core, so this -- there's some flag
10 there. It could be -- you know, it could be a false
11 positive measurement, but there's a flag there. So
12 there's some rationale there to try to increase the
13 sensitivity of the NAT. And as we heard from Roche,
14 that's achievable.

15 And then, so what -- what you don't want
16 to do is someone that's -- you know, has a very low
17 core and a very -- a very low DNA, but it could be an
18 infectious unit to -- to reenter it. So there's some
19 rationale for asking the state-of-the-art sensitivity,
20 maximum sensitivity achievable.

21 ACTING CHAIRMAN ALLEN: Dr. Lew.

22 DR. LEW: I think I heard pretty well
23 that, you know, for those who are -- and someone can
24 correct me -- anti-core antibody positive alone, but
25 if you keep on going down, you know, might detect

1 real, real low levels, and for our immunocompetent
2 patient it's quite possible these patients will not
3 become infected.

4 But since a lot of our blood products go
5 to immunocompromised patients, and they get a lot of
6 blood products, I'm not hearing that potentially, you
7 know, these -- if these patients do get it, that they
8 will become positive. They will get infection. So
9 I'm a little concerned about those patients and how
10 this algorithm works.

11 ACTING CHAIRMAN ALLEN: I think that's a
12 very important and interesting comment.

13 Dr. Hollinger.

14 DR. HOLLINGER: I think part of it comes
15 back to we need to know how many of the -- these new
16 licensed tests might be negative when the other test
17 is positive, and that we need to know the false
18 negative rate, if any, of the -- of HBV DNA in those
19 samples.

20 If not, then it -- then I don't think
21 that's -- if it's not there, and I suspect it may not
22 be, then they're not at risk. I don't think they
23 would be at risk in a -- with a good assay that's very
24 specific. And if it's given to an immunocompromised
25 individual, if there's no virus in the blood, or

1 detectable virus, then those patients are not going to
2 get --

3 DR. KAPLAN: Can I add something? It's
4 that this algorithm that you have the donor tested at
5 least three times, you know, it was -- all markers
6 negative, but it was repeat reactive, then you bring
7 it -- bring them back at eight weeks, and then you
8 test them with all the battery again. And then, if
9 they are negative, they are -- then you have a
10 donation.

11 So, you know, if it's low levels, you
12 should -- you should be able to detect it at that
13 time. I think that's a pretty well functional
14 algorithm.

15 ACTING CHAIRMAN ALLEN: Dr. Lew, and then
16 Dr. Strong.

17 DR. LEW: No, I don't really have a
18 problem with this algorithm. I'm just talking --
19 hearing the conversation of other methods of how to
20 decide. And as far as I'm aware, I don't know if
21 there's any lab test that I know of that's 100 percent
22 sensitive-specific. You know, I'd keel over if there
23 ever was one.

24 (Laughter.)

25 So --

1 DR. STRONG: But I think the point is that
2 it won't be worse than it is now, because the new
3 tests are actually both more specific and more
4 sensitive. So, if anything, we're going to catch
5 more.

6 ACTING CHAIRMAN ALLEN: Dr. Kleinman.

7 DR. KLEINMAN: Yes. I just wanted to
8 emphasize, I think the issue of reentering somebody is
9 dependent on the sensitivity of the tests you use to
10 reenter. And remember, the reentry scheme includes
11 two tests, three tests actually -- surface antigen as
12 well. But it includes another anti-core test.

13 So if you are positive on that anti-core
14 test by a second manufacturer, you're out. It doesn't
15 matter what your HBV NAT test shows. So if that's an
16 equally sensitive test, I mean, if you -- you're
17 negative on that anti-core test, and that anti-core
18 test is equally or more sensitive than the one you're
19 using, you don't really have anti-core. And,
20 therefore, you wouldn't need any NAT testing.

21 I mean, it would just be the same as if
22 that person came in today and had never been deferred
23 in the past, and was screened with the new test.
24 They'd be anti-core negative, and you could say,
25 "Well, gee, we might be missing somebody. Maybe we

1 should do HPB NAT testing at 10 copies per mL on every
2 donor in order to increase the safety of the blood
3 supply."

4 Well, obviously, you're not going to do
5 that. So I think we need to see the clinical trial
6 data that validates the reentry algorithm, and then
7 we'll know if there are discrepancies between -- if
8 somebody is negative on the new test, and actually has
9 HBV DNA in their serum. If we find somebody like
10 that, how sensitive in that test did we need to do to
11 find that person? Did we need to do a 10 copy mL
12 test? Or was a 50 copy mL test enough? And then we
13 would be able to test -- to maybe set our sensitivity
14 levels.

15 Now, I don't know if we could do a big
16 enough clinical trial, because I don't expect we'll
17 have many people who are NAT positive failing the
18 second reentry test. So it does become kind of
19 arbitrary. But I think maybe that's why the FDA is
20 saying there is some flexibility; we just don't have
21 the data to know yet.

22 But I think, really, to answer the
23 question that's on the floor we -- we do have
24 protections in place with this algorithm, and even if
25 we were to increase the -- or I guess decrease the

1 sensitivity of the NAT, increase the copy number of
2 detection, even if we were to do that, I think our
3 patients would be protected from getting unsafe units
4 because of the second core test.

5 ACTING CHAIRMAN ALLEN: Dr. Stramer.

6 DR. STRAMER: Yes. Two points, commenting
7 along the same lines that Dr. Strong brought up. As
8 Blaine mentioned in his presentation with the use of
9 reductant in this new, more specific test, it's the
10 use of this chemical treatment that eliminates the
11 false positive non-specific early IGM antibodies that
12 cause interferences or false positivity in the test.

13 But because of this concern, the test
14 before the FDA has gone through extremely robust
15 validation. And as has been pointed out before by
16 Mike, the test is not only more specific but is
17 actually more sensitive because of the disassembly of
18 all these false positive, non-specific antibodies, so
19 that it's more specific for low-level true antibodies.

20 So the test is more sensitive, it's more
21 specific, and what FDA is proposing is actually a very
22 robust reentry algorithm. You would use the more
23 sensitive and more specific anti-core test. The donor
24 would not only have to be negative on followup, but
25 then again negative at donation.

1 And we're kind of quibbling -- is 10 copy,
2 50 copy -- certainly, if you want to increase the
3 catchment, a more sensitive test is what you should
4 do, or to really identify those people who are
5 circulating DNA, from a public health perspective and
6 from a reentry perspective, and there are tests that
7 are -- you know, that can be achieved.

8 Whether it's 10 copies or 30 copies, I
9 don't think that makes a difference. I mean, we were
10 -- when Red Cross presented data to FDA, FDA actually
11 said 30 copies was inadequate. So we moved it down to
12 10 copies quite arbitrarily, just because if we
13 thought 30 wasn't enough, well, what would be
14 sensitive enough? So we just chose 10 as something
15 that we thought even the FDA wouldn't reject.

16 So that's kind of the derivation of the 10
17 copies per mL. But robustness has been built in the
18 algorithm, and robustness has been built into the
19 tests that are before the FDA for licensure.

20 ACTING CHAIRMAN ALLEN: I would like --
21 okay. Dr. Lew.

22 DR. LEW: If I could just say that -- just
23 for -- I think everyone agrees it's a given, but for
24 clarification, that this statement seems suitable for
25 most people -- given the caveat, we're talking about

1 a more sensitive hepatitis C core antibody test. I
2 mean, just for that clarification.

3 ACTING CHAIRMAN ALLEN: Dr. Epstein.

4 DR. EPSTEIN: I just want to comment on
5 what we know and what we don't. What we know, and
6 these are Sue Stramer's data from the histogram that
7 you showed of viral load, there are indeed samples
8 that have a viral load of 10 copies per mL or less in
9 individuals who have a repeatedly reactive anti-core
10 test, and who -- 65 percent of whom have a negative
11 HBsAg test.

12 What we don't know is whether those same
13 samples would be found if a third independent test
14 were negative for anti-core. And we couldn't show you
15 those data because we don't have those data. But no
16 one should think that there are no samples with low-
17 level viremia in individuals with so-called anti-core
18 only by current testing. There are such people.

19 So the problem here is, you know, can we
20 really place our faith that there won't be any such
21 low-level viremias in those in whom another EIA is
22 negative. We just don't know until we have those
23 data.

24 So I just don't see -- but the, you know,
25 proposal that we use assays as sensitive, that is

1 based on the observation that some of the true
2 positives do have DNA at that low level. What we
3 don't know is whether the ones with the further
4 negative EIA would have DNA at that level.

5 ACTING CHAIRMAN ALLEN: Thank you.

6 Let me come back to the proposed FDA
7 statement here and just -- we've heard some --
8 actually, all of the committee members that have
9 spoken have spoken in favor of the basic algorithm.
10 What I'd like to do is just ask the committee for any
11 additional comments that anyone might have about the
12 FDA proposed algorithm and issues directly related to
13 that.

14 DR. QUIROLO: Well, it would seem to me
15 that if this is such a sensitive core test that you
16 wouldn't really need to come back twice to have two --
17 a repeat reactive and then a third test, it sounds
18 like, before you did the NAT and the surface antigen
19 again before donation.

20 The other thing is if this is such a
21 sensitive test, what's the possibility that somebody
22 would come back for their second core test, being
23 reactive the first time, and being negative the second
24 time? And if you're using the same core over and over
25 again, isn't that the same dilemma you're in now where

1 you're -- if you're reactive once, you're more likely
2 to be reactive over and over again?

3 DR. KAPLAN: Well, this algorithm
4 basically solves a present problem. And so, you know,
5 Mrs. Stramer -- through the number of a million people
6 that they could be -- reenter with this.

7 I think that the -- the other issue that
8 you are raising is: what is the performance of this
9 new test that has not been approved? And how will
10 that fill into the -- this algorithm or the deferral
11 -- deferred algorithms for repeat reactivities in core?
12 It's something we have to see at the moment of
13 approval of that new test. I think that's on the
14 table at this point.

15 However, we are -- we agree with -- I
16 personally agree with what you said is that, yes,
17 that's a very important point that we have to retain
18 -- or reevaluate when we have this more specific, more
19 sensitive test available. Yes.

20 ACTING CHAIRMAN ALLEN: In actual fact, if
21 the belief is that most of these people are not truly
22 infected but are false reactivities, then, in fact, the
23 issue is not -- it really doesn't matter how much more
24 sensitive the test is. The question really is needing
25 a test of increased specificity, and the new

1 generation tests coming on the market should meet
2 that.

3 DR. QUIROLO: Will those be the initial
4 tests, though, for the new donors once the test is
5 available? Will that be -- will the more sensitive
6 test be the initial test? Or will there be two tests,
7 a less specific and then another second test after you
8 fail the first one?

9 DR. KAPLAN: We don't know how many people
10 will adopt that. We don't even know when this will be
11 licensed at this point. And I think that's a market
12 force -- speculation at this moment.

13 ACTING CHAIRMAN ALLEN: Yes. As you were
14 asking your question, I saw heads nodding around the
15 room from blood collection people.

16 Dr. Strong, do you want to comment on --

17 DR. STRONG: Yes. Once we have a new test
18 that has greater sensitivity and specificity, the old
19 test goes away. We'll only be using one test. And
20 the donor is likely to be deferred, because we fully
21 expect that we're going to be doing DNA as well.

22 ACTING CHAIRMAN ALLEN: Other specific
23 comments from the committee members on the FDA
24 proposed reentry algorithm? Anybody have major
25 heartburn over it? We basically heard comments in

1 support with a few questions on technical details.

2 Okay. I would like to add my support to
3 the basic proposal, and, you know, would encourage,
4 based on all that I've heard today, I would encourage
5 the FDA to continue working to get this completed as
6 rapidly as possible.

7 Does the committee -- and this is a straw
8 vote -- does the committee believe that this needs to
9 come back to the committee again once as a formal
10 question, or have we given sufficient direction to the
11 FDA and would like to encourage them to move forward
12 as rapidly as possible to implement?

13 DR. EPSTEIN: Jim, if I could just
14 clarify, that, you know, the committee serves to
15 advise us on the science. You know, FDA takes unto
16 itself the responsibility of determining the policy.
17 So in phrasing your question, really, is -- the
18 question is: are there other scientific issues that
19 need to be brought back to the committee?

20 ACTING CHAIRMAN ALLEN: Thank you. Yes,
21 that was what I meant.

22 Dr. Kuehnert.

23 DR. KUEHNERT: I just wanted to ask if
24 there -- there was some discussion about the
25 sensitivity level required, if that's a -- still an

1 open question, or has FDA gotten enough guidance on
2 that issue?

3 DR. EPSTEIN: Well, our position is that
4 we want to see the data that emerge from combining
5 historic, you know, twice anti-core repeat reactivities
6 with negative results of a more sensitive and specific
7 screen, and then see: a) if there are any DNA
8 positives, and b) what their levels are. But we think
9 that those studies need to be done with the most
10 sensitive available assay, otherwise we'll never get
11 a meaningful answer.

12 So I can't answer the question on point.
13 I can only answer it by saying this is why we want to
14 see the studies that we describe.

15 DR. KUEHNERT: And I think, you know, the
16 discussions we've had in previous meetings about mini-
17 pool NAT screening in general, you know, the consensus
18 was for more sensitive screening. So I think this all
19 works towards that.

20 ACTING CHAIRMAN ALLEN: All right. Any
21 other comments or questions? Does the FDA want
22 further discussion from the committee, or have you
23 achieved what -- okay.

24 It's approximately 12:15. The official
25 game clock here says it's 12:21. That's a little

1 faster than my watch, which tends to be one or two
2 minutes fast most of the time. We will adjourn for
3 lunch. Let's plan to have -- have people back -- we
4 will reconvene at 1:20 by the game clock here.

5 (Whereupon, at 12:15 p.m., the
6 proceedings in the foregoing matter
7 recessed for lunch.)

8 DR. SMALLWOOD: We're ready to reconvene.
9 May I ask all Committee members present to please take
10 your seats, and may I have the attention of the
11 audience?

12 Before we start, I just wanted to make an
13 announcement. Dr. Martin Ruta this morning mentioned
14 a draft guidance that was expected to be published,
15 and I just wanted to announce publicly that on the FDA
16 web site guidance for industry, use of nucleic acid
17 tests on pooled and individual samples from donors of
18 whole blood and blood components, including source
19 plasma and source leukocytes to adequately and
20 appropriately reduce the risk of transmission of HIV
21 I and HCV has been posted as of this morning. So it
22 is on the FDA web site. Dr. Allen, were you ready?
23 We're ready to reconvene.

24 ACTING CHAIRMAN ALLEN: Good afternoon.
25 We're ready to continue our discussion with Topic 2,

1 the potential risk of Simian Foamy Virus transmission
2 by blood transfusion. Our first presentation will be
3 the introduction and background by Dr. Tabor.

4 DR. TABOR: Good afternoon. I'd like to
5 begin by thanking the other speakers who will be
6 participating in this session. Dr. Kahn from Sieber
7 but also people who came from a great distance -- Dr.
8 Heneine, Dr. Brooks, Dr. Peter Gantz and Dr. Lerka --
9 all of whom you'll be hearing from soon.

10 The potential risk of transmission of
11 Simian Foamy Virus by blood transfusion is being
12 brought to be BPAC at this time because of a report in
13 the Lancet that this retrovirus is being transmitted
14 under so-called natural conditions from non-human
15 primates to the human population in Cameroon. This
16 not only places a renewed focus on Simian Foamy Virus
17 transmission but also represents a mechanism by which
18 Simian Foamy Virus and other non-human primate
19 retroviruses might enter the human population and
20 ultimately the blood supply.

21 This issue is made more urgent by recent
22 research developments related to the possible
23 transmission of Simian Foamy Virus to non-human
24 primates by blood transfusion, and this information
25 will be presented by the other speakers at this

1 Advisory Committee meeting today. Can I have the next
2 slide, please?

3 Transmission of Simian Foamy Virus to
4 humans due to occupational exposure to infected non-
5 human primates has been reported to occur in two to
6 five percent of persons working with non-human
7 primates in research institutions and zoos. Most of
8 the infected persons had histories of scratch or bite
9 injuries caused by the non-human primates.

10 Because of these reports, the topic of
11 Simian Foamy Virus was discussed at the December 13,
12 2001 BPAC and the consensus of BPAC in 2001 was that
13 more data were needed to determine whether Simian
14 Foamy Virus presented any risk to the safety of blood
15 transfusions. Next slide, please.

16 This year, in the March 20, 2004 issue of
17 the Lancet, transmission of Simian Foamy Virus to
18 humans by so-called non-occupational contact with non-
19 human primates was reported by Wolfe et al. and was
20 accompanied by a commentary by Peters et al. In fact,
21 the exposure was only somewhat non-occupational in the
22 generally accepted use of the term, "occupational,"
23 since the authors felt that the transmission probably
24 occurred as a result of a hunting preparation and
25 consumption of food made from tissues of non-human

1 primates, sometimes referred to as bush meat. Next
2 slide, please.

3 Wolfe et al. studied 1,099 residents of
4 the tropical forest area of Cameroon who had regular
5 contact with blood or body fluids of non-human
6 primates. Antibodies to Simian Foamy Virus were found
7 in ten persons. Among these ten, Simian Foamy Virus
8 itself was found by RTPCR in the peripheral blood
9 lymphocytes of three. These three apparently had
10 acquired Simian Foamy Virus in three distinctly
11 separate transmissions from non-human primates. The
12 individuals were each from different villages and the
13 nucleotide sequence of each isolate of Simian Foamy
14 Virus showed that the Simian Foamy Virus in each
15 person was from a different primate species, each
16 consistent with that person's individual hunting and
17 food preparation history, enveloping gorilla, mandrill
18 and cercopithecus species, respectively. These
19 observations are consistent with the known fact that
20 the different Simian Foamy Virus strains are each
21 highly specific for their host species. Next slide,
22 please.

23 Simian Foamy Virus is quite prevalent in
24 the non-human primate populations, ranging from 31 to
25 61 percent among non-human primates in the wild and

1 from 70 to 90 percent among those in captivity. In
2 contrast, the prevalence of Simian Immunodeficiency
3 Virus among non-human primates in the wild, in the
4 same geographic areas, is about 16 percent, and the
5 prevalence of Simian T-Lymphotropic Virus is about 11
6 percent.

7 What is the risk of Simian Foamy Virus
8 becoming widespread among humans once it has entered
9 the human populations. Recent reports by Heneine et
10 al. and Switzer et al. provide strong evidence of
11 persistent Simian Foamy Virus infections in humans,
12 with infections lasting as long as 19 and 26 years or
13 longer. However, human-to-human spread of Simian
14 Foamy Virus has not yet been shown to occur. We do
15 know that the study of a small number of wives
16 evaluated in studies of persons with occupationally
17 acquired Simian Foamy Virus infection has not revealed
18 any instances of spousal transmission. And the low
19 percentage of infected persons working in primate
20 facilities suggests that human-to-human transmission,
21 if it ever occurs, must be very inefficient.

22 Nevertheless, there is clearly primate-to-
23 primate transmission among non-human primates in the
24 wild. Transmission between primates is believed to
25 occur by means of saliva since Simian Foamy Virus can

1 be isolated easily from the saliva of infected non-
2 human primates. Transmission by bites theoretically
3 could be one mechanism of transmission from captive,
4 non-human primates to their handlers.

5 In support of this theory, a gorilla
6 strain of Simian Foamy Virus was detected in two
7 Cameroonian hunters who had had multiple bite injuries
8 during separate fights with gorillas. As we evaluate
9 additional human cases, particularly those occurring
10 in areas where careful observation is possible, we may
11 have to revisit the issues of human-to-human
12 transmission and the potential infectivity of human
13 saliva if any evidence is found contrary to the
14 concept that human-to-human spread does not occur.
15 Next slide, please.

16 There are few clinical studies to evaluate
17 the transmission of Simian Foamy Virus by blood
18 transfusion. In one small lookback study, reported by
19 Boneva et al., summarized in this slide, no evidence
20 of transmission of Simian Foamy Virus by human-to-
21 human blood transfusion was found. Although this
22 study may provide a basis for optimism, its small size
23 and the absence of information about viral load in the
24 blood donor preclude any firm conclusions. And, in
25 addition, no ideologic association between Simian

1 Foamy Virus and any human disease has been established
2 so far. Next slide, please.

3 Our concerns today are twofold. We wish
4 to discuss the possibility that Simian Foamy Virus
5 could be transmitted by blood transfusion, and we wish
6 to discuss the risks suggested by Simian Foamy Virus
7 as a model of cross-species transmission of a
8 retrovirus. We know that two Simian Immunodeficiency
9 Virus strains emerged to form HIV Types I and II. And
10 two strains of another Simian retrovirus, Simian T-
11 Lymphotropic Virus, emerged to form HTLV times one and
12 two.

13 Human diseases associated with infections
14 with these emerging retroviruses were not recognized
15 for many years, and in the case of HTLV I this delay
16 was due in part to the fact that fewer than five
17 percent of persons infected with the virus develop a
18 disease. Even though no human disease has been linked
19 to Simian Foamy Virus infection, these theoretical
20 concerns I've just described may leave many people to
21 urge taking precautionary measures. However, such
22 precautionary regulatory measures require careful
23 consideration of risk level and of the impact on the
24 availability of needed blood products.

25 Handlers of non-human primates in the

1 laboratory setting are not the only people with close
2 contact with non-human primates. Other groups include
3 zoo workers, people who have non-human primates as
4 pets -- there are about 15,000 households with such
5 pets in the United States -- and bench laboratory
6 scientists and technicians who conduct testing of
7 primate serum and tissues. Any of these people could
8 be at various levels of risk for acquiring Simian
9 Foamy Virus infection. The risk for scientists
10 conducting behavioral studies on non-human primates
11 theoretically could be lower than that for scientists
12 conducting other types of studies. It would be a
13 challenge to define precisely which individuals would
14 pose a risk as blood donors.

15 And now I'd like to show the questions we
16 have for the Committee. Next slide, please. The
17 first question: "In the absence of any known disease
18 association, should FDA be concerned about the
19 potential for transfusion transmission of Simian Foamy
20 Virus?" Next slide.

21 The second question is, "Do the recent
22 evidence of Simian Foamy Virus infections in humans
23 and the evidence of transmissibility of Simian Foamy
24 Virus by blood in animal studies heighten concern that
25 known and unknown pathogenic viruses of non-human

1 primates could enter the human blood supply?" Next
2 slide.

3 And the third question, "Do the available
4 scientific data warrant possible consideration of
5 donor exclusion criteria for exposure to non-human
6 primates?" And we would like the Committee to please
7 discuss the factors that we should consider if you
8 recommend this.

9 Thank you. I'll now take any questions
10 and after that we'll move on to the other
11 presentations. Are there any questions? Dr. Lew.

12 DR. LEW: Can you just remind me, did you
13 tell us if it causes any disease in the monkeys?

14 DR. TABOR: I believe it does not, but the
15 next speaker is a sufficient expert that I'll defer to
16 him. And with that, I'll introduce Dr. Walid Heneine
17 from the Centers for Disease Control and Prevention.

18 DR. NELSON: I was a visitor on one of the
19 other FDA committees a few years ago, namely the -- I
20 forgot the name of the committee but it dealt with
21 transplanted organs and tissues. And my recollection
22 of that meeting is that currently tissues from non-
23 human primates are not acceptable or permitted by FDA
24 to be transplanted into humans. Is that correct or am
25 I wrong on that?

1 DR. TABOR: I'd like to defer that
2 question to Dr. Epstein, if I may.

3 DR. EPSTEIN: The FDA doesn't regulate
4 organ transplantation.

5 DR. NELSON: Oh. Well, somehow that
6 committee was discussing it and it was an FDA
7 committee. Maybe I was in the wrong room.

8 (Laughter.)

9 DR. NELSON: Xenotransplants.

10 DR. EPSTEIN: Oh, xenotransplants. Oh,
11 okay. Sorry. Well, there was a moratorium on
12 xenotransplantation from non-human primates on account
13 of various viruses and primates. But I believe the
14 moratorium is now lifted, and I can't comment on the
15 current status.

16 DR. HOLLINGER: This may be discussed
17 later and if it is, Ed, just let me know. When we
18 talk about donors who have been infected from like '81
19 to 2000, this is a Boneva study, what do we mean by
20 infected from -- were there bloods available then in
21 which they found nucleic acid and so on?

22 DR. TABOR: Well, let me first ask, is Dr.
23 Boneva in the audience by any chance? No. Okay.
24 I've read the paper and I can describe it to you, but
25 perhaps I can ask Dr. Heneine to answer that one too

1 since he's from CDC. The question was how the blood
2 donor samples were collected after the donor was
3 identified in the Dr. Boneva study.

4 DR. HENEINE: I'll get to it probably in
5 my talk, but let me follow up on the answer of Dr.
6 Epstein regarding the xenotransplantation guidelines.
7 I think the moratorium on using non-human primates as
8 sources of tissues and organs is still in place, and
9 the only species now we think is useful is pigs as
10 sources of xenographs because of the issues of
11 xenogeneic infections and xenogeneic viruses.

12 DR. EPSTEIN: I just wanted to clarify, I
13 thought what Dr. Nelson was asking was whether there's
14 a policy to exclude human organ donation from humans
15 exposed to primates, and that would not be something
16 that's FDA regulated.

17 ACTING CHAIRMAN ALLEN: We will have a
18 chance for discussion later, so what I would suggest
19 is that we get through our presentations, asking
20 questions just of the presenters just for
21 clarification, and then we'll get into the broader
22 discussion later. And I suspect most of the -- or all
23 of the presenters will still be here and can answer at
24 any time. Dr. Heneine?

25 DR. HENEINE: Thank you again for giving

1 me the opportunity to present our data. I begin with
2 the first slide. I'll be giving a summary of our data
3 thus far. How can I move the -- move it for me. Next
4 slide, please.

5 But I'd like to reiterate the fact about
6 our experiences or the lessons we've learned from
7 pathogenic retroviruses we're aware of that have
8 resulted from cross-species transmission. And of
9 course like you've heard from Dr. Tabor, HIV-1, HIV-2
10 are primary examples that originated from transmission
11 of Simian Immunodeficiency Viruses from chimpanzees
12 and sutimangabees, respectively. But we have also
13 additional examples, human T-Lymphotropic Virus Type
14 1 or HTLV-1 that resulted from STLV-1; Gibbon Ape
15 Leukemia Virus resulted from a strain of Murine
16 Leukemia Virus and Feline Leukemia Virus that also
17 resulted from transmission from Murine Leukemia
18 Viruses. So these are not dead end zoonotic
19 transmissions, but very successful cross-species
20 infections that have become established and endemic in
21 the new host end-cause disease. So this really
22 highlights the ability of retroviruses to cross
23 species that persist and then spread into the new host
24 and cause disease in many instances. So next.

25 So what about transmission of Simian

1 retroviruses, what are the mechanisms that could be in
2 fold in this transmission? Or course, hunting non-
3 human primates in the wild, butchering, preparation
4 consumption, keeping non-human primates as pets and of
5 course occupational exposures in zoos and primate
6 centers. Next.

7 So although we know that HIV-1 and HIV-2
8 as well as HTLV-1 resulted from these cross-species
9 infections, we still don't know if SIV or other Simian
10 retroviruses continue to cross species to human and
11 what are the public health sequences of these events.
12 We were aware almost ten years ago of isolated cases
13 of transmission of SIV, something our lab has done,
14 and Germans reported a couple of cases of Simian Foamy
15 Virus transmission in occupationally exposed person.
16 And, of course, these reports have raised concerns
17 about the magnitude of these events. So back in 1995
18 we decided to establish a link study for volunteer
19 testing for simian retroviruses in exposed laboratory
20 workers and primate handlers. Next.

21 So what today I will do is summarize the
22 data that we have generated from these studies. I
23 will be talking about the prevalence of Simian
24 retroviruses among North American primate handlers,
25 prevalence of the Simian Foamy Viruses in Central

1 Africa, what we have learned from these studies about
2 human-to-human transmissibility and disease and also
3 comment on the risks from other Simian retroviruses,
4 the topic that Dr. Tabor highlighted earlier. Next.

5 So a little bit of background about those
6 viruses. SIV, we know it's prevalent in African non-
7 human primates, shows a lot of diversity, at least
8 nine different lineages have been described, and the
9 list keeps growing as more studies are done. They're
10 generally benign and natural hosts and only cause
11 disease when they sometimes spread to different hosts.
12 The Simian T-Cell Lymphotropic Viruses, again, they're
13 prevalent in African and Asian primates, there are
14 three different viral species here and can cause
15 disease. Simian Retrovirus Type D or D Type
16 retroviruses are prevalent in Asian Macaques, can
17 cause a pathogenic international host and cause AIDS-
18 like illnesses. And, finally, the Simian Lymphoma
19 viruses, they're ubiquitous in almost all primate
20 species, show species-specific clades and like SIV
21 they appear to be benign in their natural hosts.
22 Next.

23 So what is the study design on the
24 surveillance in North America that we've been doing?
25 We have a protocol where we invite primate research

1 centers who wish to participate on a voluntary basis
2 in a linked study for testing for these viruses.
3 Participants fill out the questionnaire and provide a
4 serum sample that gets tested serologically first for
5 all those viruses, and we've developed assays that are
6 not commercially available. The active samples are
7 then identified, and those persons are contacted again
8 to provide an additional sample where serology is
9 repeated and DNA is obtained from peripheral blood
10 lymphocytes to do PCR sequence analysis and in many
11 case virus isolation. And we've developed diagnostics
12 to do those tests. And once the individual is found
13 to be infected, the participant will be interviewed
14 closely. Next.

15 So this is an update of the results we
16 have so far. We have 20 institutions that have joined
17 the study, a total of 3,000 samples collected.
18 Institutions have two choices: To only get tested for
19 SIV or get tested for all four retroviruses. But 441
20 persons so far have elected to be tested for the four
21 retroviruses. They're from 13 research institutions
22 and four zoos. And here are the results. We saw only
23 two cases were positive for SIV, and these are older
24 cases that we've reported in the past. No STLV
25 infections have been identified. Two cases were

1 seropositive for Simian retroviruses, and I will
2 comment on this later. However, the big surprise was
3 with the prevalence with the Simian Foamy Virus where
4 15 cases have been identified so far, giving a
5 prevalence of 3.4 percent. One of the SRV-positive
6 cases is also Simian Foamy seropositive. Next.

7 This gives you an idea about the
8 distribution in research centers versus zoos; again,
9 3.2 percent in centers versus 4.5 percent. And here
10 are the papers. Just to clarify, the first four cases
11 were published back in 1998, ten additional cases were
12 published or reported earlier this year, and the 15th
13 is a recently identified case from a new institution
14 that enrolled. Next.

15 Again, we saw similar results from a
16 unlinked survey of zoo workers that was led by Paul
17 Sandstrom and he was with us at CDC where the
18 prevalence of Simian Foamy Virus was found to be about
19 three percent as well in this population versus zero
20 percent rise in workers that had no contact with non-
21 human primates. Next.

22 Again, as I mentioned, these viruses have
23 cross-specified with their natural host and therefore
24 follow genetic trees that are similar to the hosts,
25 so, therefore, when we see virus and analyzed it and

1 we can look with which viral species or cluster, you
2 can identify the species origin of these infections.
3 Here, for example, is the ape group, here's the monkey
4 group from baboon, African Queen monkey, macaque and
5 so forth. The samples we were able to evaluate thus
6 far show us that there are eight cases that have
7 chimp-like SIV, four that have baboon-like, one
8 macaque and one African Queen monkey-like. So large
9 variety of different SIV clades that have been
10 identified. And this makes sense because these are
11 the species that are usually commonly used in primate
12 centers and in zoos. Next.

13 From the interviews, we collect a lot of
14 case histories and histories of exposures, and we also
15 obtain archive samples in these institutions which
16 gives us an idea about the duration of seropositivity
17 in these individuals. In general, all the cases
18 usually report working with the primate species that
19 was responsible for the infection, and many but not
20 all report receiving bites or injuries from that
21 species. The duration of seropositivity, again, shows
22 recent as well as long-standing infections. Next.

23 So what about the key issues here, about
24 disease and human-to-human transmissibility. Here's
25 some basic background on those cases. Thirteen cases

1 were males and two were females; in fact, they were
2 from the zoos, the females. Seropositivity is a mean
3 of 17 -- or, no, I think it's 19 years, six to 28
4 years documented from archive samples. Now, what
5 about disease cessation? Those cases report being in
6 generally good health, but, again, this is -- I'd like
7 to stop at this point because we receive a lot of
8 questions on this issue. This is a limitation of
9 surveying health persons. We enroll full-time
10 employees that are health, and we identify some of
11 them to be infected, and then we ask them, "How do you
12 feel," and the result is, "We feel fine." So in fact
13 this is not the best design to identify disease
14 cessation. So keep that in mind, that limitation of
15 the study in mind as we discuss the implications of
16 the data.

17 However, we can tell something about
18 sexual transmission. So six wives of men that
19 reported regular sexual activity, unprotected sexual
20 activity, remain uninfected despite mean documented
21 exposure of 14.5 years, suggesting that probably male-
22 to-female transmission, sexual transmission is not
23 very easy. But, again, we don't have a lot of power
24 in these numbers, and we cannot exclude transmission
25 after longer exposures, similar to the case scenario

1 with HTLV-1 or HTLV-2. We require longer times for
2 sexual transmission.

3 However, we have a second protocol where
4 we invite infected cases to participate for a long-
5 term follow-up study where we can follow them up
6 clinically and immunologically and virologically for
7 five years, and seven cases have opted to participate
8 in this study. Next.

9 Of course, the main issue here is
10 transmissibility by donated blood. This is important
11 because we document persistent peripheral blood
12 lymphocyte associated viremia in all cases. We can
13 easily amplify the viral sequences from lymphocytes
14 and also isolate virus from those cases. We're a bit
15 surprised that 11 of the workers we identified were
16 blood donors and six were confirmed to be positive.
17 This is confirmation retrospectively at the time of
18 donation. So if I understood the question earlier
19 about the case from the lookback study which is here,
20 this is a case that was identified retrospectively to
21 have donated blood, and the lookback study here that
22 we worked with with Dr. Boneva really targeted the
23 recipients of that components from this case, in
24 particular two recipients of red cells, one of filter
25 red cells and one of platelets and all tested

1 negative. Again, very little data, not a lot, but we
2 need additional data to have conclusive information.
3 Next.

4 So the bigger question now is what about
5 transmission in the natural setting? Are these
6 infections only get transmitted to humans in special
7 type of occupational exposures or they also occur in
8 the natural setting? As I mentioned, hunting,
9 butchering and keeping pets is a primary mechanism for
10 this transmission and keeping in fact the estimates
11 about the bush meat trade mainly in Central Africa,
12 central and deforested areas in West Africa. But one
13 to five million tons annually has been estimated to be
14 traded. So there's a lot of contact that occurs in
15 that region. Next.

16 So we collaborated with Rubin Hopkins to
17 answer the question of prevalence of SFV in samples
18 that were collected from rural villages and persons
19 that reported direct contact with non-human primates.
20 The progress we saw was 0.9 percent. They were all in
21 lowland forests. This is the forested areas where
22 hunting takes place, is prevalent. There were seven
23 men, three women from different villages, and three
24 were PCR-positive. Next.

25 These are the sites of data collection and

1 these were the areas where the seropositive cases were
2 identified, and the ones in red were those that were
3 also PCR-positive. Again, this area where prevalent
4 practice of hunting and butchering takes place. Next.

5 Analysis indicated that we have one case
6 infected with a gorilla, one with mandrill and one
7 with a cercopithecus species, more precisely
8 dubrozakeenan. Next.

9 These are the examples, the pictures of
10 these non-human primates. Next.

11 And, again, more recent better to confirm
12 our findings from the Pasteur Institute in Paris where
13 the lab reported those in the fourth international
14 Foamy Virus meeting in July in Germany where he
15 screened also southern Cameroonian villagers for Foamy
16 and found 11 out of 720 to be positive, three were
17 PCR-positive and two were hunters, 60 and 67 years
18 old, that have gorilla-type SIV and reported injuries,
19 like you've heard earlier, from gorillas. There was
20 a third person who was a woman that did not -- has no
21 history of -- did not report hunting but contact with
22 bush meat. And she has a chimpanzee-type Simian Foamy
23 Virus infection. Next.

24 So the bigger question right now is what
25 is the scope of these infections? Is it an infection

1 that is only limited to people that have direct
2 contact with primates or are we dealing with a global
3 dissemination of this infection that has gone so far
4 unrecognized? And this is one scenario, how can a
5 global dissemination or emergence of this virus can
6 happen, first, from exposed injured individuals that
7 some of them do get infected but not associated with
8 secondary transmission. However, very few can lead to
9 secondary human-to-human transmission for maybe one or
10 two generations, but then maybe this local epidemic
11 can die out or it can have -- one of those can really
12 adapt this virus and be able to disseminate and spread
13 among humans. So we really do not know where we are
14 in the scheme of things. Are we here or are we
15 already here? However, only expanded screening would
16 probably tell us where we are. Next.

17 So how widespread is SIV? Is it -- first,
18 you can think of it in West Central African countries.
19 What about the situation in Congo, Gabon, Equatorial
20 Guinea, Central Africa public, DRC or Nigeria where
21 all practices of non-human primates hunting and
22 consumption and butchering takes place? Do we have a
23 situation where endemicity has already occurred, and
24 this is sustained by human-to-human spread? We are
25 very interested in looking at this scenario.

1 We started in fact looking at samples that
2 are collected from different groups, different
3 populations. This is unpublished data that we have
4 recently generated by looking at recently collected
5 Cameroonian blood donors from Yaounde, the capital of
6 Cameroon. We have screened 180 samples and one found
7 SIV-positive individual, giving a prevalence of 0.5
8 percent. The virus has a mandrill-type SIV, which is
9 consistent with the common hunting of mandrills in
10 Cameroon. More interestingly is that this blood donor
11 was also HIV-1 infected, so we are now dealing with a
12 co-infection situation with HIV-1.

13 The second population of samples which
14 were already available to us at CDC was a collection
15 of samples from sex workers from the Democratic
16 Republic of Congo, from Kinshasa. Those were
17 collected back in 1985 and we screened those, and one
18 was positive, giving a prevalence of 0.72 percent.
19 Again, the sex workers was also HIV-1 co-infected.
20 Next.

21 Now, back to this part of the world,
22 again, how widespread SIV is here? Is it only in
23 population exposed to primates or it already has
24 spread out? We do not know. We know cases have been
25 identified in Canada and the U.S. We know in Europe,

1 at least Germany, we have cases identified. We don't
2 know the rest of the other countries. And there's no
3 reason why primate and zoo workers in those countries
4 should not also show evidence of infection. So,
5 again, we need expanded surveillance to answer the
6 question of whether this virus has already spread
7 outside populations exposed to primates. Next.

8 So I'd like to end up with a summary and
9 conclusions. We've identified substantial SIV
10 infection in U.S., Canadian and Cameroon persons
11 exposed to non-human primates -- you'll probably hear
12 more from the Canadian speaker later about the
13 Canadian data -- demonstrated infection with multiple
14 SIV clades, at least seven so far, in both men and
15 women; demonstrated old and recently acquired SIV, at
16 least we can date back 28 or three decades where those
17 infections have been occurring.

18 So all together it implies that Simian
19 retroviruses are actively crossing into human
20 populations. We used to think that probably HIV-1 or
21 HIV-2 the estimates were probably 70 to 60 or 50 to 70
22 has crossed into human population and caused the
23 pandemic and that active transmission has stopped
24 since then. Probably those data are a reminder that
25 active transmission has not stopped; it is still

1 ongoing. However, we need to better define disease
2 and spread of Simian Foamy among humans. We have
3 little data on that. Next.

4 The issue of disease, very important. We
5 think our data can suggest that it is largely still
6 undefined, especially if you consider that you might
7 have disease like in HTLV-1, low incidence, five
8 percent less, after long incubation periods. So we
9 cannot exclude at this point from the available data
10 the incidence of disease. There's many issues that
11 may surround the question of disease. Disease cannot
12 be clade-dependent, like we have seen with HIV. Only
13 two lineages cause disease in humans: The chimpanzee
14 and the sutamangabees, and there's at least seven
15 other lineages that so far appear to be non-
16 transmissible and non-pathogenic to humans. So is
17 this going to be the case for Foamy? We do not know.
18 Definitely opportunities for human-to-human spread
19 will lead to evolution of pathogenicity, and this is
20 something we've documented that we've learned from
21 other virus systems such as SIV.

22 The recent data on co-infection with HIV
23 in the two cases we've identified are a little bit
24 surprising to us because it begs the question on the
25 impact of co-infection of HIV on disease incidence for

1 SIV as well as for HIV and, again, the issue of
2 increased transmissibility, human transmissibility of
3 SIV. The fact that one of those cases was a blood
4 donor and one is the sex workers of course has
5 implications for blood-borne transmissibility and
6 sexual transmissibility.

7 But we're becoming increasingly -- because
8 of the reasons I mentioned, we've become increasingly
9 convinced that we probably need different study
10 designs to identify disease cessation, not from
11 surveying healthy people but probably identify endemic
12 populations and then screen different sick populations
13 just to see where we have some disease cessations.
14 But we are following up the infected case to see if we
15 can identify any incident disease. Next.

16 So the topic again and the question to the
17 Committee that Dr. Tabor highlighted is what about
18 emergence of other viruses, and what do these data
19 tell us about that? In fact, SIV could be a good
20 surrogate marker of xenotransmission of other viruses,
21 including Simian retroviruses, such as SIV or Simian-
22 type D or STLV. And in a sense, it's the center for
23 other possibly more pathogenic viruses. You can think
24 of it this way. We have ongoing screening of our
25 Cameroonian samples for SIV and STLV. Next.

1 But, in fact, we do have data that tell us
2 that other retroviruses are also crossing, and this is
3 what I alluded to before from our domestic
4 surveillance where we identified two cases that are
5 SIV-infected and two other ones that are seropositive
6 for Simian retrovirus infection, and this is a joint
7 study with Dr. Lerker who will be the other speaker
8 where two cases were identified to be serologically
9 positive. This is the Western Blot here, utilization
10 antibody-positive but virus isolation-negative and
11 PCR-negative. So no evidence of viremia in them but
12 evidence of seropositivity.

13 This was observed over a two-year period
14 in one person, suggesting probably an infection in
15 this one. However, Case 1 here is the one that is
16 also Simian Foamy-positive. So, again, it's a
17 reminder that the question of other viruses or other
18 Simian retroviruses that may be also crossing is not
19 a hypothetical. Next.

20 So I will end up with some questions on
21 the emergence of SIV and its implications for the
22 blood supply. What do we consider are the criteria
23 for a new virus to process for the blood supply? Of
24 course, you can think of the infected donors to be
25 asymptomatic, but the viruses causes persistent

1 viremia, so it can transmit. The virus is able to
2 spread among humans and of course can cause -- has the
3 potential to cause some disease. For SIV, I think the
4 available data show that this is true, this is true,
5 because you have at least PBL associated viremia.
6 This is still unclear at this point, and this is still
7 unclear at this point. But at least some criteria
8 have been met so far.

9 And my last slide is a big thank you to
10 the people that have been contributing to this work.
11 Many names have already in or out because many have
12 gone to other places, but a large number of people
13 have contributed to it. A special thanks to Bill
14 Switzer, who's the PI of the domestic surveillance,
15 and Nicholas Lab for the Simian Type D serology, our
16 collaborators from Johns Hopkins and Cameroon for the
17 Cameroonian studies. Thank you.

18 ACTING CHAIRMAN ALLEN: Thank you very
19 much. That was a very good overview. Comments or
20 questions for Dr. Hemeine? Dr. Strong?

21 DR. STRONG: Within a given species, since
22 you have substantial sequence data, do you see this
23 virus being more or less mutagenic as compared to
24 other viruses?

25 DR. HENEINE: The virus causes cytopathic

1 effect in-vitro, so it's not an oncogenic virus like
2 HTLV, STLV and others, so it's a cytopathic in-vitro,
3 it causes cell death. In-vivo, in the natural host,
4 it seems to -- the host seems to control it well and
5 we don't know of any disease that is associated with
6 it. If I think I understood your question is do we
7 see any evidence of adaptive events or mutations after
8 cross-species? We're very interested in this, and
9 actually we've been screening sequences we have
10 already; this is ongoing work. We don't have any data
11 at this point.

12 DR. KHAN: If I can just comment on that
13 question. In my lab, we have looked at various
14 naturally occurring viruses from Rhesus macaque and
15 from pigtail macaques, and we have analyzed the
16 sequences as well as studied the biological properties
17 of the viruses in-vitro, and we have found that within
18 any one group -- within any one species there is a
19 diversity in terms of the sequences as well as in
20 terms of the biological properties in-vitro, namely
21 replication properties. So we have not found any two
22 viruses that are identical.

23 ACTING CHAIRMAN ALLEN: Would you identify
24 yourself to the reporter, please?

25 DR. KHAN: I'm sorry. Arifa Khan from

1 Sieber.

2 ACTING CHAIRMAN ALLEN: And do the immune
3 responses cross-react with those differences? In
4 other words, do the antibodies that are formed in the
5 animals also recognize the same?

6 DR. KHAN: In general, the antibodies
7 against the highly conserved proteins are cross-
8 reactive and can pick up the various different
9 viruses.

10 ACTING CHAIRMAN ALLEN: Dr. Lew?

11 DR. LEW: There was a question earlier
12 about viral load. I noticed in your slides you did
13 have a PCR, it looked like, for the Simian Foamy
14 Virus. And so you had the group of husbands and
15 wives. Did anyone try to look at viral load in those
16 husbands and look at viral load over time? Do you
17 have any sense of what the viral load is?

18 DR. HENEINE: We know it's a cell-
19 associated infection predominantly, so most of the
20 viruses in the peripheral blood lymphocytes, again
21 like HTVL or other HIV. We only analyzed samples from
22 four or five cases where we looked at cell-free
23 viremia by RTPCR and we were -- all the samples were
24 negative except one time from one case, the
25 seropositive for both type D and Foamy.

1 Regarding your question on the pro-viral
2 loads, we're beginning to look at that, and we have
3 data from the chimp-infected SIV where we're trying to
4 compare the pro-virus load in naturally infected
5 chimpanzees to the human cases. So far they look
6 similar. We don't have big differences. And they're
7 detectable easily from the peripheral. So if you
8 think of comparing to what we know from HTLV, HIV and
9 Foamy, HTLV has the higher pro-viral loads, Foamy is
10 next and then HIV, and the asymptomatic stage is
11 lowered. So that's the trend we're seeing thus far.

12 ACTING CHAIRMAN ALLEN: Just picking up on
13 that question, do you have any evidence in the humans
14 that you know are infected, any variability over time
15 in terms of viral load?

16 DR. HENEINE: The long-term follow-up
17 study where we enrolled those seven cases is going to
18 provide us that information. And we're collecting
19 samples over six months to 12 months, so we'll be able
20 to answer that. I think at this point we don't have
21 any information.

22 ACTING CHAIRMAN ALLEN: I suspect you
23 don't have any known infected humans that have died
24 that gives you an opportunity for looking at other
25 tissues for evidence of pathology or infection. I'm

1 thinking in particular if you've got peripheral blood
2 lymphocytes, are there other -- you know, what's
3 happening in lymph nodes, in the spleen and so on?
4 What about some of the non-human primates that are
5 infected, what does pathology show there?

6 DR. HENEINE: Probably I should have put
7 one slide from the long-term follow up where we looked
8 at distribution. It's not a lot of cases but we were
9 able to amplify viral sequences from semen, from cell
10 palates from saliva and from cell palates from urine.
11 So it looks like -- of course in addition to
12 peripheral blood lymphocytes. So it does look like
13 there's a wide distribution in the biological fluids
14 of virus-infected cells.

15 And in some instances, we were able to
16 isolate virus from the throat swabs or cells from
17 saliva. Virus titers, we don't have idea about it,
18 but it does seem at least that the scenario is similar
19 maybe to the natural host in terms of tissue
20 distribution. But, again, very preliminary data on
21 very few cases.

22 ACTING CHAIRMAN ALLEN: Dr. Lew again and
23 then Dr. Klein.

24 DR. LEW: Yes. And you mentioned
25 cytopathic effects. Which cell lines are affected and

1 what type of cytopathic effects are you seeing?

2 DR. HENEINE: The Foamy Virus are
3 notorious to have a wide cytotropism, wide host
4 cytotropism, and they're cytopathic to many different
5 cell types. We routinely grow them in human cell
6 lines, IG cells, whatever, canine cell lines, dark
7 cell lines, I mean they grow very easily in different
8 cell lines from different primates -- very disparate
9 primate species.

10 DR. KLEIN: Do you have any indication of
11 the percentage of circulating lymphocytes infected in
12 the animals and any quantification of the nodes or
13 other lymphoid tissues?

14 DR. HENEINE: No, we don't, but based on
15 the pro-viral load data, the limited data that we
16 have, we did some comparison with our experience with
17 HTLV and asymptomatic HIV-1. It does seem it's in
18 between. HTLV-1 is really at the higher end, Foamy is
19 in between, and there was no differences between
20 primates and humans.

21 DR. KLEIN: Is there any evidence on what
22 happens to a newly infected animal in terms of spread
23 of the virus?

24 DR. HENEINE: Well, maybe some data from
25 the next speakers will tell us about the newly

1 infected animals.

2 ACTING CHAIRMAN ALLEN: Please identify
3 yourself.

4 DR. SANDSTROM: Paul Sandstrom from the
5 Public Health Agency of Canada. Dr. Brooks in his
6 presentation that's going to be after Arifa's will
7 present some data on viral load or at least
8 quantitative data on viral load in comparison to cells
9 as well as some indication of what goes on in the
10 animals in the weeks after infection.

11 ACTING CHAIRMAN ALLEN: Dr. Hollinger?

12 DR. HOLLINGER: Just want to be clear
13 about something. You find this only in the PBLs and
14 not in the plasma at all; is that correct?

15 DR. HENEINE: Yes.

16 DR. HOLLINGER: Okay. The second this is
17 you showed a slide which showed species specificity
18 and the origin of some of these Simian viruses, the
19 SFV. Do you find transmissibility then between
20 gorillas and mandrills? I mean some of the studies
21 have shown this to be transmitted apparently to
22 humans, but I would think that you'd also see it
23 transmitted to other non-human primates as well. Has
24 that been shown?

25 DR. HENEINE: Yes. It's primarily species

1 -- the clades and their primate species have co-
2 evolved over time and this is wide evidence. In fact,
3 we could use Foamy Virus as the best model for host
4 virus co-evolution in cross-speciation, the best model
5 we have so far. However, there is also cross-species
6 infections, and we think we've identified at least a
7 couple of animals that have dual infections with their
8 own clade and another clade. These came actually from
9 captive animals that were in contact with other
10 primate species. So primarily you see species-
11 specific variance, but we also saw, though
12 infrequently, cross-species infections among primates.

13 ACTING CHAIRMAN ALLEN: Dr. Cunningham,
14 Ron Wilson and Dr. Epstein.

15 DR. CUNNINGHAM: I don't know if I missed
16 this or not but when you have had a chance to look at
17 samples over a period of time, is the genome stable or
18 does it seem to have mutational alterations over some
19 period of time?

20 DR. HENEINE: That's a good question. I
21 think the genome of Foamy compared to HIV is more
22 stable. We still don't have quantitative data when
23 you compare it to, say, HTLV, which is very stable.
24 But at this point we think it's more at the stable end
25 rather than the high diversity end variable like HIV

1 is. But you could see evidence of quasi-species in
2 the infected animal and in humans, and you could see
3 some evolution over time, but it is slow compared to
4 HIV.

5 MR. WILSON: Thank you, Walid. In the
6 Boneva study, do you happen to know what the duration
7 of storage of the blood components was before
8 transfusion?

9 DR. HENEINE: I'm sorry, I didn't hear
10 you, in what?

11 MR. WILSON: Yes. In the Boneva study?

12 DR. HENEINE: Yes.

13 MR. WILSON: Do we know how long the units
14 were stored by the refrigerator at room temperature
15 before transfusion? Because in the HTLV experience,
16 we know that if units are stored more than two weeks,
17 the rate of transmission falls off, presumably related
18 to death of leukocytes. And I just wonder whether a
19 similar phenomenon has gone on and whether we learned
20 anything in that regard from the lookback study or,
21 conversely, has it ever been examined in-vitro what is
22 the storage stability at four degrees or room
23 temperature of infected leukocytes?

24 DR. HENEINE: I don't recall the data if
25 it's in the paper, but we can check the paper and get

1 back to the question of duration.

2 MR. WILSON: I think it's in Table 1.

3 DR. HENEINE: Stability in-vitro, we have
4 not looked at it.

5 ACTING CHAIRMAN ALLEN: Dr. Strong.

6 DR. STRONG: SFV has also been considered
7 to be an ideal gene vector for those that are doing
8 molecular genetic engineering. Do you know to what
9 extent it has penetrated that marketplace?

10 DR. HENEINE: Well, most of the vectors
11 are actually non-replicating vectors, which is a good
12 thing. There's also interest with some groups,
13 including Dr. Folks in our branch, to use live vectors
14 for gene delivery. It all depends on the incidence of
15 disease and what these infections do. There is a lot
16 of questions raised right now in the field as we're
17 understanding that those infections are probably more
18 prevalent than we previously thought than whether or
19 not -- I guess it all depends on how the data come
20 out. But the vectors have large number of advantages.

21 DR. STRONG: Thank you.

22 ACTING CHAIRMAN ALLEN: Other burning
23 questions? If not, I think let's move on to our
24 presentations and come back for the general
25 discussion. Our next speaker is Dr. Kahn of Simian

1 Foamy Virus transmission studies.

2 DR. KHAN: Thank you. Next slide, please.

3 As you have heard, SFV can be transmitted to humans by
4 injuries involving infected non-human primates, most
5 probably due to the saliva, as in the case of some
6 animal handlers and zoo keepers. Additionally, recent
7 data has shown that hunters in Africa can be infected
8 due to exposure to blood tissues and meat consumption
9 infected among human primates.

10 In all of these cases, the infection
11 results in long-term persistence in the host, and in
12 the next slide is indicated the reason why. Because
13 the viral sequences in a retrovirus must integrate as
14 a normal part of the host cell DNA as a critical part
15 of the retrovirus life cycle. This results in
16 lifelong infection of the host cell.

17 In the next slide, in general, in case of
18 other retroviruses it's been demonstrated that
19 retrovirus integration can in many cases result in the
20 generation of pathogenic viruses or the virus
21 insertion directly can result in mutagenesis by
22 various mechanisms, such as activation of tumor genes
23 or disruption of normal gene functions, such as tumor
24 suppressor genes. Next slide, please.

25 Although to date there has not been any

1 clear evidence that Simian Foamy Virus is associated
2 with pathogenesis; however, this retrovirus is unique
3 in its biological properties and therefore there are
4 concerns regarding Simian Foamy Virus.

5 Number one, primarily it's the
6 unrestricted host ranges of Simian Foamy Viruses.
7 Regardless of the species of origin, most Simian Foamy
8 Viruses have demonstrated a very broad host range.
9 They can infect avian cells as well as a variety of
10 mammalian cells, including human, as you have heard.
11 They have a very broad tissue tropism as well as a
12 very broad cell tropism, and the question came up
13 earlier regarding how does the virus replicate or
14 cause CPE in different cell types. My lab has done
15 studies in a variety of different human cell lines,
16 and we have found that the virus replicates highly
17 efficiently in fibroblasts and then the replication
18 varies depending upon the different cell types. In
19 general, in epithelial cells, we found that the
20 replication rate lags that of fibroblasts and in
21 lymphoid cells the rate is also different. So the
22 virus can infect all cell types of various species,
23 however the replication of a particular virus is
24 dependent upon the cell that it infects.

25 Additionally, there was a question earlier

1 regarding the different types -- or Foamy viruses of
2 a certain species, and I wanted to just mention that,
3 again, from one particular species in our experiences
4 in macaques, we find that you can get viruses with
5 different replication properties. They range from
6 highly efficient to less efficient; however, in all
7 cases we do get infection of the cells.

8 As I mentioned, we do see cytopathicity to
9 various extents depending upon the cell type. Foamy
10 Virus, in all cases you get infection that can result
11 in latency, especially in humans, as you have heard.
12 In one case, infectious virus was isolated 30 years
13 post-infection from an infected human, and this was a
14 CDC study. And it is this latency that is of concern
15 in terms of its potential transmission in blood,
16 because the virus can persist in a quiescent state and
17 basically it can even go undetected, maybe
18 asymptomatic. However, because it is a retrovirus it
19 has the opportunity to generate into a pathogenic
20 virus and then therefore result in serious
21 consequences.

22 It is this concern of its persistence in
23 human cells, especially PBMCs, that is the question
24 that we are addressing today, whether there is a
25 potential risk in terms of blood transfusion. The

1 next slide, please.

2 And this just states the question, and
3 this question was also posed to the Committee in
4 December 2001. The next slide. At that time, data
5 was lacking in terms of blood transmission studies and
6 this was the FDA study that I had proposed.
7 Basically, we proposed that we would take whole blood
8 from an SFV-infected Rhesus macaque and inject it into
9 negative animals. The blood recipients will be
10 monitored for SFV infection by a variety of parameters
11 -- virological, serological, molecular as well as
12 clinical analysis. And we will follow the inoculated
13 animals or the transfused animals for at least one
14 year to evaluate the infection by blood transfusion.

15 And in the next slide is outlined the
16 blood transfusion study that we have now conducted in
17 Rhesus macaque. We use a well characterized donor in
18 which the Simian Foamy Virus has been isolated, the
19 sequences determined in a limited extent, as well as
20 the biological properties studied. And the recipient
21 animals are retrovirus-negative. They were obtained
22 from an FDA colony that's at Morgan Island, South
23 Carolina, and the recipients were negative for other
24 retroviruses, including SRV, SIV, STLV and of course
25 for Simian Foamy Virus.

1 The animals were initially screened by
2 antibody assays. At that point, while we were waiting
3 for the results, they were housed individually. Prior
4 to the actual initiation of the study, the negativity
5 of the animals was confirmed by PCR and then further
6 additionally confirmed by virus isolation, because we
7 wanted to be absolutely sure that there was not any
8 low-level infection in the animals that could
9 eventually come up and confuse the results of our
10 blood transfusion study. So we had confirmed negative
11 animals for the study, and we additionally included a
12 negative animal as a control in the study. And the
13 study was done under approved animal protocol, of
14 course, and the donor and the recipients were housed
15 in different rooms and each was housed singly, of
16 course.

17 And the next slide is the protocol that we
18 followed. Blood was collected prior to transfusion to
19 prepare controlled or pre-bleed samples or transfused
20 samples for PBMC and plasma. And, additionally, the
21 animals were tested by serum chemistry and hematology
22 to evaluate their clinical status at the initiation of
23 the study.

24 For blood transfer, 20 mls of whole blood
25 was drawn in Heparin from a donor animal, and 10 mls

1 was injected into each of the recipients. And I
2 should mention that we went with using Heparin as the
3 anticoagulant because in SIV studies we know that
4 blood collected in Heparin can transmit SIV into other
5 naive animals -- monkeys -- and therefore we wanted to
6 use a model that we know works for a retrovirus to
7 initially evaluate the results. And the control
8 monkey received 10 mls of just PBS.

9 In the next slide it's indicated how we
10 monitored the animals for virus infection. We did
11 antibody detection by initially Dot Blot and
12 confirmation by Western Blot. Virus sequences were
13 detected by PCR and nucleotide sequences determined
14 for confirmation of identity. Virus isolation was
15 done by using monkey PBMCs in cold-culture studies I
16 will describe later, as well as the animals were
17 monitored clinically by hematology and serum chemistry
18 as well as by physical exam.

19 And I will not be able to present all of
20 the data here, but I should indicate that initially
21 during about the first three months of this study the
22 animals were very closely monitored initially on a
23 weekly basis. All of these assays were conducted on
24 samples, conducted weekly, and once we could see when
25 the animal developed a positive result, then it was