

1 donations that are being given in the tail of an
2 epidemic that are missed by ID-NAT that had we done
3 four replicates, you know, we know that five percent
4 of the donor pool in these regions got infected and
5 yet only a very small fraction came in at exactly the
6 time of the viremic phase.

7 So there is a lot of people giving in that
8 downstream convalescent phase that a single ID-NAT is
9 not picking them up. These units have been transfused
10 extensively and no infections have been observed.

11 MEMBER KUEHNERT: The bottom line is most
12 presumptive -- the vast majority of PVDs are
13 confirmed. And so that's something that, you know, I
14 think health departments, we're trying to communicate
15 that message and --

16 DR. BUSCH: Right.

17 MEMBER KUEHNERT: -- it would be helpful
18 for blood centers to communicate that also because
19 that presumptive sometimes throws people.

20 DR. BUSCH: Right. And actually Steve
21 Kleinman has a paper coming out soon that will really
22 document that.

23 MEMBER KUEHNERT: Great.

24 CHAIRMAN ALLEN: Dr. Kleinman, you want to
25 make a quick comment on that?

1 DR. KLEINMAN: Yes, in the 2003 data,
2 using the ABC's presumptive viremic donation
3 definition, which is a little different than the Red
4 Cross, is actually 99 percent positive predictive
5 value for presumptive viremic indicating confirmed
6 viremic.

7 And I think it was kind of similar in
8 Sue's Red Cross definition. So it's very high.

9 CHAIRMAN ALLEN: Thank you.

10 Dr. Lew?

11 MEMBER LEW: Just as a follow up for what
12 was said, it sounds like the study design though, you
13 mention this person, if you all had known he was
14 negative at the last time, you would have told him not
15 to come back. But he happened to come back and you
16 all went ahead and drew blood. Is that correct? And
17 he happened to be positive the second time?

18 DR. BUSCH: Correct.

19 MEMBER LEW: So just by the study design,
20 you may have missed a number.

21 DR. BUSCH: Yes, there's no question.
22 Again, had we done, you know, replicate NAT on further
23 follow-up leads, on a lot of cases we would have
24 determined that that window was longer. So it's all
25 dependent on the sensitivity of your RNA assays just

1 like the HPV discussion yesterday.

2 CHAIRMAN ALLEN: Dr. Williams?

3 DR. WILLIAMS: Mike, as you are aware, the
4 recommendation to screen donors for headache with
5 fever symptoms within the last week was largely driven
6 by the CDC studies of the 2002 epidemic, which found
7 that three of the 14 implicated donors had pre-
8 donation symptoms.

9 And you've speculated that IgM both would
10 be related to symptoms and quite likely would result
11 in a neutralized non-infective donation.

12 So how do you resolve those two findings?

13 DR. BUSCH: Well, again, you know, the
14 symptoms, if you look at people who are presenting
15 with symptomatic West Nile infection, with either the
16 febrile or the neuroinvasive symptoms, you know, 100
17 percent of those people are seroreactive. By the time
18 the symptoms occur, RNA screening with standard RNA
19 assays is not sensitive enough because the primary
20 viremia phase has been resolved.

21 And, you know, I think also in the natural
22 history studies, both of the donors that you'll hear
23 from Susan and from Blood Systems, indicate that the
24 symptoms come on subsequent to the primary viremic
25 phase. That symptom complex is probably immune

1 mediated. So these people are, you know, the
2 neurologic symptoms are a reflection of the immune
3 response to the infected cells.

4 And so to me, that that plasma viremia is
5 neutralized isn't inconsistent at all with the fact
6 that the symptoms are occurring concurrent with the
7 development of the immune response.

8 MEMBER NELSON: Yes, but I think Dr.
9 Williams was raising the issue that there were three
10 cases where transmission had occurred from people who
11 previously had symptoms. So that would suggest that
12 maybe the virus wasn't neutralized in those three
13 people if the symptoms were due to the West Nile
14 infection. Isn't that what you were talking about?
15 And I think there is a discrepancy there.

16 DR. BUSCH: Yes, it could be. And, again,
17 if you -- I think we'll see from Sue, a lot of donors
18 who aren't infected but who were caught by false
19 positive results indicate there were symptoms in the
20 week before. So unless you've got a controlled
21 population, it depends on the symptom complex you're
22 talking about.

23 I mean a lot of people will report after
24 the fact that they had a headache or fever in the week
25 or two prior to the donation. So that's not

1 necessarily, you know, related to their viremia that
2 led to the transmission event.

3 In all of those cases, yes, the people, I
4 think, had detectable viremia without antibodies. So
5 that would suggest -- I mean that question of whether
6 viremia, in the absence of any detectable immune
7 response, can be associated with a syndrome, a fever,
8 headache syndrome, is -- I don't think there's
9 evidence that that does happen. But I'm sure it's
10 controversial.

11 MEMBER NELSON: You know the one that
12 leads to the really great data on -- I mean we know a
13 lot about the biology of this virus infection because
14 of the screening of blood donors, that's for sure.

15 But the one population that we can
16 actually learn more about the length of the window and
17 that kind of thing would be plasma donors who donate
18 frequently. And, unfortunately, because of the viral
19 inactivation, they're not involved.

20 But it would seem that if you could save
21 some samples from an endemic area, an epidemic area
22 from plasma donors where you'd have samples taken
23 every few days during the epidemic, if that could be
24 arranged, it might add to the data. It might be
25 useful. It would require, you know, cooperation and

1 negotiation and what have you. But I think it might
2 be useful.

3 CHAIRMAN ALLEN: Yes?

4 DR. KAHN: Mike, you are talking about IgM
5 infectivity and so on, how sensitive is -- first of
6 all, how sensitive is the IgM assay that has been
7 used, the IgG assay? How low level of immunoglobulin
8 detection can reach?

9 And second, how sensitive in the fact of
10 discriminatory between IgG and IgM, how specific is
11 the test for IgG/IgM? Could you please comment on
12 that?

13 DR. BUSCH: Yes, I mean these aren't tests
14 that we were involved at all in developing. There are
15 four or five commercial assays, Focus, PanBio, Abbott
16 had an assay. And then there's also CDC's assays.
17 And we've done very rigorous comparative studies in
18 there. They are virtually identical. And Kyrone also
19 has a variety of serologic tests, both EIA and REBA
20 format.

21 In terms of the time to detection of
22 antibody, they're obviously picking up antibody,
23 particularly IgM prior to clearance of -- you know,
24 with significant -- I don't have data with respect to,
25 you know, picograms of IgM or IgG.

1 DR. KAHN: Yes, that's exactly where I
2 want to go because we don't know if recurrence of
3 infection, not disease --

4 DR. BUSCH: Right.

5 DR. KAHN: -- in the presence of
6 antibodies possibly. And one way of demonstrate that
7 is that antibody can be treatable before outcome of
8 diseases Dr. Klein mentioned. And as we know, IgM can
9 last from one year to the next year.

10 We don't know if some is left over in the
11 second infection from a different strain or so on
12 because it still needs to be done if what we are
13 detecting calling negative for IgM doesn't have any
14 IgM at all. It's still questionable.

15 DR. BUSCH: Yes. And two other points,
16 one is that these assays are IgM/IgG capture assays.
17 And, again, all of the different assays that we
18 evaluated had IgM and IgG configurations and they
19 identically paralleled one another. So I think they
20 are specific for IgM, IgG, and IgA.

21 The other thing we had, I think Sue will
22 show some wonderful data on ramp-up dynamics, and we
23 had like seven cases which had two bleeds prior to any
24 antibody. And we thought we would get good ramp-up
25 data. But in six of those seven cases, the viral load

1 actually dropped before the IgM kicked in.

2 So that suggested something else is
3 underlying the control of that primary viremia besides
4 these antibodies. Either they are complex and we
5 can't detect free antibody because it's all bound to
6 the virus or they are cell mediated or host, you know,
7 replication capacity issues.

8 CHAIRMAN ALLEN: Okay. We are running
9 well behind. Dr. Klein, a quick comment.

10 MEMBER KLEIN: Yes, just a quick comment
11 on Dr. Williams' referral to the 2002 donors that
12 transmitted.

13 While it is true that three of the 14 had
14 symptoms prior to their donation, I think two
15 important points need to be kept in mind. One is we
16 weren't doing Mini-Pool NAT testing in 2002.

17 It may have been that those three people
18 would have been detected by tests that are currently
19 in place. Therefore, we don't know whether the
20 question of headache and symptoms is necessary because
21 we can't compare them. It would only be necessary if
22 they were test negative. And we don't know that.

23 Secondly, only one of those three donors
24 actually had the symptom of fever and headache within
25 the prior week. The two other donors had that

1 symptom, I think in one case two weeks before and in
2 one case greater than two weeks before. So our
3 question, presumably, would not have caught those two
4 donors anyway.

5 So I think this becomes important later on
6 when we talk about the symptom question and what we
7 should do with it. I just wanted to make those
8 clarifications. I don't think I'm misspeaking if
9 anybody else is familiar with the paper.

10 CHAIRMAN ALLEN: Okay. And that certainly
11 is an important question.

12 We're going to change -- modify our
13 schedule slightly. Dr. Stramer will speak and have the
14 full time allotted to her to present the Red Cross
15 data. And then we will have a break as soon as she
16 completes her discussion. And move on to the rest of
17 the agenda right after the break.

18 So, Dr. Stramer, we look forward to the
19 Red Cross data.

20 DR. STRAMER: Thank you.

21 In order to consolidate the number of
22 slides, I combined my title slide and my outline.

23 (Laughter.)

24 DR. STRAMER: That's about all the
25 consolidation that you'll see. I'll present similar

1 types of data as Mike did with some emphasis, though,
2 on some of the FDA questions related to the donor
3 deferral.

4 I'll review our donors identified in 2003
5 that were positive by prospective Mini-Pool and
6 individual donation NAT. I'll review our
7 retrospective individual donation NAT studies.

8 I'll review our modeling viral dynamics.
9 We used a little bit different approach but the time
10 periods, as reported by Mike and me, will not be that
11 much different although we have to sit down and really
12 do a side by side.

13 Then I'll go through our 2004 data to
14 October 19th by both Mini-Pool and individual NAT
15 screening.

16 And then we've looked at some data for
17 efficacy of donor deferral based on the headache with
18 fever question seven days prior to donation.

19 Next. I've highlighted in red what I'd
20 really like to go through to move through these slides
21 quickly.

22 In 2003, we had 415 confirmed positive
23 donors identified. We used the Gen-Probe TMA
24 screening method as does Blood Systems. For
25 confirmation, we repeat TMA and we do PCR, a validated

1 assay at National Genetics Institute, and we do IgM
2 seroconversion in the retrieve plasma unit.

3 The method of IgM we used was Abbott and
4 we have found that to be a little bit more sensitive
5 than the CDC test and more sensitive than the Focus
6 test in our validation.

7 Our overall frequency was about one in
8 5,700. The range of positive donors last year was
9 from the end of June through the first day in December
10 and 74 percent, three-quarters of our positives, came
11 only from two states, Nebraska and Kansas, or 307 of
12 415.

13 Next. This was where we saw cases last
14 year, again emphasizing Nebraska and Kansas.

15 Next. And on the previous slide, as I'll
16 show for this year, the red dots don't indicate the
17 number of cases, they indicate the counties. So there
18 may be multiple cases per county. Last year we
19 triggered -- we had developed a trigger that we used
20 this year to initiate individual donation NAT and we
21 did that prospectively from August 20th through the
22 4th of October.

23 Now we developed the trigger but we would
24 have triggered earlier had we developed the trigger
25 earlier. So we were only able to do this through the

1 second half of the year last year.

2 Through our ID-NAT studies, we confirmed
3 181; however only about half of those required ID-NAT
4 for positivity. And of those ID-NAT positives, 92
5 percent of them, or the vast, vast majority, were IgM
6 positive at index and only eight percent, or eight of
7 96, were IgM negative at index and, therefore, most
8 likely to transmit. So that was really the yield of
9 our ID-NAT prospective screening.

10 And the viral loads, in most cases, well,
11 in all the antibody cases, were below the levels of
12 quantitation by the NGI assay, the same issue we
13 talked about yesterday with HB core where the NGI
14 assays only can quant down to 100 copies per mil. So
15 the eight that were IgM negative had viral loads
16 between 100 and 950 copies per mil.

17 Next please. We then also did
18 retrospective ID-NAT based on the request from FDA so
19 that we could complete the entire season, at least in
20 Nebraska, with ID-NAT screening. We did find an
21 additional 21 NAT confirmed positive cases by ID-NAT.
22 And all of them would have required ID-NAT for
23 detection. None of them were detected by Mini-Pools,
24 which is good because it corresponds with our
25 screening data.

1 Of those, we had two that were IgM
2 negative. So if you combine the eight and two for the
3 entire season, we had ten ID-NAT positive, Mini-Pool
4 negatives that were IgM negative. So our total
5 positives in the two states where we were epidemic was
6 328. And of those, which I'll show you in a
7 subsequent graph, 38 percent were ID-NAT detectable
8 only with ten -- or just under ten percent being IgM
9 negative.

10 Next please. Okay, this shows the entire
11 battery of cases we detected by ID prospective,
12 retrospective, and Mini-Pool NAT testing from our
13 first case to our last case. What's important here is
14 the difference between blue and all the other colors.
15 This is the methods of confirmation.

16 What's blue here is those that confirmed
17 with RNA and were IgM negative. Here you can see, as
18 Mike showed, the ramp up of IgM positivity as the
19 season went on. And these two lines indicate the
20 period of time that we were doing ID-NAT testing.

21 Next please. Now this shows for the two
22 epidemic states, Kansas and Nebraska, when we did see
23 cases either that were detectable by Mini-Pool or
24 those that required individual NAT screening for
25 detection. So comparably to the IgM increase, these

1 were those donors that required both ID-NAT and were
2 IgM positive, so increase of IgM reactivity and low
3 level virus.

4 In orange here, I separated out those that
5 were ID-NAT reactive but IgM negative, the ten I
6 showed you. So you can see that they occurred pretty
7 much evenly throughout the season.

8 Next please. Okay, using the slide Mike
9 showed, I'm not going to dwell on the numbers but just
10 shows you numbers that we had during each of the
11 periods to our total of 438 positive. And, again,
12 most of them detectable by Mini-Pool NAT.

13 Next please. So for the seroconversion
14 studies and the viral dynamic studies, we used our 415
15 positive donors. Of those, 350 participated in follow
16 up with 335 seroconverting.

17 But of those 335, we could study -- or we
18 chose to study 186 in detail. And that was because
19 these had multiple closely-spaced follow-up samples.
20 And the time to the first follow up we chose for
21 analysis was less than or equal to 35 days so that we
22 could include the donor with the longest viremic
23 period at their first follow-up sample.

24 Of the 186, 76 showed repeat TMA
25 reactivity in multiple follow-up leads ranging from

1 two to 39 days. And of those 76, 12 have fluctuating
2 or intermittent viremia.

3 Next please. I'll show you three examples
4 of profiles of seroconverting donors. Blue shows you
5 the loss of virus. This is the signal to cut off
6 ratio on the TMA assay. The boxes down here represent
7 the quantitative PCR at NGI. And then this is the
8 Abbott seroconversion to IgM followed by IgG. So this
9 donor's pretty typical in viral clearance.

10 Next please. Here's one where even though
11 the virus didn't go below the cutoff of the assay, you
12 can see kind of a decrease as IgM is coming up and
13 then another spike before viral clearance.

14 Next please. And here you see one
15 actually that went negative. We didn't have volume to
16 do multiple reps but at least in the rep that was
17 tested, it was non-reactive, also non-reactive by PCR.
18 Two more reps were positive in subsequent bleeds and
19 PCR was positive on this 19 days.

20 Next please. So on our modeling study,
21 what we did is we did find three donors who we termed
22 anchor donors. And this corresponds to what Ken had
23 referred to in your question before about studying
24 plasma donors where we could see closely-spaced
25 intervals where these donors were undergoing ramp-up

1 viremia.

2 So we then were able to calculate, doing
3 linear regression, a best fit line for the ramp up of
4 these and then fix our other donors to this anchor
5 line. And then calculate events based on a
6 standardized time. So what we calculated on the three
7 anchor donors was a .46 log increase per day or a .019
8 log increase per hour.

9 The doubling time for these three
10 individuals, their viral infection, was just under 16
11 hours. And then if you back calculate, using the
12 doubling time to one copy per mil to indicate times
13 zero, and then use the lower limit of detection of the
14 TMA assay of ten copies per mil, you can calculate the
15 window period from time zero, that is one copy per
16 mil, to NAT reactivity using the lower limit of
17 detection of the assay.

18 So for ID-NAT, we calculated a window
19 period of 2.2 days and for Mini-Pool NAT, a window
20 period of 4.8 days.

21 Next please. So here you can see the
22 anchor donors. These individuals had a range of
23 viremia presentation between 1,400 and 3,600 copies
24 per mil. And then between 70 hours and 92.25 hours, we
25 actually have the times, you know, relative to

1 donation and their follow-up samples, had progressed
2 to viral loads of 37,000 to 110,000. So here you can
3 see the best fit line.

4 Next please. Now if you apply that best
5 fit line and move it down to one copy per mil and
6 apply -- you can apply the ID-NAT window period here
7 at 2.2 days, the Mini-Pool NAT window period of 4.8
8 days, then if you use this line over time and look at
9 where our IgM non-reactive donors had viral loads,
10 this is for 241 from our 2003, it took 8.2 days to
11 reach the median viral load of 5,800 copies per mil
12 and 12.5 days total to reach the maximum viral load
13 which we saw at 580,000 copies per mil.

14 Next please. Now for the duration of
15 viremia study, firstly we looked at the time the
16 donors presented from our one copy per mil to
17 presentation. And that had a mean and median of 7.9
18 days and a range from 4.3 to 12.5 days.

19 Using the time when donors cleared virus
20 and using an adjustment factor for donors that had a
21 very long inter-donation interval to their first TMA
22 non-reactive result, we calculated a range for viremia
23 from one copy per mil to the end of detection of
24 viremia as 6.5 to 56.4 days with a mean and median of
25 20.5 days.

1 And according to the sample size used for
2 this analysis, it would represent 99 percent of the
3 population.

4 Next please. So this graph now shows you
5 the viral clearance in this 186 donors here giving you
6 the 56.4-day maximum and the median and mean of 20.5
7 days.

8 Next please. Then to calculate from one
9 copy per mil to the time of detection of IgM and IgG,
10 we had IgM first coming up at 6.5 to 29.3 days. And
11 a mean and median of 15.7 days. And then IgG coming
12 up about four days later. But we had a smaller sample
13 set for this. But the mean and median were relatively
14 close but the IgG onset, at least the shortest onset,
15 was about four days after IgM.

16 Next please. And here you can see the IgM
17 duration from -- or the IgM detection that is starting
18 from one copy per mil with a mean and median of 15.7
19 days from one copy per mil.

20 Next please. So if you put all of our
21 times together, this is our timeline slide. So first
22 I said you have an ID-NAT detection of a 2.2 point
23 estimate. Then adding the time it takes to detect by
24 Mini-Pool NAT, you have 4.8 days.

25 And then the time of donor presentation,

1 when donors were picked up by Mini-Pool or ID-NAT
2 screening, we had a 7.9 day mean and median. I said
3 it was about eight days to the median viral load
4 detection so those two agreed.

5 IgM onset had a median of 15.7 days with
6 this range. IgG onset was a little bit later. And
7 then to show the 56.4-day maximum, here you have the
8 viremic period only followed by IgM and IgG so I tried
9 to combine these two colors into purple with a range
10 of 6.5 to 56.4 days.

11 Next please. Okay, what happened in 2004,
12 using the same trigger that we developed last year, we
13 based our switch to ID on four hots NAT reactivities,
14 which is defined as a signal to cutoff ratio in the
15 TMA assay of greater than or equal to 17 and a
16 frequency of one in a thousand.

17 The actions are listed here. We did
18 convene con calls with the regions and the labs when
19 we saw two cases to let them know to be ready.
20 And if regions wanted to trigger early, we gave them
21 that option. So we then converted to ID-NAT and we
22 stopped production of frozen transfusables.

23 Next please. This is where our cases
24 occurred this year. This is only one county -- these
25 are single counties represented, not indicating the

1 number of cases per county. And our hot spot, as CDC
2 already referred to, was California although we did
3 see a few cases in southern Arizona.

4 Next please. Just to highlight here where
5 the majority of our cases occurred, we're in four
6 counties that we screen in southern California, Los
7 Angeles, Orange, Riverside, and San Bernadino. Where
8 greater than one case per county was observed was also
9 in Maricopa County but we also had a case in Pima and
10 Cochise. We had a number of cases in Arkansas. And
11 a number of cases in Kansas.

12 Next please. Overall, we saw for this
13 year 106 presumptive positives and this is our
14 definition based on hot cases, 99 which have confirmed
15 which have an S/CO range of 2.8 to 37. So we will
16 confirm positives that are not necessarily in the hot
17 range.

18 During this time, we also switched to a
19 new probe reagent from Gen-Probe which significantly
20 decreased the number of false positive reactions we
21 were seeing.

22 Next please. These are the areas we did
23 ID-NAT. We did ID-NAT in southern California, in
24 Arkansas, the Greater Ozarks Region, and in our Kansas
25 region, Central Plains.

1 Of the 56 positives we had in southern
2 California, 50 were detected based on ID-NAT. Even
3 though we triggered in Greater Ozarks, we never had an
4 ID-NAT positive. And in Kansas, we did have three of
5 our seven that were detected by ID-NAT.

6 We don't know yet if these were Mini-Pool,
7 you know, if they're ID-NAT only or Mini-Pool
8 detectable. Those studies are still ongoing.

9 Next please. This is our epidemic curve
10 of 2003 versus 2004. Certainly the 2004 data firstly
11 are less and the curve is not as pronounced as it was
12 in 2003.

13 Next please. Similarly, with confirmation
14 we haven't seen the big upswing in IgM but we're still
15 missing seven cases. But I don't know that that's
16 going to change things dramatically.

17 Oh, on this slide, I did want to point out
18 we used the Abbott IgM test in 2003 and then in 2004
19 because, unfortunately, Abbott discontinued their
20 test, we switched to the Focus test. And based on our
21 validation studies, we used a reduced cutoff for Focus
22 of a .67 times the cutoff to detect reactivity.

23 And interestingly enough, using that
24 reduced cutoff if you compare 2003 and 2004, we did
25 get the same relative frequency of IgM negativity and

1 IgM positivity.

2 Next please. Okay, now I want to go into
3 the headache with fever question. That's our Question
4 33 and the donor asserts on Question 33 if they answer
5 yes. So the question is in the past week have you had
6 fever with headache? And if it is yes, we defer the
7 donor for 28 days and enter them into our DDR.

8 The above question is required from FDA,
9 is asked from June 1st to November 30th each year, or
10 longer as directed by the Medical Director.

11 However, at the Red Cross, and this I have
12 no input in, our next software upgrade will make the
13 question required year round. It's just not feasible
14 for us to turn things on and turn things off. The
15 potential for error is too great.

16 So as we're going through this question
17 and the data we have, I ask you to review it
18 carefully because it's important because we are going
19 to be doing a question that may not have any value
20 year round.

21 So to look at the efficacy of the
22 question, we collected data from five regions, two
23 that were West Nile prevalent in 2003, that is
24 Nebraska and Kansas, and then three non-prevalent
25 large regions. I chose LA, Boston, and our region in

1 Portland. And we had a half-million plus donations
2 that were looked at, donors that were looked at.

3 So we compared the positive cases, that is
4 detected by testing, with a yes response to fever with
5 headache question. You would think in epidemic areas
6 you would have more yes responses.

7 Next please. So the vast majority of
8 positives, I already told you, came from two states
9 but the vast majority of yes responses came from
10 Boston and Oregon and they were later than when our
11 cases, which were July and September. These positive
12 responses to the questions started in September
13 through November.

14 We only had some limited overlap in yes
15 responses in cases in September in Nebraska and
16 Kansas. And although the number of actual deferrals
17 that we had was low, a yes response did not agree with
18 West Nile cases by time or by location of the
19 epidemic.

20 And if we assume all yes respondents were
21 West Nile positive, then the sensitivity of the
22 question -- so this is best case -- would have been
23 3.5 percent.

24 Next please. So I'm going to show you now
25 each region very quickly. Red is where virus occurred

1 and blue is where a yes response occurred. So this is
2 in Kansas. So here we had positive cases. And here
3 we had positive responses to question. Seven versus
4 99.

5 Next. This is now Nebraska. These are
6 our number of positive West Nile cases. And these are
7 the number of yes responses, five.

8 Next please. This is southern California.
9 We actually had two positive cases last year. They
10 were travel related, they occurred early, and in the
11 entire region of Los Angeles last year, we only had
12 one donor say yes to the headache with fever question.

13 Next please. Now in Portland, we had one
14 travel-related case and these were the number of yes
15 responses in blue. So they were greater starting in
16 July and running through November.

17 Next please. And lastly, Boston is my
18 favorite. We had no cases but we had yes responses to
19 36 -- 36 donors answered yes. And you can see that
20 this probably represents flu rather than West Nile.

21 Next please. So if you put all the data
22 together, here are the West Nile cases and then here
23 is the onset of a positive response to the question.

24 Next please. Now another way of looking
25 at this was through our surveys of NAT-positive

1 donors. And Sharon Oryton will present these data at
2 the AABB.

3 So all of our NAT-reactive donors -- this
4 is from her abstract, and I'll show updated data from
5 2003 and 2004, but from the abstract 2003, we
6 requested all NAT-reactive donors to complete a survey
7 which was based on CDC's survey that we used in 2002,
8 administered by a donor counselor. And it's completed
9 at the first follow up prior to knowledge of
10 confirmatory results.

11 So every NAT-reactive donor is given a
12 survey so we have built in controls into the study
13 because we have negatives and positives.

14 West Nile symptoms are stratified as
15 occurring prior to, or on the day of, or after
16 donation. Symptoms were more frequent among cases
17 versus controls. And at least one symptom was
18 reported by 78 percent of the cases versus 38 percent
19 of the controls.

20 So we had 78 percent cases reporting
21 symptoms which is certainly higher than one would
22 predict for West Nile. But if you look at the
23 numbers, we had 32 percent pre and 68 percent post.
24 That was significantly different and of controls, an
25 even split of when they answered yes.

1 Next please. So each symptom was reported
2 by over 50 percent of the cases of donors reporting
3 pre-donation symptoms. Fever with headache in the
4 seven days pre-donation was not reported at the time
5 of donation but on survey, it was reported by 4.5
6 percent of cases and 1.6 percent of controls.

7 The majority of donors' symptoms occurred
8 post-donation. And of symptoms reported pre-donation,
9 the fever with headache question, when asked pre-
10 donation, did not elicit a yes response.

11 So we did have bias in the studies since
12 questioning of both cases and controls did occur after
13 a West Nile NAT-reactive notification, which is why
14 these numbers are probably greatly elevated as far as
15 symptoms that were reported.

16 If you are told you have an infection
17 perhaps, you become creative in what symptoms I've had
18 or you've had.

19 Next please. So I'll show you now four
20 slides for control -- cases and controls for 2003 and
21 2004. So here we have the donors who reported at
22 least one symptom, what the most common symptoms were
23 that were reported. This is the updated data set. So
24 it's 33 percent reported prior to donation. On the
25 day of or post-donation, 67 percent.

1 Next please. This is what our controls
2 reported, people who did not have West Nile confirmed.
3 And it was an even split pre and post.

4 Next please. This is then the 2004 data,
5 almost identical to what we see in 2003 where 31
6 percent pre and 69 percent on the day of or post.

7 Next please. These are the controls,
8 again virtually a dead heat.

9 Next please. So in conclusion, although
10 the number of actual deferrals to the above question
11 was low, a yes response did not agree with West Nile
12 cases by time or by location. And best case
13 sensitivity for the question was 3.5 percent.

14 And from our survey of NAT-confirmed
15 positive donors, we showed that the majority of
16 donors' symptoms occurred post-donation. And if
17 symptoms were reported pre-donation, the above
18 question, when asked pre-donation, did not
19 consistently elicit a yes response.

20 Again, there was bias in the study and so
21 what we conclude is that the above question has no
22 measurable value.

23 Thank you.

24 CHAIRMAN ALLEN: Thank you very much, Dr.
25 Stramer. I've got a couple of questions.

1 You calculated the best case sensitivity
2 for the question. Did you calculate a specificity for
3 it?

4 DR. STRAMER: No, we had no way of --

5 CHAIRMAN ALLEN: Okay.

6 DR. STRAMER: -- well, there was no way to
7 really do that with any type of accuracy.

8 CHAIRMAN ALLEN: Appreciating the problem
9 of getting accurate symptom questions, you commented
10 on the bias. And I'm not referring this to the
11 question that is there but more to the laboratory
12 results that you got.

13 And my question would be for donors who
14 had asymptomatic viremia compared with those that had
15 West Nile Fever or Meningoencephalitis, was there a
16 different pattern in terms of the viremic data,
17 appearance of antibody, and that sort of thing? And
18 you probably don't have all that kind of complete
19 information.

20 DR. STRAMER: No, I believe in 2003, we
21 had five donors who actually were symptomatic. And
22 they -- I mean who developed severe disease. And they
23 did donate and they felt fine on the day of donation.
24 So that's really the only information I have.

25 CHAIRMAN ALLEN: But in terms of the

1 duration of viremia or the --

2 DR. STRAMER: No, they were not different
3 than the other duration of viremic individuals. We
4 looked at that, yes.

5 CHAIRMAN ALLEN: Okay. Thank you.

6 Dr. Klein?

7 MEMBER KLEIN: So I think you'll find
8 fewer headaches in Boston now that the Red Sox won the
9 pennant?

10 (Laughter.)

11 MEMBER KLEIN: But more to the point, do
12 you know of anyone who is doing any kind of testing of
13 the donors who report that they have headache and
14 fever a week before donation when they are screened
15 and then are turned away.

16 DR. STRAMER: No.

17 MEMBER KLEIN: Is anyone testing them?

18 DR. STRAMER: No, we haven't done that.
19 But in the 3.5 analysis, we just assumed everyone who
20 answered yes was infected. And even then, it was only
21 3.5 percent sensitive.

22 CHAIRMAN ALLEN: Other questions or
23 comments? Yes, Dr. Kuehnert?

24 MEMBER KUEHNERT: Just wanted to turn to
25 the length of viremia question again. I wondered,

1 first of all, if you can tell us whether Red Cross has
2 had a situation where they've had a donor test
3 positive and then come back for their next donation
4 and been viremic just to sort of get a reality check
5 on whether that has occurred.

6 DR. STRAMER: No. I mean we're deferring
7 the donors now who are viremic for a minimum of 28
8 days.

9 MEMBER KUEHNERT: So when they come back
10 after 28 days --

11 DR. STRAMER: No, wait. Let me finish.
12 That's one criteria. And then the other criteria is
13 that they must test -- I mean this is what the FDA is
14 asking, they must test ID-NAT non-reactive and have
15 seroconverted. If we can't demonstrate
16 seroconversion, even though they cleared virus, we yet
17 require another sample to make sure that what we're
18 seeing is an intermittent viremia in the absence of
19 seroconversion.

20 MEMBER KUEHNERT: But if they actually --

21 DR. STRAMER: So it's really the time of
22 when they would present for subsequent donation is
23 actually far longer, in reality, than 28 days.

24 CHAIRMAN ALLEN: Right. If they had come
25 in and donated a unit of blood, they were found to be

1 totally acceptable, donated a unit of blood, it was
2 positive on NAT testing, because they had just
3 donated, they would be deferred for at least 56 days,
4 wouldn't they?

5 DR. STRAMER: If it's a whole blood donor.

6 CHAIRMAN ALLEN: Yes.

7 DR. STRAMER: Right. But a pheresis donor
8 or an autologous isn't.

9 CHAIRMAN ALLEN: Okay.

10 MEMBER KUEHNERT: So you've had people
11 come back for ID-NAT at 28 days and been positive?

12 DR. STRAMER: Yes, in the follow-up study.

13 MEMBER KUEHNERT: Right, right, in the
14 study, okay, okay.

15 DR. STRAMER: Yes.

16 MEMBER KUEHNERT: The other question I had
17 was just to try to compare apples to apples with Dr.
18 Busch's data. What's the 99 percent confidence
19 interval for length of viremia? I think --

20 DR. STRAMER: The outer limit was 56.4
21 days.

22 MEMBER KUEHNERT: So that was the longest
23 that someone was --

24 DR. STRAMER: Well, not observed but that
25 was calculated based on the modeling.

1 MEMBER KUEHNERT: Oh, okay.

2 DR. STRAMER: Observed was 39 days.

3 MEMBER KUEHNERT: So the 56.4 was a
4 maximum 99 percent? Okay.

5 DR. STRAMER: Well, that was what the FDA
6 requested, 99 percent.

7 MEMBER KUEHNERT: Okay. Thanks.

8 DR. KLEINMAN: Can I comment on that?

9 CHAIRMAN ALLEN: All right. Okay, Dr.
10 Kleinman, do you want to comment on this particular
11 point?

12 DR. KLEINMAN: Yes. Because, Sue, that
13 was 56.4 days from your time zero.

14 DR. STRAMER: That's correct.

15 DR. KLEINMAN: Not 56.4 days from your
16 time of actual detection which, if I understood your
17 data correct, you'd have to adjust by about -- you'd
18 have to adjust downward by about 7.9 days, I think.

19 So then your maximum would be 48 days from
20 the time of detection by NAT. The model would predict
21 a maximum viremia period of 48 days for 99 percent,
22 right?

23 DR. STRAMER: Yes, Steve, you're
24 absolutely right.

25 DR. KLEINMAN: Okay.

1 DR. STRAMER: The 56.4 days is the entire
2 viremic period from one copy per mil to no more virus
3 or one copy per mil on the other end. So you would
4 have to deduct the time period from when the donor
5 actually presented which was 7.9 days. Steve's
6 correct.

7 CHAIRMAN ALLEN: Dr. Williams?

8 DR. WILLIAMS: We'll get a chance to
9 discuss this more after the break. And with a number
10 of card-carry epidemiologists around the table, it may
11 be interesting.

12 But two observations. One is the
13 observation of onsite deferral for any question be it
14 male sex with other males or West Nile Fevers is just
15 a shadow of the total deferral impact which largely
16 occurs before the donors appear at the blood center.
17 So just to keep that in mind that it's really a small
18 proportion of the total deferral impact.

19 And the second comment is what we're
20 really talking about is predictive value for the
21 window period when the NAT assay is going to be
22 negative for the donors. And I would maintain that
23 you really can't get there from the data at this
24 point.

25 So that, you know, as sensitivity issue

1 determination using, as a gold standard, the window
2 period, donors who would not be detected by NATs, we
3 really can't estimate at this point.

4 DR. STRAMER: Well, you can't -- well, you
5 also can't estimate the value of the question.

6 DR. WILLIAMS: That's true.

7 CHAIRMAN ALLEN: Other questions for Dr.
8 Stramer from the Committee?

9 All right, Dr. Busch?

10 DR. BUSCH: Yes, just one comment, Sue.
11 In your follow-up symptom data on the donors, you
12 presented that 78 percent of the cases indicated there
13 was a symptom whereas 30 percent of the controls. And
14 then you showed what percentage of those who reported
15 symptoms reported the symptoms before or after.

16 And I just ran the numbers to calculate
17 out. In the pre-donation symptoms, which I think is
18 the focus of the question, you know how many prior to
19 the index donation had symptoms, if you actually
20 calculate out what percentage had any symptom in the
21 cases, it's .24 percent. And in the controls, it's 14
22 percent.

23 So 24 percent versus 14 percent had any
24 symptom. And none of them, I think, had both fever
25 and headache before the donation whereas after the

1 donation, it's 53 percent in the cases and 14 percent
2 in the controls. So the controls had identical rates
3 of symptoms before and after.

4 DR. STRAMER: Right.

5 DR. BUSCH: And the cases really had
6 virtually identical rates of symptoms before as did
7 the controls where they had much higher rates
8 subsequently. So I think it's a wonderful case
9 control analysis that to me argues that the symptoms
10 before are really background.

11 DR. STRAMER: Right. Because they're
12 background, they blend into the controls. You are
13 right. That's a good observation.

14 Okay, Sharon, the card-carrying
15 epidemiologist.

16 DR. ORYTON: Having done the analysis
17 myself --

18 DR. STRAMER: Well, leave it to the
19 expert.

20 (Laughter.)

21 DR. ORYTON: -- the people that reported -
22 - one thing that's --

23 CHAIRMAN ALLEN: Would you please identify
24 yourself?

25 DR. ORYTON: I'm Sharon Oryton from the

1 FDA. One of the things that wasn't in the abstract
2 and will be in our AABB abstract is there was a very
3 interesting combination. So people didn't just report
4 symptoms pre-donation or just post-donation. We had
5 all kinds of combinations of that. And that will be
6 spelled out.

7 The other thing I do want to point out is
8 even in this population, fever with headache had a
9 positive predictive value of 69 percent. Now granted
10 those individuals pre-donation didn't admit to those
11 symptoms when they donated but the symptoms themselves
12 do have a good positive predictive value for West Nile
13 infection.

14 DR. KLEINMAN: Was it after the donation
15 or before?

16 DR. ORYTON: These were the ones that were
17 pre-donation. Just looking at the 16 that did report
18 fever with headache pre-donation, the positive
19 predictive value was 69 percent.

20 CHAIRMAN ALLEN: That's 2004 data?

21 DR. ORYTON: That's the combined 2003/2004
22 data.

23 CHAIRMAN ALLEN: Okay.

24 MEMBER KUEHNERT: Could I just ask a
25 question? Sharon, was there -- I haven't had a chance

1 to look at the abstract -- was there any kind of
2 multi-varied analysis done to look at independent
3 predictors?

4 DR. ORYTON: The data set really for the
5 number of symptoms that we had really wasn't large
6 enough to do that. And I had hoped with the 2004 data
7 we would be able to. It didn't increase that sample
8 size that large. And I just did that analysis really
9 two weeks ago. So no, I haven't looked at that.

10 MEMBER KUEHNERT: Okay.

11 CHAIRMAN ALLEN: All right. We are well
12 over our planned schedule. Any other questions or
13 comments from the Committee?

14 (No response.)

15 CHAIRMAN ALLEN: Okay. We will take a 15-
16 minute break here. I would like to reconvene at
17 11:40.

18 We will then go into open hearing and then
19 Dr. Williams will make the presentations of the
20 questions and FDA's thinking.

21 (Whereupon, the foregoing
22 matter went off the record at
23 11:26 a.m. and went back on the
24 record at 11:45 a.m.)

25 DR. SMALLWOOD: Dr. Allen.

1 ACTING CHAIRMAN ALLEN: We're going to
2 move into our open public hearing. I've got three
3 speakers who would like to speak: Dr. Jeffrey Linnen
4 from Chiron Corporation; Dr. Steven Kleinman, combined
5 statement from AABB, ABC, and ARC; and Dr. Brian
6 Custer or, Mike, are you presenting his day or is
7 Brian presenting data?

8 DR. CUSTER: I am.

9 ACTING CHAIRMAN ALLEN: Dr. Brian Custer
10 from Blood Systems, Incorporated.

11 ** So I need to read the open hearing
12 announcement, and following that, we can move right
13 into Dr. Linnen's presentation.

14 Both the Food and Drug Administration and
15 the public believe in a transparent process for
16 information gathering and decision making. To insure
17 such transparency at the open public hearing sessions
18 of the Advisory Committee meeting, FDA believes that
19 it is important to understand the context of an
20 individual's presentation. For this reason, FDA
21 encourages you, the open public hearing speakers at
22 the beginning of your written or oral statements to
23 advise the committee of any financial relationship you
24 may have with any company or any group that is likely
25 to be impacted by the topic of this meeting.

1 For example, the financial information may
2 include the company's or group's payment of your
3 travel, lodging, or other expenses in connection with
4 your attendance at the meeting. Likewise, FDA
5 encourages you at the beginning of your statement to
6 advise the committee if you do not have any such
7 financial relationships.

8 If you choose not to address this issue of
9 financial relationships at the beginning of your
10 statement, it will not preclude you from speaking.

11 Dr. Linnen.

12 ** DR. LINNEN: Okay. First slide, please.

13 Okay. The first thing I want to correct
14 is I'm from Gen-Probe, not Chiron.

15 But this assay --

16 ACTING CHAIRMAN ALLEN: Sorry. I'm just
17 reading what's on the paper.

18 DR. LINNEN: -- is the result of a
19 partnership between the two companies, Gen-Probe and
20 Chiron Blood Testing.

21 Okay. Next slide, please.

22 I want to give you an overview real
23 quickly of the assay. This is an investigational
24 assay, and it's currently being run on two platforms.
25 The semi-automated version of the assay is run on the

1 same platform that our licensed HIV HCV assay uses,
2 and we have recently started testing on the TIGRIS
3 system, which is our fully automated system.

4 Testing on the semi-automated system
5 started in June of 2003. Testing on TIGRIS started in
6 August of 2004.

7 Next slide.

8 This shows the semi-automated system. I
9 just want to comment on the throughput. This could be
10 considered a high throughput system. If one
11 technician is working, 182 individual donor testing
12 results can be generated in about five to six hours.
13 If pools of 16 donations are tested, nearly 3,000
14 donations, results could be obtained in the same
15 length of time.

16 Next slide.

17 This shows the TIGRIS instrument. This is
18 a fully automated system. It has a fully automated
19 sample in handling and assay processing. Since I
20 called the semi-automated system high throughput, I'll
21 call this very high throughput. We can obtain 1,000
22 individual donor test results in 14 hours.

23 If pool testing is used, 16,000 pooled
24 results can be obtained in 14 hours.

25 The other thing I want to point out is

1 that it has reagent dispense verification which
2 monitors critical reagent addition steps.

3 Next slide.

4 I want to show some data comparing the
5 performance of the two systems. This is analytical
6 sensitivity data. It's a pretty large experiment. It
7 uses 90 replicates at each copy level. These are the
8 copy levels on the X axis. The bars are percent
9 reactivity. So we're looking at 100 copies to zero
10 copies. The lowest possible samples are at one copy,
11 and you can see at 130 copies the performance is very
12 similar, exactly the same. At ten copies, very
13 similar. You can see that the semi-automated system
14 performed slightly better in this experiment, but you
15 can see then at the next lower copy level the results
16 flip-flopped.

17 So overall I think we would conclude that
18 the results between these two systems when compared
19 appear comparable.

20 Next slide, please.

21 This is also a comparison of the two
22 systems. This shows in-house specificity testing that
23 was done at Gen-Probe. This experiment or these
24 series of experiments along with the analytical
25 sensitivity experiment was done with three lots. So

1 the results are divided among the three lots.

2 What we see here is about 3,000 tests for
3 each platform and two false positives were seen in the
4 semi-automated system. One was seen in the TIGRIS
5 system. Eleven invalid results occurred with the
6 semi-automated system, two with the TIGRIS system.
7 Overall the specificity was very similar, 99.94
8 percent with the semi-automated system, 99.97 percent
9 with TIGRIS.

10 Now, this is similar to what we have seen
11 in the field. It's not quite as good as the
12 specificity that Dr. Stramer showed, but we think it's
13 representative of how the assay performs. So we think
14 specificity is really pretty much the same on both
15 platforms.

16 Next slide, please.

17 Okay. Now, I want to update screening for
18 2004. This year we have a total of 29 sites. That's
19 compared to 24 in 2003. The first confirmed positive
20 donation occurred in the middle of April, and this
21 came from Florida. The confirmatory testing for 2004
22 is similar to what we were doing in 2003. There have
23 been some changes. We are using a different
24 confirmatory net assay. We're now using the Gen-Probe
25 alternative TMA assay, which is a validated assay

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 that's been transferred to the Bayer Reference Testing
2 Lab in Berkeley.

3 We're continuing to use Focused
4 Technologies for IgM testing.

5 Next slide.

6 So this is an overview of the clinical
7 results so far. Based on testing starting in June of
8 2003, we've tested over 15 million donations with the
9 procleics WMB assay, and 1,100 positive donations,
10 West Nile virus positive donations have been
11 intercepted, and that's since the beginning of testing
12 in 2003.

13 If you compare 2003 to 2004, the numbers
14 are really quite different. Two thousand four, based
15 on our algorithm for confirmation, we had 885
16 confirmed positive donations with this test, and these
17 were primarily in Colorado and the upper Midwest.

18 In 2004, the numbers are substantially
19 lower. This number is actually confirmed, positives
20 plus probable positives, basically the same definition
21 that Dr. Stramer used for presumptive positives, and
22 these are primarily in the Southwest, as has been
23 mentioned.

24 Next slide.

25 Okay. I want to say a little bit about

1 the testing that has occurred on the TIGRIS system.
2 Currently, three sites are using the instrument. The
3 American Red Cross in San Diego started in August,
4 August 18th. Flood Systems started later in August,
5 August 26th, and then the Bonfils Blood Center in
6 Denver started August 30th.

7 Now, two additional sites are in the
8 process of preparing the starting testing on this
9 system. So the data that we have as of 10/6 is over
10 36,000 individual donor test results have been
11 generated. We are six initially reactive results, one
12 confirmed positive and five of the results are
13 pending, but based on the SSTOs, most of these will be
14 confirmed positive results.

15 Okay. Next slide.

16 I'd like to show you the confirmed and
17 probable positives for 2004 showing the number by week
18 on the X axis. As you can see, there's a definite
19 peak that occurred, 8/23 or the week starting 8/16.

20 Next slide, please.

21 What's really useful is to compare it to
22 the 2003 data, and you can see the data for 2004
23 almost appears like background compared to 2004, but
24 there's a couple of interesting things when you look
25 at this graph.

1 There's a peak occurs the exact same week
2 between the two years, and there's also this
3 phenomenon where there's a slight downturn in the
4 number of confirmed cases and then it goes back up
5 again. They're not exactly the same pattern, but it's
6 very similar and we don't quite -- haven't analyzed
7 that in detail to try to understand why that might be,
8 whether they're coming from different geographic
9 regions or what the case is.

10 Next slide.

11 I'd just like to recap what I've gone
12 over. This assay has been used to identify over 1,100
13 West Nile virus infected donations, and again, that's
14 since June of 2003. Testing on TIGRIS started in
15 2004, and based on our in-house studies with the lots
16 that are being used for the pivotal clinical trial, we
17 think that the two instrument platforms perform
18 basically the same.

19 And one last slide. I'd like to
20 acknowledge the NHLBI for their support in the
21 development of this assay.

22 Thank you very much.

23 ACTING CHAIRMAN ALLEN: Thank you, Dr.
24 Linnen.

25 Any questions for Dr. Linnen, comments?

1 (No response.)

2 ACTING CHAIRMAN ALLEN: Okay. We will
3 move on to the second presentation, Dr. Kleinman.

4 ** DR. KLEINMAN: Good morning. I'm Dr.
5 Steven Kleinman. I would like to announce that I do
6 have some financial consulting arrangements with
7 manufacturers that are involved in NAT assays.

8 Today I am here representing the AABB
9 Interorganizational Task Force on West Nile Virus.
10 That task force includes members of ABB, America's
11 Blood Centers, American Red Cross. It also has
12 representatives from FDA and CDC, but this statement
13 comes from the three blood organizations that are
14 represented on the task force.

15 So the Interorganizational Task Force on
16 West Nile Virus would like to comment on the available
17 scientific data regarding the deferral period for
18 blood donors who had a reactive or confirmed positive
19 screening test for West Nile Virus by NAT.

20 We will also comment on the recommendation
21 that donors who are deferred based on a reactive or
22 confirmed positive test should be tested and found
23 nonreactive by ID NAT on a follow-up blood sample
24 prior to their reentry.

25 Based on the data presented to the BPAC

1 today from both ARC and Blood Systems, AABB supports
2 an extension of the deferral period from 28 to 56
3 days. Viremia has been found to extend for up to 39
4 to 49 days following a NAT positive donation, and
5 preliminary modeling predicts that the viremic period
6 would be less than or equal to we have 56 days here
7 from the time of one copy per mL, but it's actually to
8 48 days from the time of detection for 99 percent of
9 the West Nile virus infected donor population.

10 The data demonstrate that viremia beyond
11 28 days is at a low level and is accompanied by IgM
12 anti-West Nile virus antibody. To date there has not
13 been a documented case of transfusion transmission of
14 West Nile in the presence of donor IgM.

15 Although the available data set supports
16 the absence of such transmission, it is too small to
17 provide complete assurance that transmission could not
18 occur. Therefore, during the continuation of donor
19 testing under IND, AABB recommends that in addition to
20 the 56-day minimal deferral, donors who test West Nile
21 virus NAT reactive or confirmed positive must have a
22 non-reactive ID NAT prior to reinstatement. This ID
23 NAT could be obtained any time after donation, could
24 be obtained prior to the 56 days, but the donor would
25 still be deferred for 56 days, but the donor would

1 still be deferred for 56 days. That's our position.

2 Data accumulated during the continuation
3 of current INDS can then subsequently be reviewed and
4 may prove to be sufficient to justify discontinuing
5 the ID NAT testing requirement and permitting
6 donations solely on the basis of an elapsed 56 days.

7 We recommend that FDA consider requiring
8 manufacturers to include this ID NAT retesting
9 requirement as part of their ongoing IND. Based on
10 the modeling that predicts that the vast majority of
11 West Nile virus NAT reactive donors will not be
12 viremic beyond 56 days, we additionally recommend
13 automatic reentry, that is, a procedure where no ID
14 NAT required for those donors who do not return for an
15 extended period of time, for example three to six
16 months.

17 So what we're saying here is that if you
18 want to reenter the donor in 56 days, you would need
19 a negative ID NAT, but there are circumstances that if
20 you wait long enough you wouldn't need to obtain an ID
21 NAT and you could still reenter the donor. We think
22 that time frame should be somewhere in the three to
23 six month time frame.

24 Now, turning to the other issue in front
25 of the committee, AABB recommends that the use of the

1 pre-donation question about fever and headache to
2 interdict potential West Nile virus infected donors be
3 eliminated. This question was added to the donor
4 history prior to the availability of screening tests
5 under IND presumably based -- and I think we heard
6 today actually based -- on the data reported by
7 Pealer, et al., for the 2002 West Nile virus season,
8 that three of 16 West Nile virus transmitting donors
9 reported pre-donation symptoms.

10 However, these symptoms were not reported
11 in two of the donors within the seven-day period
12 before donation. It was recognized by the CDC that
13 this question had limited value even at the time of
14 implementation. The data presented today by American
15 Red Cross for 2003 do not support the efficacy of this
16 question. The frequency of reported fever with
17 headache did not correlate with West Nile virus
18 incidence either by geography or by time.

19 Even in the unlikely event that all donors
20 reporting fever and headache had actually been
21 infected in the epidemic regions, the sensitivity of
22 the question would not have exceeded 3.5 percent.
23 Therefore, we advocate elimination of this question
24 which has no demonstrable value and which contributes
25 to an already complicated donor questioning process.

1 A further examination of the 2003 data
2 indicates that donors who tested confirmed positive
3 for West Nile virus had the majority of their symptoms
4 develop post donation. Based on these data, we
5 recommend continued encouragement for donors to report
6 post donation information about fever with headache
7 and for blood thinners to continue to retrieve units
8 that are in inventory from any such donor reports.

9 Finally, we would like to comment on the
10 final sentences in the agency's review of management
11 in the appendix section of the issue summary document
12 for this meeting. This section states that, quote, if
13 a master pool is reactive and all individual donations
14 are nonreactive, a fresh specimen from each of the
15 indexed donations is tested using the original NAT and
16 the alternate NAT method, unquote.

17 Under the current West Nile virus INDs,
18 reactive pools for which resolution testing has been
19 performed and all donations associated with the
20 samples found nonreactive by ID NAT are released
21 without further testing.

22 This is the same scheme used for licensed
23 HIV-1 and HCV NAT assays. It is not realistic to
24 think that an alternate sample under the strict
25 handling requirements of the NAT assays will always be

1 available for testing and that results of alternate
2 NAT on this sample would be available in time to
3 release time sensitive components.

4 There are no data to support the statement
5 that I quoted above from any of the INDS. I think
6 that's the conclusion.

7 ACTING CHAIRMAN ALLEN: Thank you, Dr.
8 Kleinman.

9 Any questions or comments for Dr. Kleinman
10 from the committee?

11 (No response.)

12 ACTING CHAIRMAN ALLEN: We will move on to
13 the third statement. Dr. Custer.

14 ** DR. CUSTER: Hi. I'm Brian Custer, and
15 actually I'm an employee of Blood Systems.

16 What I want to do is actually talk to you
17 about our 2003 donor survey results. We've been able
18 to look at them in a little more detail, and they
19 provide some insight. There are some limitations to
20 what you can glean from the 2003 data and actual
21 survey and the way it was implemented, but I think
22 that it actually is informative.

23 So just briefly, BSI Medical Affairs staff
24 actually administered the questionnaire. It is based
25 on the CDC questionnaire, just slightly modified, and

1 then subsequently, of course, as we know, people
2 rather than confirmed positive or not necessarily
3 confirmed positive due to the issue with false
4 positives, particularly during 2003.

5 Next slide, please.

6 So the people who were interviewed who
7 ultimately then confirmed either negative or positive,
8 63 were negative and 141 were positive. So that's
9 just the lay of the land, the large numbers.

10 The next slide, please.

11 Brief information on sort of who these
12 people were demographically and also the time of the
13 interview in relation to actually the donation, and it
14 was fairly soon after the donation. So we don't have
15 a lot of information on, you know, symptoms 30 days
16 out after a donation, but in regard to age the people
17 who confirmed positive and the people who were
18 negative were essentially the same, and then for
19 gender, a slight suggestion that males were more
20 likely to be positive than females, but that's not
21 statistically significant.

22 Next slide, please.

23 So this is a fairly busy slide. What it
24 does is it covers all of the various symptoms that
25 were actually inquired about during the interview or

1 during the survey, and you can see the first column.
2 This column is the people who confirmed negative, and
3 then the center column is the people who confirmed
4 positive, and then a comparison of -- when it's on,
5 it's on -- a comparison basically using chi square or
6 Fisher's exact test.

7 And fever and headache are not the only
8 symptoms that come out as being significantly more
9 likely in the people who confirm positive. In fact,
10 actually new rash was the one that was most
11 statistically significantly more frequent in people
12 who confirm positive, but there were other symptoms
13 also that were more likely, such as painful eyes
14 (phonetic) and chills and generalized weakness. So I
15 just wanted to make that clear. It by and of itself is
16 not going to necessarily discriminate.

17 Next slide please.

18 But to look specifically at fever,
19 headache, and headache and fever, once again now
20 actually the next slide I will present will actually
21 look in relation to actually the discrimination data,
22 but right now we're just looking at data without
23 regard to the onset date of the symptoms. So these
24 are people who will have donated and may have had the
25 symptom before or may have had the symptom after.

1 If you do look and see that actually with
2 regard to fever, it does seem that people who actually
3 ultimately confirm positive were more likely to
4 report fever than those who were negative. It's a
5 similar situation for headache and actually also for
6 both fever and headache, but once again without regard
7 to the onset date.

8 So now moving on to the next slide, the
9 next slide tries to break this out toward those
10 various periods of interest, and you can see at the
11 top actually is fever, once again, and then there's
12 headache, and then there's headache and fever
13 together.

14 If you look at fever alone, you can see
15 that actually in the week prior to the donation, none
16 of the people who were positive actually reported the
17 symptoms in that interval. For headache, the
18 distribution, once again, you can look and you can do
19 the comparison between the negatives and the
20 positives, but you can see that for the positives it's
21 pretty evenly distributed when they're going to report
22 that headache symptom.

23 And then finally with regard to headache
24 and fever, once again, in that week prior to the
25 donation actually nobody reported those symptoms

1 whether they were West Nile virus positive or West
2 Nile virus negative in final confirmation in those
3 seven days preceding the donation.

4 There were people who reported the
5 symptoms prior to the seven days and also people who
6 reported the symptoms afterwards, and that's really
7 all I wanted to leave you with. We're just sort of
8 looking at that data. We don't see a strong
9 relationship between that particular seven-day
10 interval in advance of the donation and the headache
11 and fever combination.

12 Thank you.

13 ACTING CHAIRMAN ALLEN: Thank you, Dr.
14 Custer.

15 Any questions on these data for Dr.
16 Custer?

17 One wonders whether some people consider
18 mosquito bites to be a rash.

19 DR. CUSTER: That's true.

20 ACTING CHAIRMAN ALLEN: Yes. Dr.
21 Williams.

22 DR. WILLIAMS: While the study was in
23 place was there not a deferral question in place
24 regarding headache with fever and a weak prior
25 donation? So unless there were false negative

1 questions, you wouldn't expect to see that.

2 DR. CUSTER: Well, the question was in
3 place, of course. The simple thing is that all of the
4 people who would have been deferred for that were
5 deferred, but now going back in a sort of
6 retrospective questioning, then people do report these
7 symptoms. So actually everybody reported here would
8 not have been deferred for the symptom complex because
9 they didn't report it at the time of donation.

10 ACTING CHAIRMAN ALLEN: Ken.

11 DR. NELSON: Why did you ask about
12 headache and fever for more than seven days prior to
13 donation?

14 DR. CUSTER: That was the design of the
15 questionnaire, and the questionnaire asked
16 specifically about the onset date, and so those are
17 categorizations that were made after --

18 DR. NELSON: So you first asked if you had
19 a fever, headache and --

20 DR. CUSTER: If you had a fever and then
21 what was the onset date for that fever.

22 DR. NELSON: Because if you look at those
23 data, there were more people reporting fever more than
24 seven days among those who were West Nile virus
25 positive.

1 DR. CUSTER: That's true.

2 DR. NELSON: And you know, I don't
3 understand that.

4 ACTING CHAIRMAN ALLEN: I apologize, sir.
5 Thank you very much.

6 We do have one additional speaker, Dr.
7 Michael Fitzpatrick, America's Blood Centers. It was
8 not on my list, but he does have a handout.

9 Dr. Fitzpatrick.

10 ** DR. FITZPATRICK: Thank you, Dr. Allen.

11 I am Mike Fitzpatrick and I'm employed by
12 America's Blood Centers as their chief policy officer.

13 Just a couple of slides to correlate with
14 Dr. Stramer's information on the impact of the
15 headache and fever question.

16 Next slide, please.

17 We surveyed our centers and got the
18 results that you can see of 5.6 million donor
19 interviews compared to 4.8 million West Nile virus NAT
20 assays, meaning that about .8 million donors were
21 deferred prior to being tested for various reasons,
22 not just the headache and fever question, however.

23 The two blue lines, if you look at them,
24 indicate a dead battery -- no. We've normalized the
25 data as to rate per 10,000. So you're looking here at

1 the rate of positive tests per 10,000 samples tested
2 for West Nile virus testing. Here you're looking at
3 the rate of yes answers to the headache and fever
4 question per 10,000 donors interviewed.

5 The blue lines, this blue line is from
6 centers that actually had a West Nile virus positive
7 test. So they had a donor that answered no to the
8 headache and fever question, was subsequently tested
9 for NAT, and the test came out positive.

10 This orange line indicates those centers
11 who had yes answers to the headache and fever
12 question, but have had zero positive West Nile NAT
13 test results in this period, and this is July 2003 to
14 September 2004.

15 And you see that the headache and fever
16 yes answer lines track fairly well. They're getting
17 about the same rate of positive answers regardless of
18 other West Nile virus test are positive or whether
19 it's in the region, and so the point of this slide is
20 to point out that there doesn't appear to be a good
21 correlation between the West Nile virus test results
22 and the headache and fever question.

23 Next slide.

24 So from that we look at the -- we have
25 similar interview deferrals. We have no correlation

1 to season or the geographic distribution, and we don't
2 really see there's much value in that test. And we do
3 have regional data. For time interest I won't show
4 that to you, but the next slide shows actually a
5 region that Sue talked about also.

6 Next, please.

7 And this is Nebraska. You can see here
8 there were zero yes responses in 15,000 interviews,
9 14,953 tests results with 19 positive.

10 So even in an area where there was endemic
11 West Nile virus and there were positive test results,
12 there were zero yes answers to the fever and headache
13 question.

14 So in regards to one other comment just to
15 Dr. Williams on the self-deferral issue, yes, we did
16 see a lot of self-deferrals for geographic travel when
17 we instituted deferrals for BSE. I think it's
18 unlikely that we're seeing a lot of self-deferrals for
19 advertising about fever and headache and West Nile
20 virus. The downers are asked how they feel during the
21 interview. They're asked about their general health
22 conditions. They're also asked an additional question
23 about fever and headache. It's unlikely that we're
24 seeing a lot of self-deferrals that are not being
25 counted with the fever and headache issue.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 That's all I have. Thank you.

2 ACTING CHAIRMAN ALLEN: Thank you, Dr.
3 Fitzgerald.

4 Questions? Yes.

5 DR. NELSON: Apparently if somebody
6 answers yes to that question, they're not tested for
7 West Nile virus or followed up, right?

8 DR. FITZPATRICK: Correct. If you answer
9 yes and they're deferred, there's isn't a follow-up
10 test, no.

11 DR. NELSON: There is no follow up.

12 DR. FITZPATRICK: Correct. They're not
13 tested.

14 DR. NELSON: I mean that would be one way.
15 You could design a study where you took a bunch of
16 people who reported a headache and then controls and
17 looked for West Nile virus markers then and
18 subsequently. I mean, that might be the best way to
19 get the answer to this question.

20 ACTING CHAIRMAN ALLEN: Doctor.

21 DR. KUEHNERT: Well, I just wanted to
22 point out that, you know, all you're really saying is
23 this question has poor specificity because, you know,
24 your number of donors, you know, overwhelmed the
25 number of West Nile virus positive individuals where,

1 you know, you could see the possible value of it. So,
2 I mean, what you're really saying, they just have very
3 bad specificity, right?

4 DR. NELSON: It usually occurs in
5 December, too, right?

6 DR. KUEHNERT: We're not going to get into
7 that, but yeah.

8 DR. FITZPATRICK: Yeah, I mean, if you
9 look at the regional, even in the regions where as Sue
10 showed, where you had fairly high positive test
11 results and were considered hot regions by both CDC
12 and the blood donor industry, there was no increase in
13 the fever and headache yes answers.

14 So the raw correlation --

15 DR. KUEHNERT: Right, but even there the
16 rate is, you know, one in 1,000, you know. So looking
17 at a graph like that I don't think you could really
18 evaluate anything except specificity.

19 DR. FITZPATRICK: Right. When you have
20 very, very low prevalence, its difficult to draw a
21 correlation.

22 ACTING CHAIRMAN ALLEN: Dr. Stramer, a
23 quick comment and we need to move on.

24 DR. STRAMER: It's bad sensitivity and bad
25 specificity because NAT in Nebraska, the frequency of

1 West Nile positives was one in 143.6 percent of those
2 tested, and even there during the epidemic period we
3 only saw five positives. If you take all of the
4 positives, the yes responses, and you assume all of
5 them are infected, as Ken, you test all of the yeses.

6 Let's assume all of the yeses are
7 positive. Then the sensitivity of the question was
8 only three and a half percent.

9 ACTING CHAIRMAN ALLEN: Thank you.

10 Dr. Lew.

11 DR. LEW: I think it might be worthwhile
12 just pointing out the retrospective study that they
13 showed where there was no positives within the time
14 period, the one to six days, because it is
15 retrospective, there is inherent bias in that if I had
16 donated blood and I initially said I didn't have a
17 fever and headache then and then now I'm asked to come
18 back because I'm positive, I think I would try to
19 remember. If I had a fever and headache, it was a
20 long time ago rather than within the time period I
21 should have deferred myself.

22 I mean I think it's natural for people not
23 to want to implicate themselves.

24 ACTING CHAIRMAN ALLEN: Yes. Potential
25 biases in the way in which we unfortunately need to

1 collect data.

2 Okay. Any other questions or comments?

3 (No response.)

4 ACTING CHAIRMAN ALLEN: Fine. Dr.
5 Williams, would you present FDA's current thinking in
6 the questions, and let's move on with our discussion?

7 ** DR. WILLIAMS: Thanks.

8 Next slide, please.

9 I have a couple of slides before we get to
10 the questions, hopefully to try to clarify matters
11 rather than complicate them. So let's just hope so.

12 I think some key observations which you've
13 already heard are the natural history data,
14 specifically that the maximum observed West Nile
15 viremic period, this point is observed at 49 days
16 based on the information compiled to date.

17 The sensitivity of the current West Nile
18 NAP testing is an underlying issue, and we saw a
19 potential window period of six plus days before the
20 NAP testing, mini pool NAP testing picks up infection,
21 and as mentioned, we don't know what's going to happen
22 in 2005. We don't know what the geographic focus will
23 be, the timing of the epidemic or the extent of the
24 epidemic. As it gradually moves toward the West, it
25 could peter out as the epizootic isn't supported or,

1 like a hurricane, you know, it could curve back and
2 hit somewhere else in the country as more susceptible
3 birds are available. So there is no prediction for
4 2005 available at this point.

5 Next slide.

6 As mentioned earlier, data relevant to the
7 donor screening question for West Nile symptoms is
8 based on the CDC interview studies from the 2002 post
9 transfusion cases that were very carefully followed
10 up. This was published in the New England Journal in
11 2003, and essentially of the 14 donors implicated in
12 transfusion cases, three of those reported prior to
13 their donation event a constellation of symptoms, but
14 looking at that earlier constellation, the combination
15 of fever with headache appeared to show the most
16 specificity for a relationship to subsequent West Nile
17 infection.

18 Now, to answer the question raised
19 earlier, of those three individuals, one reported that
20 the symptoms occurred an interval of seven to 14 days
21 prior to donation, indicating the difficulty in
22 getting recall information as part of the screening
23 process. So seven to 14 for one individual, five days
24 prior to donation for the second individual, and 14 to
25 15 days prior to donation for the third.

1 Two arguably within the seven-day period
2 and one clearly out of that.

3 Next slide.

4 As mentioned also earlier, the
5 distribution of the on-site deferrals for headache and
6 fever doesn't appear to match the patter of West Nile
7 in terms of either time or geography. I think there
8 are some explanations for this, which we can touch on
9 briefly, and one observation which I don't think was
10 mentioned here today, but some information was shared
11 with FDA about the overall prevalence of the on-site
12 deferral question, and at least for one American Red
13 Cross region we were quoted a figure of approximately
14 three per 10,000 for on-site prevalence of deferral.

15 Next slide.

16 So what I tried to do is sort of capture
17 this issue of predictive value over the question.
18 This is really an artificial two-by-two table to try
19 to look at predictive value using on the top two
20 sections of the table the three CDC interviewed donors
21 who had symptoms, the 11 who did not, with a total of
22 14 implicated, and use rather than an historical
23 control kind of a futuristic control for what the
24 background prevalence of responses to that question,
25 the prevalence, would be.

1 And I do this not so much for the numbers
2 themselves as for the concept. The three per 10,000,
3 the ration is what's important. If you conduct the
4 question in a very limited area, particularly a very
5 limited area that has a lot of West Nile epidemic
6 focus, you can potentially reach a very high
7 predictive value.

8 But as you broaden out the geographic area
9 that the question is applied, particularly going
10 beyond the bounds of where there is a West Nile
11 epidemic occurring, the predictive value diminishes
12 potentially down to nine percent if your population is
13 100,000, and you can imagine it gets much, much lower
14 as predictive value if you apply this to the whole
15 country and particularly apply this during the whole
16 year when there is no West Nile occurring.

17 It's hard to have predictive value when
18 there's nothing to predict. So it basically dilutes
19 out the value of the question, and I think argues if
20 there is some predictive value to the question in
21 terms of defining window period West Nile infection,
22 you could optimize the predictive value by applying it
23 in a time period and a geographic area where there is
24 a specific West Nile activity and by even potentially
25 broadening the time frame that you're asking about

1 from one week to two weeks, in which case you would
2 capture all three donors.

3 That has problems in and of itself in
4 trying to screen donors for a historical event. You
5 get into recall bias with the donors, and generally
6 information older than a week is very tough to capture
7 accurately, and I think that was recognized as well in
8 defining the question.

9 Next slide.

10 This is just an extension of that model to
11 the current situation where I agree with the statement
12 made earlier. The only way to really get an accurate
13 assessment of the predictive value of this question is
14 to study the individuals who were deferred for the
15 question, preferably in a follow-up study in the
16 course of a West Nile epidemic. That's really the
17 only way to determine whether or not these individuals
18 were potential window period cases.

19 I think based on the definition of
20 predictive value, you simply can't get there
21 accurately or even approach it with the data currently
22 available.

23 Next slide.

24 Reference is made to the study headed by
25 Dr. Oryton, the interview study. Thirty-eight percent

1 of interviewed West Nile donors reported pre-donation
2 symptoms.

3 I think as much as anything one of the
4 interesting observations from that study was the
5 median onset of symptoms which was seven to ten and a
6 half days. Median means that half were before and
7 half were after. So a week period for the question if
8 the question is of any value at all is missing a large
9 proportion of the individuals that you might want to
10 catch.

11 A second observation is that 4.4 percent
12 reported headache and fever in the week prior to
13 donation in subsequent interview. While these are
14 false negatives at the time of the donor screening
15 event, I think, again, you know, stating that the
16 donor screening process itself is inherently flawed.
17 I think attempts have been made to improve it as much
18 as possible by doing cognitive testing of the donor
19 questions, but still it is certainly not a perfect
20 process.

21 Next slide.

22 So in terms of FDA thinking from the May
23 2003 West Nile guidance, in the past week have you had
24 a fever with headache is the "for example" question
25 given in the guidance. At the time that was put

1 together, there were very limited data on deferral
2 impact and crude estimates were that it might be one
3 to three percent.

4 Current thinking as far as a modification
5 of that question is if it is, in fact, retained is in
6 the past week have you had a fever and a headache at
7 the same time, and this is basically the reworking of
8 the question by the donor history task force working
9 with the National Center for Health Statistics. They
10 arrived at a preferred wording for the question, and
11 FDA certainly strongly supports that process.

12 I think one in asking a question like that
13 needs to balance the science of what time period
14 you're trying to capture versus recall bias with
15 trying to question the general public on events that
16 occurred in the past. Generally I think it is felt
17 that going out more than three days you generally lose
18 the value of when something happened in the past, and
19 that's another difficulty.

20 Next slide.

21 In the May 2003 guidance, the
22 recommendation was for deferral for evidence of West
23 Nile infection for 28 days after symptom onset or 14
24 days post symptom resolution and deferral for West
25 Nile symptoms for 28 days from the interview.

1 Next slide.

2 Current thinking there is that full West
3 Nile infection or NAT seropositivity, the greater of
4 the two factors, either 56 days from symptom onset or
5 14 days post symptom resolution, again, supported by
6 the duration of viremia data and deferral for West
7 Nile symptoms harmonizes with that 56 days from the
8 time of interview.

9 Next slide.

10 With respect to reentry, FDA is
11 considering recommendations for a negative individual
12 donation NAT result for reentry of donors positive for
13 West Nile NAT at the time of donation. This, of
14 course, is a question posed to the committee and
15 similarly following recognition of donors who had West
16 Nile related symptoms prior to donation.

17 There are a couple of possibilities. One
18 would be to similarly recommend for individual
19 donation NAT negativity or one could potentially have
20 an automatic reentry scheme at the normal time of
21 reappearance of donation at 56 days and earlier
22 reentry of that donor prior to 56 days, but after 28
23 days would require an ID NAT negative test result.

24 Next slide.

25 I don't know if you want to visit the

1 questions now, but I'll just end with one statement.
2 That is I think the donor question was put into place
3 based on the available data, and I think although the
4 observations surrounding that question are interesting
5 and certainly, you know, address the specificity of
6 the question, the other co-factors that might be at
7 play leading to donors reporting those symptoms, I
8 guess I would maintain that the data to precisely
9 address the predictive value of that question are not
10 currently available.

11 Probably the best, if not the only, way to
12 get at it would be in the context of the current
13 donation process, to assess donors who defer based on
14 that question, do the follow-up study and assess what
15 their virologic status was.

16 Should the question be removed over time
17 in that study, not done, the answer will never be
18 brought to light, but certainly one wants to be
19 conservative about the burden placed on the donor and
20 on the blood centers and certainly use questions that
21 have optimized predictability.

22 ACTING CHAIRMAN ALLEN: Before you get to
23 the questions, Dr. Williams, why don't we let
24 committee members ask you about any questions in terms
25 of your presentation?

1 Yes, go ahead.

2 DR. NELSON: Is the fever and headache
3 question asked of all donors in the U.S. or is it only
4 asked either during West Nile virus transmission
5 season or in geographic areas where there's proven
6 West Nile virus?

7 DR. WILLIAMS: That's a very relevant
8 question. The current recommendation is that the
9 donors would be asked the question between the likely
10 epidemic period of time of June 1st and November 30th
11 and longer than that if in the opinion of the medical
12 director there's still active West Nile activity.

13 Now, partly this is out of interest in
14 capturing whenever there might be, you know, West Nile
15 epidemic focus, foci occurring, but also I think there
16 was a consideration that blood centers can't turn
17 questions on and off, and to try to target it to
18 either epizootic and epidemic activity, turn the
19 question on, turn it off simply isn't practical. So
20 thereby it dilutes the predictive value of the
21 question applied over a longer time period, but I
22 think as you saw reported for the changes made by the
23 American Red Cross, it is simply easier to keep it in
24 for the entire year than to turn it on, turn it off.

25 ACTING CHAIRMAN ALLEN: Others?

1 DR. NELSON: It certainly loses a lot of
2 value if it ever had any when it's turned on or off,
3 I guess, and the other issue is that a lot of the --
4 I don't know how many, but many Red Cross and other
5 places use the CASIS system. You know, it's more
6 difficult to put another question into that. I mean,
7 it takes a lot more effort to do it that way. So I
8 don't know.

9 DR. WILLIAMS: You know, it involves SOPs,
10 training and as was mentioned --

11 DR. NELSON: Pretty cumbersome.

12 DR. WILLIAMS: -- there's room for error
13 in trying to vary that process.

14 ACTING CHAIRMAN ALLEN: Yes, Doctor.

15 DR. KUEHNERT: I just had a question about
16 the consistency of recommendations for part of the
17 year for testing. For screening nucleic acid testing,
18 I mean it's year round. At least that's what blood
19 centers are doing. Now, for the question it sounds
20 like it's variable, and I just wondered if you could
21 sort of address that in consistency.

22 I'm not going to comment on the value of
23 the question, but just sort of the concept of
24 having --

25 DR. WILLIAMS: Testing is being done under

1 IND now, and it's, again, I think a function of the
2 INDs themselves, as well as the operational aspects in
3 the blood center that it's simply kept into place
4 rather than starting and stopping, but it's being done
5 under IND rather than as an FDA.

6 DR. KUEHNERT: So FDA really doesn't have
7 any, you know, because it's under IND, any specific
8 recommendations of when testing should take place in
9 the year. they only have recommendations on when this
10 question should be asked.

11 DR. WILLIAMS: Jay has a comment.

12 DR. EPSTEIN: It's correct that we do not
13 have recommendations when testing should be done.
14 However, in 2003, there was a lot of concern that if
15 testing were not continued, we wouldn't get a full
16 picture of the epidemic. There was concern
17 particularly that mosquito activity could persist over
18 months that are colder to the north than they are to
19 the south, and whether there could, in fact, be
20 transmissions ongoing in places like Florida,
21 Louisiana, Texas.

22 And I think that the blood organizations
23 have electively decided simply to continue because of
24 the problems of starting and stopping, but there are
25 those two issues of looking at a dynamic epidemic and

1 then the problem of error when you start and stop.

2 So it remains to be seen what will be done
3 with continuation of testing, but it's true that
4 there's no current FDA recommendation on that point.

5 ACTING CHAIRMAN ALLEN: Dr. Doppelt.

6 DR. DOPPELT: So I'm confused. In regards
7 to the questions, since you can't turn the question on
8 the form on and off if you're asking it all the time,
9 what do you do in the northern states in the dead of
10 winter when somebody said they had a fever and a
11 headache? Are those patients being deferred?

12 DR. WILLIAMS: During the time period of
13 recommended implementation, yes, they would be because
14 you can't --

15 PARTICIPANT: You can do an MRI.

16 DR. WILLIAMS: -- rule out that it could
17 be something other than a cold or flu.

18 ACTING CHAIRMAN ALLEN: All right. Really
19 we are short of time. I will allow two very quick
20 comments, Dr. Bianco and Dr. Busch, but the committee
21 really needs the time for discussion.

22 Dr. Williams, please stay.

23 DR. BIANCO: I'll be very specific. Celso
24 Bianco, America's Blood Centers.

25 The first one, Alan, is that those three

1 cases out of 14 and the implementation of the question
2 that we all agreed to occurred before the introduction
3 of testing, before NAT for West Nile became available.

4 The second thing is as we learned today,
5 the window periods are very short, between two days
6 for ID NAT up to five days for the mini pool NAT.

7 Third, the companies have made substantial
8 improvements in the sensitivity of the assays that
9 have been introduced partially this year, but that
10 will be fully available for 2005. So my question to
11 you is how many cases of transmission of West Nile by
12 transfusion will be prevented if we maintain the
13 question as it is today.

14 DR. WILLIAMS: i think the answer to that
15 is currently unknown. One would have to run the study
16 to determine its value to arrive at that answer.

17 DR. BIANCO: But my question --

18 DR. NELSON: The idea of the study
19 obviously, we'd love to do it. Within the REDS-2
20 (phonetic) group we're designing some studies now that
21 would involve attempting to get samples and test
22 deferred donors for various deferrals, tatoos, et
23 cetera.

24 There's some preliminary data from the Red
25 Cross that's concerning in that some studies they've

1 been doing, same vein, only about 25 percent of donors
2 who are deferred at history when then asked will you
3 give us a sample and participate in the study or are
4 willing to participate in these studies.

5 So the alternative of going to a finger
6 stick or oral fluid could be potentially valuable for
7 some serologic tests, but won't allow a NAT assay.
8 The idea of recalling the donor subsequently and
9 trying to reenter them if they are seropositive, you
10 won't know whether at the time of the deferred
11 donation they would have been viremic or seroreactive.

12 So although a study would be great, I just
13 think not only the number is small, but the logistics
14 of accomplishing it are very challenging.

15 DR. WILLIAMS: You were asked the question
16 after you drew the blood? I mean do we do a --

17 DR. NELSON: Well, they do a hematocrit.

18 DR. WILLIAMS: And they do blood sticking,
19 not a whole unit, but they take blood to qualify the
20 donor prior to taking the unit. I would think there
21 might be a way to do this.

22 DR. NELSON: Yeah, I think you'd have to
23 consent for participation in a study separate from a
24 donation to an IRB, you know, protocol.

25 DR. WILLIAMS: And having given that same

1 study design thought, I would add not only the overall
2 enrollment is potentially difficult, but in studies of
3 risk factors you also potentially get a lot of bias in
4 who's willing to enroll. So they are very difficult
5 designs and expensive.

6 ACTING CHAIRMAN ALLEN: Dr. Williams, I've
7 got one question of you also in terms of reentry.
8 You're proposing both for patients, donors who have
9 been deferred for headache and fever as well as donors
10 who had a West Nile virus NAT positive at the time of
11 prior donation, when they come back in, you're
12 recommending West Nile virus ID NAT negative result
13 for reentry.

14 Is there a time frame on that? And the
15 joint statement from the organizations that Dr.
16 Kleinman read suggested that this be done as part of
17 the IND or, in other words, that it be looked at as a
18 question of whether it was useful, and I would like
19 your comment on that.

20 DR. WILLIAMS: I think basically we're
21 interested in getting scientific recommendations from
22 the committee. We are not at this point, you know,
23 introducing as current FDA thinking that after a time
24 period one wouldn't need an ID NAT. I think
25 particularly if data supported such a concept, it's

1 not unreasonable, but that's not being put forward as
2 current thinking.

3 ACTING CHAIRMAN ALLEN: Other questions
4 before we move on directly to the questions?

5 (No response.)

6 ACTING CHAIRMAN ALLEN: Dr. Williams,
7 please read the first question.

8 ** DR. WILLIAMS: So the first question: do
9 the available scientific data support extending the
10 currently recommended deferral period of 28 days to 56
11 days, Part A, for blood donors with a positive West
12 Nile virus NAT screening tests, and Part B, for blood
13 donors who report symptoms of headache with fever in
14 the week prior to donation?

15 ACTING CHAIRMAN ALLEN: Okay. Let's
16 entertain discussion of that. Go ahead and discuss
17 either A or B together. We will vote separately on
18 1(a) and 1(b).

19 DR. NELSON: Except for a small autologous
20 and, you know, separate donor, the interval between
21 donation is already around 56 days, isn't it?

22 ACTING CHAIRMAN ALLEN: For standard whole
23 blood donation, that's true, but for platelet
24 apheresis and some other procedures, it is more
25 frequent.

1 DR. SCHREIBER: It seems to me that the
2 window period data that we saw at least to me was
3 very convincing, and there's no doubt in my mind that
4 it's worth extending the period to the 56 days.

5 ACTING CHAIRMAN ALLEN: That would
6 certainly be my feeling, and I know that Dr. Klein had
7 to leave. His feeling was similar.

8 Are we ready to vote on this? Okay. Dr.
9 Smallwood, would you call the roll for 1(a) and then
10 we'll go ahead and do 1(b) after we do 1(a)?

11 DR. SMALLWOOD: Question 1(a). I'll just
12 read this for the record very quickly. Do the
13 available scientific data support extending the
14 currently recommended deferral period of 28 days to 56
15 days, Part A, for blood donors with a positive West
16 Nile virus NAT screening tests?

17 Dr. Harvath.

18 DR. HARVATH: Yes.

19 DR. SMALLWOOD: Dr. Nelson.

20 DR. NELSON: Yes.

21 DR. SMALLWOOD: Dr. Kuehnert.

22 DR. KUEHNERT: Yes. And I'll add that, of
23 course, I would expect data will be collected on this
24 in the future to see if it even needs to be extended
25 a little longer.

1 DR. SMALLWOOD: Dr. Quirolo.
2 DR. QUIROLO: Yes.
3 DR. SMALLWOOD: Dr. Goldsmith.
4 DR. GOLDSMITH: Yes.
5 DR. SMALLWOOD: Dr. Schreiber.
6 DR. SCHREIBER: Yes.
7 DR. SMALLWOOD: Dr. Lew.
8 DR. LEW: Yes.
9 DR. SMALLWOOD: Dr. Doppelt.
10 DR. DOPPELT: Yes.
11 DR. SMALLWOOD: Dr. Allen.
12 ACTING CHAIRMAN ALLEN: Yes. And I would
13 agree with Dr. Kuehnert.
14 DR. SMALLWOOD: There's a unanimous yes
15 for Question 1(a).
16 ACTING CHAIRMAN ALLEN: Thank you. Move
17 on to 1(b) please.
18 DR. SMALLWOOD: Question 1(b). I'm only
19 reading Part B. I'll read the entire thing for
20 correction. Do the available scientific data support
21 extending the currently recommended deferral period of
22 28 days to 56 days, Part B, for blood donors who
23 report symptoms of headache with fever in the week
24 before donation?
25 Dr. Harvath.

1 DR. HARVATH: No.

2 DR. KUEHNERT: I'm sorry. I thought --
3 we're not going to have any discussion on this, on
4 Part B or we already had it?

5 DR. NELSON: I think we should because
6 these people haven't been proved to have West Nile
7 virus, and if they donate, you know --

8 (Pause in proceedings due to power
9 outage.)

10 ACTING CHAIRMAN ALLEN: I assumed that we
11 had discussed both 1(a) and 1(b), but I agree there
12 really wasn't any specific discussion of 1(b). We
13 will go back and open the discussion for 1(b).

14 Dr. Kuehnert first.

15 DR. KUEHNERT: I think that, you know, the
16 data presented really drives the point home that the
17 test has poor specificity and, of course, the larger
18 population that you apply it to, the lower the
19 positive predictive value.

20 ACTING CHAIRMAN ALLEN: I'm sorry. When
21 you said "the test," you mean the question?

22 DR. KUEHNERT: I mean the question. I'm
23 sorry. The question.

24 So I don't want to say it's a bad
25 question, but when you think about the question being

1 asked in December, it is a bad question. I mean,
2 there's no issue with that.

3 And I'm just wondering is this vote going
4 to be just a yes/no. Is there a way to say, you know,
5 maybe fever with headache or fever and headache is
6 just not what we should be looking at, that there are
7 other symptoms that maybe are more specific, such as
8 new onset rash.

9 I mean is that something that we can give
10 input on or is it just yes or no to this question?

11 ACTING CHAIRMAN ALLEN: Well, yes, we can
12 give input, but the input needs to be done as
13 discussion rather than in response to the question.
14 The question basically needs to be answered yes, no,
15 or abstain, and you know, the question really is are
16 there sufficient scientific data, and if there aren't
17 scientific data, then the answer to the question is
18 no.

19 DR. NELSON: You know, the reason for this
20 question was to pick up donors in the window period,
21 which is a few days, and if a donor comes back later,
22 it makes absolutely no sense to extend this to --
23 we're saying the window period before PCR or
24 antibodies is now longer than 28 days, and there's no
25 data to support that.

1 So I think this is a no. This is easy to
2 vote on.

3 DR. KUEHNERT: Okay. so the question is
4 about whether the data supports extending the deferral
5 period. In other words --

6 DR. NELSON: Somebody, you don't know what
7 their West Nile virus biologic or serologic status
8 was, but they reported fever and headache and were
9 deferred on that basis.

10 So I would say that if they come back the
11 next day, you can by then, you know, or two days
12 later, five days later, something like that, that
13 they're, you know, suitable to at least have screened
14 to see if they had it.

15 I don't know.

16 ACTING CHAIRMAN ALLEN: Dr. Schreiber.

17 DR. SCHREIBER: I had a quick question,
18 Alan. You gave a number of 4.4 percent. Was that 4.4
19 percent of the positives would be picked up with that
20 question?

21 DR. NELSON: Four per thousand, wasn't it?

22 PARTICIPANT: No.

23 DR. SCHREIBER: Did I miss something?

24 DR. WILLIAMS: Let's see. Was that in
25 relation to Sharon's study?

1 DR. ORYTON: It was 4.4 percent of donors
2 in the survey reported fever with headache.

3 DR. WILLIAMS: And that's in the
4 environment where they have already been prescreened
5 at the time of donations. that's a false negative
6 screening test result.

7 ACTING CHAIRMAN ALLEN: Dr. Epstein.

8 DR. EPSTEIN: Yes. I just want to respond
9 to Dr. Nelson's point. I think as Dr. Busch made
10 clear the concern here is the convalescent period of
11 the infection where we know that ID NAT can pick up
12 positive tests for viremia, and we don't know whether
13 those units are infectious. There's no evidence that
14 they are because all of the cases of transmission to
15 date have had a negative antibody test.

16 But the concern here would be that if the
17 donor came back and had a negative mini pool screen,
18 you might be missing the convalescent tail if, indeed,
19 someone who had a history of fever and headache, in
20 fact, was infected at that time.

21 So the idea of the time to positive mini
22 pool NAT is not helpful because what we're concerned
23 about is capturing the convalescent tail of the
24 distribution, which is where the unknown risk lies.

25 So I would dispute, you know, the argument

1 that you've made. On the other hand, I fully
2 recognize that what we've heard today is a debate on
3 the value of the donor screening question, and I can
4 appreciate that it's hard to answer 1(b) without
5 expressing an opinion on the question itself.

6 But I would suggest that that's part of
7 why we have Question 3. So --

8 DR. NELSON: Are donors screened for
9 antibody as well as --

10 DR. EPSTEIN: No, they are not.

11 DR. NELSON: They're not routinely
12 screened for antibodies.

13 DR. EPSTEIN: No, and the reason for that
14 consists in the data showing long-term persistence of
15 antibody including IgM. Initially we had hopes that
16 it could be a marker of the infection, but we now know
17 that it can persist as long as I think 500 days in
18 some percent of persons infected.

19 So if you were to use it to screen donors
20 in regions that have had prior epidemics, you would
21 pick up a lot of uninfected people who had an
22 infection some time before presumably the last season.

23 And, again, Dr. Busch showed that I forget
24 the exact time of follow-up, but you had a 20 percent
25 persistence after a reasonably long period. That's of

1 IgM.

2 DR. NELSON: Right. Well, I guess we
3 could propose screening those people for antibody.
4 They'd have either antibody or virus, and if they
5 didn't they'd be the majority who had a false negative
6 or false positive screening question.

7 ACTING CHAIRMAN ALLEN: Dr. Goldsmith.

8 DR. GOLDSMITH: I guess I just wanted to
9 add that now that there is a test that's available,
10 these non-specific questions about fever and headache
11 really don't serve much value and they add to the
12 burden at the blood collection centers. And so they
13 should be eliminated.

14 ACTING CHAIRMAN ALLEN: Other comments on
15 discussion pertinent to 1(b)? Are we ready to vote?

16 DR. KLEINMAN: Steve Kleinman.

17 Just a brief comment. I think, you know,
18 this illustrates to me that once we add a question to
19 the donor questionnaire, you can never really provide
20 enough evidence to show absolutely that the question
21 has no value. I mean, it's almost impossible to get
22 rid of something once it's added, but I think that
23 here's an opportunity to say, you know, yes, we don't
24 have absolute data, but our data is fairly convincing
25 that this is not a useful question. So why would we

1 retain it?

2 ACTING CHAIRMAN ALLEN: Okay. That's not
3 the Question 1(b) that is before us.

4 (Laughter.)

5 ACTING CHAIRMAN ALLEN: All right. Let's
6 move ahead with voting on 1(b)

7 DR. SMALLWOOD: Question 1(b): do the
8 available scientific data support extending the
9 currently recommended deferral period of 28 days to 56
10 days, Question B, for blood donors who report symptoms
11 of headache with fever in the week before donation?

12 Dr. Harvath.

13 DR. HARVATH: No.

14 DR. SMALLWOOD: Dr. Nelson.

15 DR. NELSON: No.

16 DR. SMALLWOOD: Dr. Kuehnert.

17 DR. KUEHNERT: No. But that doesn't mean
18 there might not be a better question.

19 (Laughter.)

20 DR. SMALLWOOD: Dr. Quirolo.

21 DR. QUIROLO: No.

22 DR. SMALLWOOD: Dr. Goldsmith.

23 DR. GOLDSMITH: No.

24 DR. SMALLWOOD: Dr. Schreiber.

25 DR. SCHREIBER: No. I would actually drop

1 the question.

2 DR. SMALLWOOD: Dr. Lew.

3 DR. LEW: No.

4 DR. SMALLWOOD: Dr. Doppelt.

5 DR. DOPPELT: No.

6 DR. SMALLWOOD: Dr. Allen.

7 ACTING CHAIRMAN ALLEN: No. And I believe
8 that Dr. Klein, I know you can't record this, but Dr.
9 Klein would have voted in the same way from
10 information he gave me.

11 DR. SMALLWOOD: The voting for Question
12 1(b), unanimous no.

13 DR. KUEHNERT: Could I jus task a point of
14 clarification? Does that mean that the question isn't
15 completely dropped? I mean, I think Dr. Schreiber
16 brought this up. It's now at 28 days? The question
17 is still asked, but at 28 days; is that right?

18 DR. WILLIAMS: It's currently at 28 days,
19 and you've just recommended not to extend that to 56
20 days. I think in the third question where you have
21 the opportunity to propose alternate approaches would
22 be the place to comment on the value of the question
23 overall.

24 DR. NAKHASI: Hira Nakhasi.

25 I think as you heard time and again, this

1 is a recommendation, but what we do as a policy, that
2 will be determined later on.

3 ACTING CHAIRMAN ALLEN: All right. Dr.
4 Williams, would you read Question 2 for us?

5 DR. WILLIAMS: Next slide, please.

6 Question 2. Do the scientific data
7 support a recommendation to obtain a negative result
8 by individual donation NAP prior to reentering --

9 (Pause in proceedings due to power
10 outage.)

11 ACTING CHAIRMAN ALLEN: All right. I
12 think we can go ahead with discussion. Are you able
13 to record at this time?

14 THE REPORTER: Yes.

15 ACTING CHAIRMAN ALLEN: Okay. We will go
16 ahead with discussion on this while we're waiting for
17 the projector to warm up. It doesn't matter.

18 Dr. Lew.

19 DR. LEW: To try to move this along, I
20 think there has been plenty of data to show that we
21 are trying to look out for these low level positive
22 patients, and so it would be important to recheck NAT
23 prior to readmitting a person for a blood donation.

24 ACTING CHAIRMAN ALLEN: Other comments or
25 questions?

1 Dr. Schreiber.

2 DR. SCHREIBER: I would agree with Dr.
3 Lew. I would go for an individual NAT because we
4 don't know what the window period is. We know what
5 the point estimate is, and there might be broader
6 distribution, and I think that I'd err on the side of
7 caution.

8 ACTING CHAIRMAN ALLEN: Could I ask
9 somebody from a blood collection organization who is
10 familiar with lab procedures does this create a
11 laboratory problem in terms of -- you know, I assume
12 that if the donor otherwise qualifies what would be
13 done would be to go ahead and draw the unit of blood
14 and do ID.

15 In other words, the person would come in
16 at 56 days, and you would then have to get a specimen
17 of blood to do ID NAT. Tell them to come back in 48
18 hours and we'll give you the test results, and if it's
19 okay -- I mean that is cumbersome.

20 Dr. Busch.

21 DR. BUSCH: I think just like the HBV
22 reentry, I mean, FDA's position has been and certain
23 of the procedures currently are any reinstatement
24 sample is independent of a blood donation. So
25 currently these donors are coming in, getting a tube

1 drawn essentially that's route for the serology and
2 the ID NAT.

3 At least the blood organization's
4 recommendations are at least that currently that ID
5 NAT could be done anyplace in that 56 day or beyond
6 period, but the donors would be deferred for at least
7 56 days, and you'd have to have documented a negative
8 ID NAT at some point, not that the ID NAT be done
9 subsequent to the 56 day deferral period.

10 So the donors could become eligible to
11 give again after 56 days so long as you've documented
12 that.

13 And the other point that I think is very
14 important is about a third of the deferred donors from
15 2003 due to reactive NAT never did come back for that
16 ID NAT and yet are still deferred in our systems
17 because the current requirement doesn't give you that
18 alternative option of waiting much longer and
19 reversing the deferrals. That's where the AABB
20 recommendation urged that there be a second reversal
21 of the deferral option based on more extended time
22 period.

23 ACTING CHAIRMAN ALLEN: Dr. Goldsmith, I
24 believe you wanted to ask a question or make a
25 comment.

1 DR. GOLDSMITH: I think if there is a
2 requirement to perform the second NAT test in those
3 who had a reactive NAT test, it would also give us a
4 chance to learn something about the natural history of
5 infection. So it would kind of be a built in research
6 mechanism.

7 ACTING CHAIRMAN ALLEN: This is not part
8 of the question. I think it's an important issue to
9 discuss, however. Does anyone on the committee wish
10 to address this suggestion as presented in the joint
11 statement that this would be done as a period, you
12 know, as an evaluation test during the interim period
13 while these tests are still under IND and that a final
14 determination would be made subsequently or would you
15 do this on a permanent basis?

16 Dr. Lew.

17 DR. LEW: I think there is a fair amount
18 of data shown or at least comments with the data.
19 There are a lot of people that are intermittently
20 positive. So I would still maintain 56 days and then
21 rechecking because we all know with some of these
22 tests as you get to the lower levels, it's going to be
23 positive-negative, positive-negative. We wouldn't
24 want to admit a patient who was negative at 26 days,
25 but was really going on to be positive for more days.

1 At least that's my thought.

2 I think it is a built in research
3 question.

4 ACTING CHAIRMAN ALLEN: Which means that
5 it would have a finite end to it.

6 DR. LEW: I'd feel more comfortable
7 negative at 56 than negative at 15 days or something.

8 ACTING CHAIRMAN ALLEN: Dr. Nakhasi.

9 DR. NAKHASI: I just wanted to focus on
10 this question because I think those are very nice
11 ideas, but I think that will be captured in Question
12 No. 3 because what are the alternate ways of dealing
13 with these criteria?

14 So I think if we focus on the Question 2
15 based on the scientific data, is it necessary to have
16 the ID NAT at the time of entry; so I think if we
17 focus on that, the other ideas which have been
18 generated both from the blood organization and
19 committee will be captured in Question No. 3.

20 ACTING CHAIRMAN ALLEN: All right. Why
21 don't we go ahead as long as we're -- other comments
22 on that?

23 (No response.)

24 ACTING CHAIRMAN ALLEN: How about on the
25 basis of symptoms and then we'll vote separately?

1 (No response.)

2 ACTING CHAIRMAN ALLEN: I think my initial
3 response to the symptom question is based on the
4 answer to Question 1.

5 Okay. Are we ready to vote? Okay, Dr.
6 Smallwood.

7 DR. SMALLWOOD: Question No. 2(a), do the
8 scientific data support a recommendation to obtain a
9 negative result by individual NAT prior to reentry of
10 blood donors who are deferred (a) on the basis of a
11 reactive NAT?

12 Dr. Harvath?

13 DR. HARVATH: Yes.

14 DR. SMALLWOOD: Dr. Nelson?

15 DR. NELSON: Yes.

16 DR. SMALLWOOD: Dr. Kuehnert?

17 DR. KUEHNERT: Yes.

18 DR. SMALLWOOD: Dr. Quirolo?

19 DR. QUIROLO: Yes.

20 DR. SMALLWOOD: Dr. Goldsmith?

21 DR. GOLDSMITH: Yes.

22 DR. SMALLWOOD: Dr. Schreiber?

23 DR. SCHREIBER: Yes.

24 DR. SMALLWOOD: Dr. Lew?

25 DR. LEW: Yes.

1 DR. SMALLWOOD: Dr. Doppelt?

2 DR. DOPPELT: Yes.

3 DR. SMALLWOOD: Dr. Allen?

4 ACTING CHAIRMAN ALLEN: Yes, with
5 qualifications as we'll discuss under Question 3.

6 Dr. Lew.

7 DR. LEW: If I could just make one comment
8 for B, it's that --

9 ACTING CHAIRMAN ALLEN: Let's finish up
10 the voting on A and then we'll come back to B, and
11 then you can make your comment if you want.

12 DR. LEW: Oh, oh, I see.

13 DR. SMALLWOOD: The results of voting for
14 Question No. 2(a), unanimous yes.

15 ACTING CHAIRMAN ALLEN: Dr. Lew.

16 DR. LEW: Just a thought for the Question
17 B. For those who are concerned that maybe the
18 question might have some usefulness in the perfect,
19 ideal situation, the right time, the right place, et
20 cetera, again, this is kind of a built in possible
21 answer in that how many people would be positive if
22 they answered this question yes. I guess what we
23 don't have is the control for this, the question being
24 if you have fever and headache is it possible that you
25 are positive, truly positive for West Nile.

1 Well, NAT might answer that question. I
2 would prefer a nicely designed study, but it might be
3 a surrogate. I don't know if it's worth the cost, but
4 just something to think about.

5 ACTING CHAIRMAN ALLEN: Dr. Kuehnert and
6 then Dr. Harvath.

7 DR. KUEHNERT: This might be just an
8 omission here. It says "on the basis of symptoms."
9 Does that mean symptoms of headache with fever as in
10 Question 1?

11 ACTING CHAIRMAN ALLEN: That's how I
12 interpreted it.

13 DR. KUEHNERT: Okay.

14 ACTING CHAIRMAN ALLEN: Dr. Harvath.

15 DR. HARVATH: The question I'd like to ask
16 is the ID NAT would be performed on the reentry of
17 blood donors who are deferred only on the basis of
18 symptoms. So they would not have been tested
19 previously with the mini pool NAT. Is that assumption
20 correct?

21 ACTING CHAIRMAN ALLEN: That's right.

22 DR. HARVATH: Thank you.

23 DR. NELSON: Yeah, if you were going to
24 try to figure out how many of the people had the
25 symptoms, I think it would be better to do antibody

1 testing than to do an individual NAT because, you
2 know, it would be quite variable when they would come
3 back. The antibodies would be present in
4 theoretically everybody except in egam globinemic
5 (phonetic) or something like that, but an individual
6 NAT, you know, you might have some confidence that it
7 doesn't have virus, but you wouldn't know whether or
8 not this person actually was infected.

9 ACTING CHAIRMAN ALLEN: Dr. Bianco, 15
10 seconds.

11 DR. BIANCO: Celso Bianco, America's Blood
12 Centers.

13 This is a regulatory decision or
14 recommendation that you're making. It's not the
15 planning of a research project. This is going to be
16 a totally biased sample, and the results are not going
17 to contribute an answer to that question. I think Dr.
18 Lew very clearly stated the need for appropriate
19 controls, appropriate sampling and distribution
20 considering the epidemic in the site where this is
21 being done, the time of the year and all of that as a
22 regulatory question.

23 ACTING CHAIRMAN ALLEN: Thank you. It is
24 a regulatory question.

25 All right. Are we ready to vote? Dr.

1 Smallwood, Question 2(b).

2 DR. SMALLWOOD: Okay. Question No. 2(b),
3 do the scientific data support a recommendation to
4 obtain a negative result by individual NAT prior to
5 reentry of blood donors who are deferred (b) on the
6 basis of symptoms of headache with fever in the week
7 before donation?

8 Dr. Harvath?

9 DR. HARVATH: No.

10 DR. SMALLWOOD: Dr. Nelson?

11 DR. NELSON: No.

12 DR. SMALLWOOD: Dr. Kuehnert?

13 DR. KUEHNERT: No.

14 DR. SMALLWOOD: Dr. Quirolo?

15 DR. QUIROLO: No.

16 DR. SMALLWOOD: Dr. Goldsmith?

17 DR. GOLDSMITH: No.

18 DR. SMALLWOOD: Dr. Schreiber?

19 DR. SCHREIBER: No.

20 DR. SMALLWOOD: Dr. Lew?

21 DR. LEW: No.

22 DR. SMALLWOOD: Dr. Doppelt?

23 DR. DOPPELT: No.

24 DR. SMALLWOOD: Dr. Allen?

25 ACTING CHAIRMAN ALLEN: No.

1 DR. SMALLWOOD: The results of voting for
2 Question No. 2(b), a unanimous no.

3 ACTING CHAIRMAN ALLEN: Next slide,
4 please.

5 Question 3, are there other alternatives
6 that FDA should consider regarding criteria to reenter
7 donors who are deferred for West Nile based on either
8 NAT or symptoms -- and I think this one as well means
9 headache with fever -- in the week prior to donation?

10 I think it's fair to add that in addition
11 to reentry which is specified in the question that we
12 would certainly welcome discussion regarding other
13 aspects of the screening process.

14 ACTING CHAIRMAN ALLEN: This question is
15 open for discussion. There is no voting here. This
16 is discussion only. So directed comments are welcome
17 in addition to what's already been said.

18 Dr. Quirolo.

19 DR. QUIROLO: Well, I think it's the wrong
20 question. So I think the question should be fever
21 with a new rash within the week if you're going to ask
22 any question at all, and also even though it's not
23 practical probably for the blood centers, I think it
24 shouldn't be asked year around. It should be asked
25 only during a time when there was an epidemic or there