

Minutes of Meeting - 4-28-99

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

FDAMA Teleconference and CDRH Stakeholders Meeting

La Jolla, California

Wednesday, April 28, 1999

MINUTES REPORTED BY: Sandra L. Quinn, RPR

CSR No. 11714

1 La Jolla, California; Wednesday, April 28, 1999; 1:01 p.m.

2
3 MS. JOSEPH: Before we start, I don't want to go on
4 without extending a number of thank yous. First of all, to
5 Mark Roh, who is a small business representative for the
6 pacific region for the FDA, and he's a new special assistant
7 to the regional director. Mark has been spending lots of
8 time on this. He has tirelessly worked to get this off the
9 ground. And in the process of doing that, mentioned to
10 Cathy Rangus that we needed a facility, and Cathy told me
11 the story, but it seemed like low and behold she came up
12 with this wonderful place which we can get used to real
13 easily. Cathy is with the San Diego regulatory discussion
14 group, and I thank you.

15 There are some other people who are working as
16 well: Carol Sanchez and Jeffery Sloan from the San Diego
17 office who were at the registration desk. Thank you all
18 very much. And Michael Kruky, who is here with the center,
19 who's been wonderful in accommodating us. To all of you,
20 thank you for making this happen.

21 This afternoon's program is in three parts. The
22 first part, Dr. Jacobson would like to make some opening
23 remarks. Then we'll have our stakeholders panel of the five
24 individuals who have graciously volunteered to make
25 statements. And the third part will be from the floor, and

1 that's when we'll get comments from you all, if you have
2 some comments or questions. And I think there's a mic in
3 the back that you can use to address that.

4 If you have questions that you would like to
5 write down, Ron will collect them, and we will deal with
6 those in the same format, or you can present them
7 yourselves. But we would like to capture them because we
8 see it as part of the process of collecting stakeholder's
9 comments, and we like to put them in the docket.

10 Dr. Jacobson, where would you like to speak
11 from?

12 DR. JACOBSON: Good afternoon and welcome back
13 to part 2. I'd like to thank all of you for coming out for
14 today's event. My opening remark has mutated into a
15 somewhat longer talk than I anticipated, so please don't
16 vote with your feet.

17 Before we get started, I'd also like to thank
18 some other people we have here, some of the regulatory folks
19 that have come out for today's session. In addition to the
20 panel and the people that Lee introduced, we have a number
21 of FDA investigators. I was wondering if you guys would
22 stand up. Thank you for coming. And I also wanted to say
23 thanks to Aussie Schmidt, who is here from the State of
24 California. Aussie is the supervisor of the California
25 device program, and he's here today as well.

1 For today, I want to cover a number of topics
2 that are listed on this slide. We had our first stakeholder
3 meeting in Washington D.C. In August, last August, and I
4 wanted to share some of the concerns we heard then and what
5 we've been doing to address them. I'll talk about some of
6 the challenges we're facing, our current resource picture,
7 and several initiatives that we've undertaken to reengineer
8 our programs and to implement FDAMA.

9 Finally, we're going to be soliciting, obviously
10 the major portion of this, is to solicit your questions,
11 advice, et cetera on how we can best meet the regulatory
12 requirements that we have while insuring product safety and
13 effectiveness.

14 We heard at the last stakeholder meeting that we
15 needed better and more communication between stakeholders
16 and the center for devices and radiological health. We
17 think we're doing a fair job here. We're doing, at least
18 from our perspective, a lot. We are implementing the FDAMA
19 requirements that call for earlier and more frequent
20 meetings with sponsors in the premarket review process.

21 We've had meetings with experts from all sectors
22 such as the one we're holding this week on hospital beds.
23 And we're also having one next week on reuse of single-use
24 devices, so sort of specialty meetings that we reach out to
25 people and try to bring experts in.

1 We've done video teleconferences like the one we
2 did today. We also do them on specialized topics. We had
3 one this winter on latexology which is sort of a mega affair
4 involving us and about seven other federal agencies that
5 were involved in putting that on. We've also been doing
6 grass roots meetings. The field has had some very
7 successful grass roots meetings with industry. But I think
8 communication is one thing that you never get to say, "Okay.
9 We do that really well." I think you have to keep plugging
10 away and trying to do more and more and being open and
11 receptive to what people say that they need to hear.

12 We also heard at the last meeting that you
13 wanted more use of third parties. To be honest, we put an
14 awful lot of effort into third-party program for pre-market
15 review. We now have 13 accredited third parties and 157
16 different types of devices that can be sent to those third
17 parties for review. And I've got to say that so far, we've
18 seen very little use of that program by industry, and we'd
19 sort of be interested in feedback on that too. Why not? We
20 recognized 300 standards. It's actually more than 400
21 consensus standards that can be used by manufacturers and
22 declarations of conformity maybe to skinny down some of the
23 applications that we get to review.

24 We're continuing to strengthen the science-base
25 through staff college and through a lot of interactions

1 we've had with outside groups in academia and government and
2 industry to help us in our regulatory issues.

3 We're also trying hard to involve more consumers
4 in our decision making. I think I mentioned this morning
5 changing the advisory panel structure, or rather process, to
6 allow more consumer comments. We also are having consumer
7 forums. I think the pacific region is putting on a series
8 of consumer forums in a couple weeks in three different
9 cities. So we are working hard to try to get more consumer
10 input.

11 What the law says we're supposed to be doing is
12 a lot of things. This summarizes our major
13 responsibilities, and the law does establish a bunch of
14 performance objectives that we have to meet. I think we're
15 doing better in terms of meeting our statutory performance
16 objectives. We've improved our premarket review times in
17 every category, and we're continuing to maintain a zero
18 backlog at the end of the year, but we were a long way from
19 meeting our statutory goals. In the enforcement area, as
20 well, we are not able to meet our statutory requirement for
21 biannual inspections. I'll talk about that a little later
22 on a later slide.

23 Another of our responsibilities is to insure
24 that radiation emitting electronic products are safe and to
25 set performance standards for them. We're really not going

1 to talk much about that. That seems to be very
2 device-oriented. But that's the "Rad Health" part of our
3 name, and it includes the responsibility we have for
4 insuring radiation safety not only of medical products but
5 also of consumer products.

6 We also conduct science-based reviews of new
7 technologies. We inspect mammography facilities, conduct
8 inspections of device manufactures, and review adverse event
9 reports to identify safety problems. I'm going to be
10 talking a little bit more about adverse event reports in a
11 later slide.

12 I think all of this is being done against a
13 backdrop of a very dynamic and innovative device industry.
14 The medical device industry is growing. There are about
15 10,000 or so manufacturing establishments here and abroad.
16 Most firms are relatively small. I think the numbers vary a
17 bit, but it's something like 68 percent of our device
18 manufacturers have fewer than 50 employees, so that's a very
19 small number of people to try to meet a lot of regulatory
20 requirements.

21 The value of shipments by the industry this year
22 is estimated at about \$72.5 billion compared to \$65 billion
23 in '97, so it's a very productive group. And the industry
24 is also characterized by an increasing diversity and
25 complexity of products. Much of it brought about by

1 computerization, by miniaturization, and by a variety of new
2 emerging technologies.

3 The only purpose of this slide really is to try
4 to illustrate the complexity of the device world. I'm not
5 going to go into each of these device types. But it is to
6 try to underscore the contribution that device innovations
7 have made to patient care.

8 If you think of how patient care has changed
9 over the last 10 or 15 years in the hospital setting, for
10 example, just compare what a gall bladder patient had to go
11 through ten years ago with a week-long stay in the hospital
12 and six weeks or so recuperation. And now we do Band-Aid
13 miniaturized-type surgeries where the patient is -- maybe
14 it's an overnight procedure, and they're back to work in a
15 few days. That kind of change in patient care really has
16 been driven by the device industry and by the innovations in
17 medical devices.

18 I want to take just a couple minutes to talk
19 about the center's workload and the resources we have to
20 manage it. Last year we received just under 18,000
21 different types of submissions related to our pre-market
22 review activity. We also got lots of adverse event reports,
23 medical device reports, about 70,000 to 80,000 of those last
24 year. We have about 10,000 or so establishments that are
25 subject to GMP inspections, and we're also working with the

1 American College of Radiology to inspect about mammography
2 facilities every year.

3 We are continuing to implement FDAMA and to
4 develop mutual and recognition agreements with our foreign
5 colleagues. And we also are dealing with really fun items
6 like the Y2K millennium bug, which has really absorbed a
7 tremendous amount of energy and effort to try to get the
8 word out to make sure that everybody is thinking about
9 incorporating Y2K thinking into their manufacture. And as I
10 said, we've been trying to build our science base both
11 internally through continuing education and through
12 partnering with external organizations.

13 This display really shows our PMA and
14 humanitarian device workload. We just wanted to put this up
15 there to give you some idea of how the number of PMA's that
16 we get fluctuates a bit. You can see the blue lines at the
17 bottom are the actual original submissions every year. And
18 then the green lines are the supplements that we get.

19 We see clusters from time to time. The numbers
20 bounce around a bit. There is sort of an upward trend here.
21 During fiscal year '98, we approved 46 original PMA's. Some
22 of those represented significant medical device
23 breakthroughs. We just listed them on the side.

24 And we're also looking at the way we process
25 PMA's. We've come up with an alternative that we call

1 "modular PMA's." This involves receiving submissions in
2 parts. As each part is done, we're open to getting it and
3 to reviewing it right there so that when the final piece
4 comes in, we have that piece to review and we're done. We
5 do track those separately, and they don't appear on the
6 chart. They appear in the year that they are complete.
7 FY98 we got about 20 modular PMA's started for review. So
8 that's -- we're very enthusiastic about that. It looks like
9 that is going to work.

10 What you don't see on this chart is that we
11 cleared about 4,600 510(k)s at the same time we were doing
12 these PMA approvals. And hundreds of those were really very
13 complicated. Just because it's a 510(k), doesn't mean it's
14 not a very important contribution to medical technology.
15 Many of those required clinical data and team reviews. So,
16 again, another way of underscoring the fact that innovations
17 seem to be continuing at an impressive clip.

18 I mentioned adverse event reports a minute ago.
19 The message here is that we have been getting a lot of these
20 reports. As I said, some 70,000 to 80,000, and we really
21 wanted to rethink how we're processing them and how we're
22 handling them. It's easy to get overwhelmed by paper and
23 not actually get to the important trends that may be
24 emerging in that data.

25 We began to allow summary reporting of data,

1 problems that we know a lot about. If we understand a
2 product, and we understand the kind of adverse events that
3 might be going on with that product, we don't need to hear
4 about them time and time again. So we had agreements
5 reached with 45 different manufacturers who are
6 participating in the summary reporting program. They are
7 providing summary reports on 52 different kinds of products.
8 And, again, that reduces the number of individual reports we
9 get and allows us to focus more on trends. We got about
10 20,000 reports last year in summary format, so I think that
11 also is going to work.

12 We're also pilot testing a new Sentinel
13 reporting system. That's what this slide was meant o
14 represent. Rather than getting lots of different reports
15 from different places, Sentinel tries to concentrate on
16 collecting data from selected medical facilities who are not
17 left out there all on their own. We have an intensive
18 training program for the personnel in those facilities. We
19 provide them with electronic report and with electronic
20 ability to do their submissions rather than doing them by
21 paper.

22 This was expensive. We were only able to do it
23 in a small pilot last year of 24 or 25 hospitals, but we
24 really thought that it showed great promise. We got better
25 reporting, more consistent reporting, more reporting from

1 the facilities, which have not been very good at reporting
2 otherwise. And if we get the funding that we asked for at
3 FY2000, we're going to expand that pilot to 90 to 100 more
4 hospitals. And eventually, the goal is to have a nationwide
5 system of Sentinel reporting. So we hope that will be
6 successful.

7 I wanted to talk a little about our routine GMP
8 inspections. This is one of those areas where we are not
9 meeting our statutory requirements, and we're very concerned
10 about it. We have a requirement for inspecting every two
11 years. We're not anywhere close to that. The current
12 average for device GMP inspections right now is about one
13 every seven years. That's an average. So for your Class I,
14 II devices it may be ten years, longer maybe. For the more
15 sophisticated, higher-risk devices we try to get them more
16 often.

17 This really is not good, and we're concerned
18 that our coverage this year will be even worse than this
19 picture that we're painting here. We're also concerned
20 about the fact that we're very involved in the mutual
21 agreement that was signed between the United States and the
22 European Union. We have an obligation to train the
23 performance assessment bodies, and we're in the process now
24 of being notified by Europe as to which bodies those will
25 be. But, again, that's going to be a relatively

1 resource-intensive operation.

2 I wanted to talk about FTE history a little bit.
3 What this history shows you is that we got a major increase
4 in headquarters and in the field in '94 and '95 to help
5 implement the Safe Medical Devices Act, that was the last
6 major act before FDAMA, 1990. That resource has helped us.
7 That's what got us to eliminating the backlog. That's what
8 helped greatly in reducing the review times down to where
9 they are now.

10 But since we got that last bolus of resources,
11 the resource picture has been steadily eroding. And for the
12 last five years we've been at level funding, which means we
13 have not gotten a cost-of-living increase, if you will. We
14 haven't gotten current expenses. We've just gotten a flat
15 line, which has translated to a real decrease to something
16 like 20 percent over the last five years. We did get about
17 one and a half percent increase, which was sort of after the
18 fact, and it helped, but it didn't get us where we needed to
19 go.

20 One of the things we've been doing -- one of the
21 reasons we've been so invested in reengineering is because
22 it is very important. We can't do more with less. We have
23 to do things differently with less, and that's why we have
24 such an emphasis on reengineering. I think we see ourselves
25 at a resource cross-roads in '99.

1 We have a lot of new responsibilities under
2 FDAMA. We have a lot of emerging technologies that we do
3 not want to be a barrier to in terms of getting them to the
4 market. But we are investing something like 90 percent of
5 the resources we get, in CDRH, are spent supporting people
6 in terms of salary dollars. So we have very little program
7 money left over when we get finished paying salaries.

8 So our staffing numbers are going down, our
9 total payroll possibly going up. Staff numbers going down
10 because we can't afford to replace people. We don't have
11 the money to do it. And our capacity to undertake new
12 initiatives is somewhat strained.

13 This is an attempt to illustrate the fact that
14 we are not meeting statutory obligations, although, we are
15 coming close in some areas. If you look from the top down,
16 we have premarket approvals. We have first actions within
17 180 days. We're up at almost 80 percent, so we're doing
18 fairly well there. Final actions for supplements is around
19 90 percent. We have 510KS first actions within 90 days.
20 We're almost there, but that's first actions. People want
21 final actions within 90 days.

22 These last two are the inspection pieces and the
23 bi-annual nature of the requirement. That's why it's 50
24 percent instead of 100 percent, because 100 percent would be
25 once every two years.

1 I wanted to talk a little bit about
2 reengineering. What are we doing in the face of all this
3 resource constraint business to try to keep the boat afloat?
4 We have been reexamining how we do our work and how to
5 refocus our resources from lower-risk stuff to higher-risk.
6 We've been using a business-style reengineering process that
7 Ms. Burlington pushed very hard in CDRH. We've had a number
8 of changes, and we listed some of them on this slide, not
9 all of them.

10 We've used a risk-based approach to target
11 resources, and so in the 510(k) program we've exempted most
12 Class I's and some Class II's from 510(k)s, again, based on
13 the risk-analysis that we did. And we've revamped the
14 process to allow speedier handling and some changes to
15 510(k) devices, and also allowing manufacturers, as FDAMA
16 gave us the authority to allow manufacturers to declare a
17 conformance to standards. All of that is sort of a package
18 that we call the new 510(k) paradigm.

19 We've reduced the number of rewrites and
20 improved project management for our regulations development.
21 So we've been able to keep up with all the regulations that
22 FDAMA demanded that we write. And we think we do it much
23 faster and better than we we're doing reg writing before.

24 We've delegated authority for some lower-risk
25 recalls to the district who have had been making

1 recommendations anyway, and we're very seldom, if ever
2 overruled. It seemed to make tremendous to do that. The
3 field now notifies companies before routine inspections.
4 Something that came out of the grass roots initiative, and
5 it makes, I think, for better, organized, and more efficient
6 inspections. Companies can now make some corrections to
7 deficiencies that are found during the course of the
8 inspection, resulting in faster resolution of problems.

9 And we also revitalized an authority that we
10 always had since '76, but nobody really felt comfortable
11 using. That was the product development protocol where we
12 actually reach an agreement with the manufacturer as to what
13 type of data is necessary to demonstrate the safety and
14 effectiveness of that product. The company goes out and
15 does its studies to meet that agreement, and as soon as they
16 have satisfactorily completed those, they go to market.

17 We have a process for accepting PMA's, as I said
18 before as a compilation of modules, so that rather than
19 waiting until the whole PMA is complete, we start reviewing
20 it piece-by-piece before it is totally completed so that
21 we're ready to go when the last piece is ready.

22 And we also, as I said before, recognized over
23 400 standards, national and international standards, and we
24 have developed a standards database for use by center staff.

25 We have some new reengineering efforts that

1 we're embarking on, three in particular, the postmarket
2 process, registration and listing, and QSIT and HACCP. The
3 postmarket process, to us, refers to everything that happens
4 in the life of the product after it's on the market. We
5 think we need to do a much better job within the center of
6 integrating the postmarket experience that we gain as we see
7 what happens to the product once it goes on the market.

8 Integrating information with our premarket
9 effort and also feeding it back to both industry, for sure,
10 but also consumers and users of the products. We get a lot
11 of unhappiness from people who submit adverse event reports
12 that, for example, "We send you all of these reports; we
13 never hear anything back." I think that is a very
14 legitimate criticism, and one we have to do something about.

15 We're also looking to see in the registration
16 and listing area -- we'd like to see how many manufacturers
17 might be able to use the internet to register and list
18 electronically, and we've got a lot of interesting things
19 that we are trying to do there.

20 QSIT and HACCP, of course we have to have
21 acronyms. QSIT is the Quality System Inspection Technique.
22 HACCP is the Hazard Analysis Critical Control Point. These
23 are processes that we're developing to try to implement a
24 quality-systems approach to inspections to enhance the types
25 of information that we get out of inspections and also

1 giving manufacturers much more control in a very real sense
2 what we'll be looking at. Because they will be looking at
3 what are the critical control points and the critical
4 processes in their manufacturing processes, and who better
5 to do that.

6 So the goals are to achieve shorter inspections
7 that focus in on the important problems and also involve
8 more positive interactions between the inspectors and the
9 manufacturers.

10 This is the science-based side. I'm not going
11 to spend any time on this. I think we heard an awful a lot
12 about this from Henney. I just want to make the point that
13 we are looking very much forward to working with her on this
14 as an initiative. It's crucially important. We've always
15 felt terribly invested in the idea that we need to have
16 people, as she said, "at the top of their game." And it's
17 wonderful to have commissioner support for that, and we're
18 all very enthusiastic about it.

19 FDAMA, everybody is interested in how we're
20 doing in implementing FDAMA. Linda Suydam this morning ran
21 through a list of all of the accomplishments that the agency
22 had done, and I was really pleased that an awful lot of
23 those were ours. They are just listed here. It involved a
24 tremendous amount of work both on our part and also on the
25 part of a lot manufacturers, consumers, people that

1 interacted with us to help us get our act together. The
2 other thing that helped was we were ready for FDAMA, because
3 we had already been doing a lot of this stuff in our
4 reengineering process. And as Linda mentioned, a lot of
5 FDAMA codified the directions we were already going in,
6 which made complying with it -- we were sort of not behind
7 the 8-ball. We were right there ready to go.

8 To proceed down the side a little bit, we have
9 been trying to interact with stakeholders earlier during the
10 application review process for years and years. FDAMA does
11 really codify that, and we now have procedures for agreement
12 meetings and determination meetings. We've made and we
13 continue to expand. We've been trying to make information
14 available through our website.

15 We have a Y2K page where device manufacturers
16 can supply information on the Y2K status of their products.
17 And actually that's stating it a little too mildly. We've
18 gone out and begged manufacturers to come in and give us
19 information on the Y2K compliance status of their products
20 on our website. Both noncompliant products and now we're
21 also trying to do a compliance product page.

22 That's not necessarily because we think it is a
23 good idea, but the users, the hospital associations, and
24 other people have come in and said, "We'd like a place to go
25 to where we can get that information." So we're running

1 that for the government. We are the government's Y2K
2 website for information on Y2K compatibility for equipment.
3 We also have more opportunities for stakeholders to interact
4 with advisory committees, and as I said, we're piloting the
5 Sentinel postmarketing reporting system.

6 I'd like to finish by asking for your help. We
7 have three areas we'd like your comments in, these are in
8 addition to Jane's five, so there is eight questions that
9 you were given today.

10 The first question has to do with our
11 reengineering and FDAMA initiatives. Are we making the
12 changes that you support, that you are concerned about, that
13 are getting in the way of your doing business or getting in
14 the way of your consumers getting information from us? Do
15 you see the need for other changes? And if so, what are
16 they that we should be involved in doing?

17 I'd like to ask from a personal point of view if
18 there are people in here that could address the standards
19 issue. Standards is another area where we recognized over
20 400 standards. We're waiting for those applications with
21 the declarations of conformance to come pouring in, and
22 guess what? They're not pouring in.

23 We thought this was going to be great because
24 people would be able to -- they would still be doing the
25 same work they've been doing ordinarily, in terms of

1 developing the information, making sure they have the data,
2 et cetera in their files, but they don't have to give us any
3 of it. They just have to give us a sheet of paper that says
4 they did it. So it would make our review process much
5 simpler. So to the extent that people feel that they'd like
6 to comment on that we'd, love to hear.

7 The second question is how do we work together
8 to communicate how ready the industry is for Y2K to the
9 stakeholders out there, to consumers, to hospitals, to
10 purchasing agents, to the people -- it's not just an
11 equipment question. We have put a lot of effort into the
12 equipment issue. Do you have a piece of equipment that has
13 a component in it that may be vulnerable? But it's also a
14 supplies issue.

15 In the supply area, if people are worried that
16 transportation is going to be disrupted or that there is
17 going to be shortages because people will be over-ordering,
18 things like that, that would be a self-fulfilling prophecy.
19 The question that we're trying to get to here is: What do
20 we do to get the word out that people are or aren't ready to
21 the extent to which the industry is ready to try to calm
22 those fears without having people say, "If they're taking
23 the trouble to tell us there is no problem, then obviously,
24 there must be a problem." It is really difficult, and we
25 appreciate your help in figuring it out.

1 The last slide is in the area of international
2 harmonization. We'd like to hear from you about the kinds
3 of things that we should do to encourage harmonization in
4 device regulation and how we can work together to address
5 its costs.

6 We'd also like to invite everyone -- we'd like
7 to invite you or tell you that we're having a global
8 harmonization task force conference in June. It is
9 first-come-first-serve, so I can't say, everybody come,
10 because the room is somewhat limited. But if you want more
11 information, we do have a website address that you can look
12 at to get information about global harmonization. It's
13 www.ghtf.org -- notice it does not say ".gov" it says ".org"
14 we were very proud of being able to get a ".org" website
15 through FDA, because the harmonization task force is more
16 than FDA; it's the world, regulatory agencies of the worlds'
17 medical device industries.

18 DR. JOSEPH: The next part involves our
19 stakeholders who will be making presentations. You can
20 speak from the mic at the table. You can speak from the
21 podium.

22 MS. KEELING: As president and co-founder of
23 Chemically Associated Neurological Disorders, a non-profit
24 organization dedicated to raising funds for education and
25 unbiased research on the toxic effects of silicone, silica,

1 or its components, I applaud FDA commissioner
2 Dr. Jane Henney's use of new communication technology to
3 enhance communication between stakeholders and FDA
4 officials. This is an important first step for identifying
5 problems, getting feedback, and evaluating ongoing
6 modernization efforts.

7 Current research and diagnosis are contradicting
8 each other. While some research, paid for in part by the
9 manufacturers of medical devices, indicates little or no
10 correlation between implants and disease, common diagnoses
11 of implant mutations indicates significant correlation.

12 Research suggests mothers with implants may
13 unknowingly be passing toxic residues to their unborn
14 children through the placental barrier as well as to
15 newborns through breast feeding.

16 I represent the thousands of women who believe
17 their health has been affected by ruptured, leaking breast
18 implants. We, like the general public today, believed and
19 trusted the FDA was protecting us as consumers. Only after
20 doing extensive research, did we learn that no breast
21 implant has ever been approved by the FDA, because
22 manufacturers have never been able to prove them to be safe
23 or effective as is required by law of a Class III device.

24 In answer to your question, what actions do you
25 propose the agency take to expand FDA's capability to

1 incorporate state-of-the-art science into its risk-based
2 decision making? I propose consumers be allowed to submit
3 published research to the FDA and receive timely written
4 answers regarding levels of risk tolerated by the FDA and
5 have those levels of risk be accurately reflected in
6 informed consent forms and product inserts.

7 For instance, a failure rate of 5 percent was
8 regarded as not a safety standard the FDA can accept,
9 according to former FDA commissioner David Kessler; however
10 a failure -- occurred from 11 research papers of 1,652
11 implanted prosthesis showed a significant direct correlation
12 of failure rates with implant times and can be used to
13 predict a failure rate of 50 percent at eight years.

14 Nevertheless, the FDA has allowed the
15 manufacturers to quote a 1 percent rupture rate in their
16 package inserts. This kind of misinformation on the part of
17 manufacturers and under-reporting of complications is
18 serious and cannot be tolerated, along with other protocol
19 violations, which are currently occurring in mentor adjunct
20 study on breast implants.

21 November of '97 in a meeting with the FDA a
22 Baylor College of Medicine researcher presented data
23 documenting the release of low molecular weight silicones
24 and platinum from intact implants which spread to ten major
25 organs in the body, including the brain in mice.

1 Baylor's recent research published February '99
2 documents fatal liver and lung damage in mice. Dr. Luberman
3 states, "Injection of about 4 percent of a teaspoon kills
4 approximately 50 percent of the mice in seven days." This
5 degree of toxicity is about the same as that of carbon
6 tetrachloride and trichloroethylene, two compounds that are
7 widely recognized as model toxins and, in fact, are used by
8 many researchers in their work to understand how toxic
9 chemicals harm the body?

10 Does the FDA have a safe level of risk
11 associated with the implantation of silicone, silica, or its
12 components, such as platinum, which can leak before it
13 becomes toxic in the human body.

14 In '97 Dr. Louis Brenton of the MCI and
15 Dr. Lori Brown of the FDA published an article reporting,
16 Silicone gel has been found to migrate into both surrounding
17 and distant tissues as a result of ruptures or bleeds, with
18 reports of evidence of silicone found in the breast, implant
19 capsule, lymph nodes, arms, fingers, groin, blood and liver.
20 Recent evidence has documented that it is immunogenic.

21 You ask what actions do I propose to facilitate
22 change and integration of scientific information to better
23 enable FDA to meet a public health responsibility?

24 I propose if the FDA does not have scientific
25 information about the safety or effectiveness of a medical

1 device, it has an obligation to inform the consumer of this
2 fact to meet its public health responsibilities. I propose
3 that the FDA mandate the use of percentages of risk from
4 reported, published complication rates in manufacturers
5 informed consent forms and product inserts to better enable
6 consumers to determine the rate of risk they are willing to
7 assume. The FDA is currently allowing Magan to state, "Most
8 women who have had breast implants have had satisfactory
9 results, and complications are uncommon."

10 You ask what actions do you propose for
11 educating the public about the concept of balancing risk
12 against benefits in public health decision making? I
13 propose the FDA issue public health information on a regular
14 basis to television and media contacts to counterbalance the
15 misinformation provided by the public relations firms of the
16 manufacturers and plastic surgeons who regularly tout all of
17 the benefits and none of the risks of breast implants and
18 other cosmetic surgeries in the media.

19 You ask what actions do you propose to enable
20 the FDA and its product centers to focus resources on areas
21 of greatest risk to the public health? I propose that FDA
22 allocate its resources based on the products with the
23 highest percentage of complaint or serious adverse events.
24 In '92, 30 percent of the total mandatory adverse events
25 reported to the FDA on medical devices was on breast

1 implants alone. Over a 170,000 adverse events have been
2 reported to the FDA on breast implants.

3 The Wall Street Journal on June 24, '98, in an
4 article entitled "Med Watch System Comes Under Fire" quotes
5 Brian Strom, chairman of the University of Pennsylvania's
6 biostatistics department saying, basically, "Nobody is
7 looking for problems. The system has turned into a big
8 waste basket." The process for adverse event injury
9 reporting is the most urgent task facing FDA. He states,
10 "Who at the FDA is looking at long-term consequences of
11 breast implants?"

12 With the reported latency factors an average
13 five to fifteen years for symptoms to appear, the current
14 med watch system is inadequate. It appears it was designed
15 as an early warning system only, and a problem occurs when
16 the doctor who put the device in is not the doctor who is
17 seen for systemic disease systems. What modernization
18 efforts have been put into place in this area?

19 Lastly, you ask what additional actions do you
20 propose for enhancing communication processes that allow for
21 ongoing feedback and for evaluation of our modernization
22 efforts? Periodic live satellite teleconferences are a
23 great start at communication processes which include
24 consumer participation. More open communication with
25 feedback, regardless if negative or positive, to problems

1 identified by consumers is essential. I'm still waiting for
2 feedback on my proposals made at the August 18, '98,
3 compliance public meeting. Thank you.

4 DR. ALBERT: Thank you very much for your comments.
5 I want to clarify one thing because I think it is important,
6 in that, any health care professional or individual can, in
7 fact, report to med watch on adverse events. It doesn't
8 have to be the implanting surgeon at all. It can be anyone
9 who sees a patient and determines that, in fact, there is an
10 event potentially related in time and raises a concern about
11 a medical product, be that, drug, device or biological.
12 There's no restriction on who can report.

13 I also wanted to say that I think it's very
14 important for consumer participation. You are absolutely
15 correct. It is in our plan as we move forward on the issues
16 related to breast implants for the companies bringing
17 forward the information that, in fact, those public
18 discussions, as the products move forward, will clearly
19 include consumers both being able to present to the panel as
20 well as being represented at our panel meetings as part of
21 our panels because that is a process that has, in fact, gone
22 into place.

23 We've had several medical device panel meetings
24 where a consumer was added in addition to -- it's not the
25 normal consumer representative to the panel, but a patient

1 representative as well. And we intend fully to do that as
2 we move forward on any panel meetings related to breast
3 implants, so we do hear you in that sense.

4 Your other concerns about what information is
5 being communicated, be that, in labelling or in informed
6 consent, clearly we'll take under advisement, and I also
7 want to say that we have attempted -- and I'd like your
8 feedback on this -- to update the consumer information, that
9 is, put together a booklet that is put together on breast
10 implants, and I wondered if you have any specific comments
11 about how that might be updated, because we hope that that
12 is a place where we do capture the publications and the
13 global issues perhaps more -- globally is the only word I
14 can use -- globally a cross-product.

15 When we deal in an individual study or an
16 individual consent or labeling, we're dealing more with a
17 specific product. I'd like to hear your comments, if you
18 have any additional comments or things, that you think we
19 can do better in our consumer booklet. I'd really like to
20 hear that as well.

21 MS. KEELING: Overall, we're very pleased with the
22 booklet. We would like to find ways of getting that in the
23 hands of woman, young woman of childbearing age particularly
24 who are considering this surgery, so we would like to see
25 that as a priority.

1 One comment I would like to make regarding that
2 is the issue of the polyurethane implants. There's been
3 some research that was published in July of '98 regarding
4 the breakdown of the polyurethane form into TDA is an
5 unreasonable risk to the health of the patient, and I have
6 not seen any patient advisory. That information was not
7 included in the most recent breast implant FDA information
8 booklet.

9 I have a young woman who just recently reported
10 to me that she has nine-year-old polyurethane implants.
11 They are still in her body, and she's pregnant for the first
12 time. So we desperately need guidance from the FDA on
13 patient advisory and breast feeding issues.

14 DR. ALBERT: Again, if you have any suggestions on
15 how to make that booklet more available. We've had some
16 conversations with advocates, and we're very concerned about
17 being able to alert people to its existence. It is on the
18 web, but if you don't know about finding it on the web, you
19 can't find it.

20 MS. KEELING: Unfortunately, there's still a large
21 population, percentage of the population, that doesn't have
22 access to the web, and that is a problem.

23 DR. JACOBSON: I think early today I gave you the
24 number to our consumer affairs group. I think if you engage
25 in a conversation with them, and I certainly will on my

1 return, we can be creative in figuring out different ways of
2 getting that information out that won't be limited to the
3 web.

4 MS. KEELING: I also had a question that didn't get
5 asked to the commissioner that I would like to ask today if
6 I have time.

7 DR. JOSEPH: Can you hold your question? If we go
8 through the other speakers, we might have time to return to
9 it. Steve, I think you're next.

10 MR. NORTHRUP: I'm Steve Northrup, Executive Director
11 of the Medical Device Manufacturers Association, Washington
12 D.C. We're a national broad-based trade association
13 representing around 130 manufacturers of therapeutic
14 diagnostic products, and I appreciate the opportunity to
15 come out here today and not only talk to the FDA, but talk
16 to you all, because it's people like you in industry and
17 consumers as well, who are really responsible for spurring
18 much of the reengineering and Food and Drug Administration
19 Act of 1997. It was your concerns that really brought it to
20 the attention of members of congress and to the FDA. And it
21 was the FDA's responsiveness in addition to your concerns
22 that have brought us to where we are today.

23 There's been a great deal of change throughout
24 the 1990's in the way the FDA does business, and you all
25 continuing to participate in that process is important as we

1 move into the next decade as well. I also encourage the FDA
2 to have more meetings in garden spots like La Jolla --
3 anything to get me out of Washington D.C.

4 I think you all have the questions in front of
5 you. I'll dispense with that slide and move right along.
6 Looking at the two first questions, I decided to combine
7 those two because I think that both can be addressed by some
8 of the points I'm making here.

9 With regards to strengthening the science base
10 of the agency, we agree 100 percent with what Dr. Henney has
11 said in a variety of forums, including today, about building
12 a strong science base at the agency. And it's important to,
13 number one, insure that CDRH does has a very strong core of
14 professionals of scientific experience. We believe it is
15 also important for the agency, recognizing resource
16 constraints, to collaborate with other governmental agencies
17 and with academia. It's important for the agency to have
18 that strong core, because if you don't have the core in the
19 agency, there's no way the agency can really look to outside
20 parties and then be able to judge the work of outside
21 parties with any sort of critical thinking.

22 Clearly there is a role that institutions, like
23 this institution we're at here today, can play in the
24 process. One of the great things about medical research in
25 this country is that the federal government several decades

1 ago decided, rather than nationalizing all of the research
2 that goes on in this country, they made a decision to create
3 a government academic partnership through the National
4 Institutes of Health. We all hear about the NIH, but what
5 you may or may not realize is that most of the research that
6 goes on at NIH was done at institutions like Scripps and
7 UCSD and the academic medical centers and teaching hospitals
8 in this country. So we need to look to those types of
9 institutions as well for some scientific expertise.

10 Encouraging the use of third-parties, I'll get
11 to in a little bit. Working with industry to identify
12 trends and developments early, I think, is important. I'll
13 give you an example: The FDA did an excellent study back in
14 April of '98 called "Future Trends in Medical Device
15 Technology", and I think Dr. Jacobson referred to some of
16 these trends that the FDA has identified. And we'd like to
17 be able to work with the agency to help the agency identify
18 more of these trends, identify what the agency and industry
19 needs to do in order to cope with these trends and how we
20 can help the agency be ready for what is to come in the
21 future so that they can do their job more efficiently, and
22 these new products can get out on the market safely and
23 effectively in a timely fashion.

24 The third question on educating the public about
25 balancing risks and benefits. I think one thing we need to

1 understand is that there's been a change in the way the
2 public decides the questions about drug and devices. The
3 public over the last five years has started taking a much
4 more critical approach toward the suggestions that are given
5 to them by their physicians. And I think the internet is
6 one of those things that patients are using more and more as
7 a tool for making critical decisions about their choices and
8 the choices that the physicians and other health
9 professionals are recommending to them.

10 Unfortunately, some of what's on the internet
11 isn't necessarily accurate, and it's important for consumers
12 to keep that in mind, that the internet shouldn't be the
13 only source that they use to make these decisions. But we
14 need to encourage the use of the internet for disseminating
15 information about the intended uses and contraindications of
16 devices.

17 We talked about this with Dr. Albert recently
18 the fact that oftentimes with devices, if the labeling is on
19 the box or in a package insert, once the device is out of
20 the box, the labeling is thrown away. How do we deal with
21 that? Certainly you can't print a 200-word labeling
22 instruction on a catheter.

23 But if manufacturers can post that information
24 on the internet, I think that is something that the FDA
25 should encourage, and we should encourage. We just need to

1 be careful about regulating the use of the internet. One of
2 the things that's great about the internet is the fact that
3 it has and spread and grown without much government
4 regulation at all. That has contributed to some negative
5 instances as well, but by and large, that's one of the
6 things that has made the internet great. And I think we
7 should think carefully before we do serious regulation of
8 how the internet is used.

9 Allocating scarce resources and focusing on areas
10 of greatest risk. I look to the agency to focus first on
11 the statutory obligations and encourage them to continue
12 doing that, focusing on device reviews and device
13 inspections. Those are the two main obligations set forth
14 by congress under -- and protecting the public health.

15 Continuing reengineering efforts. I can't say
16 enough about what the FDA has done over the last few years
17 and the change in the interaction between FDA and industry
18 that we've seen. But we need to work together with the FDA
19 to educate industry on these new pathways to market. And it
20 goes back to a slide that Dr. Jacobson showed us about how
21 this industry is primarily comprised of small businesses.
22 And it's important for us to get the word out to those small
23 businesses.

24 Most of the companies that we represent and we
25 look out for are the entrepreneurial companies that are new

1 to this industry, and we need to do everything we can to
2 educate companies on how to use these technologies in a way
3 that's useful for them and doesn't require them flying all
4 over the country, because most of the companies have very
5 small budgets and can't handle that.

6 Continuing to identify Class I and II devices
7 exempt from premarket review when appropriate and continuing
8 to streamline not just the PMA but the 510(k) processes as
9 well and also promoting standards. I don't want to ignore
10 that. We think that is very important. Something we at
11 MDMA are putting some effort into. We asked Harvey Rudolph
12 from the office Science and Technology to speak to our
13 members on a conference call next month, and if any of you
14 are interested in participating in that, please give me your
15 card, and I'll let you know how you can participate.

16 Enhancing communication between public and
17 regulated industry. These public meetings are great, and I
18 hope we'll continue to see sessions like this. The new
19 mediums -- things like web casts, videoconferences,
20 teleconferences -- it's very important once again with an
21 industry comprised mainly of small businesses with limited
22 resources to get the information out to the user in the
23 easiest possible way.

24 The FDA CDRH website is great. If it hasn't won
25 awards, it probably should. There's so much information out

1 there now that sometimes it's hard to find. I'll give you
2 an example: Device Advice -- how many of you are familiar
3 with Device Advice? Maybe about half. It's a program on
4 the FDA website that takes you through the steps you need to
5 go through when you are considering -- how do I file this
6 submission with the FDA? I think that should be something
7 that's made more prominent on the website. You can find it
8 if you know where it is, but it's knowing where it is that's
9 half the battle.

10 The dispute resolution process we think is very
11 important. The advisory committee, for instance, is not
12 necessarily the way to resolve scientific disputes, because
13 in many cases, the advisory committees are part of the
14 scientific dispute problem. Expanding early collaboration
15 meetings with the agency, where, again, I think is also
16 important as well.

17 Turning to specific questions, the completed
18 efforts that we support through FDAMA reengineering -- I
19 didn't list them. Dr. Jacobson already did; that's why I
20 didn't put them on this. Promoting third-party review. I
21 think that third-party review is one way that we can really
22 help the FDA conserve its resources and focus on the devices
23 of highest risk. We as an industry association and the FDA
24 needs work on promoting that as a viable option to
25 manufacturers.

1 The FDA has done such a good job in
2 reengineering. Unfortunately, the time has come down to the
3 point where for many manufacturers it's not really worth it
4 to go third-party because they may not get the device
5 through fast enough to make a difference in terms of how
6 much they are going to pay the third-party. The median
7 review time for third-parties I believe Dr. Albert said is
8 about 22 days, and for 510(k)s somewhere between 80 and 90
9 days. So it is something for manufacturers to consider. We
10 just need to promote it.

11 The user fees issue. I really wish we didn't
12 have to deal with this issue, frankly. I think it's time
13 for the FDA and the Clinton Administration to move on. We
14 as MDMA, the only national broad-based association of device
15 manufacturers, oppose user fees because we don't feel it's
16 an appropriate way to fund the industry. It may work in the
17 pharmaceutical industry where you have a few companies with
18 deep pockets who fund most of the programs. But even there,
19 I've heard Dr. Szydram say the user fee program for drugs,
20 because they have to focus so much on getting resources in
21 there to trigger the user fees, is hurting the drug program
22 in many ways. I could go into user fees a lot more. If you
23 want to find out more on it, you can visit our website at
24 medicaldevices.org.

25 If I had some time, I'd talk about this export

1 regulation that came out April 2nd. Anyone that wants to
2 know more about it can talk with me afterwards about it.
3 Very simply, it's just an unnecessary regulation. I will be
4 talking to the FDA about it.

5 As far as Y2K compliance goes, I think we need
6 to stay the course. The FDA has done a great job of trying
7 to help manufacturers come into compliance with the
8 year 2000, get the information out to the user community. I
9 think we just need to continue down that road.

10 As far as harmonization goes, the one thing that
11 worries me is that we're focusing too much on the
12 harmonization of the regulatory systems. What we should be
13 focusing on is the essential principles. I don't think
14 we'll ever come to, at least in my lifetime, a situation
15 where there is one worldwide regulatory body, so let's focus
16 on the principles, rather than the specifics of the
17 regulatory schemes. Thank you.

18 DR. JACOBSON: You mentioned something that is
19 near and dear to my heart which is identifying trends and
20 trying to keep up with an ever-burgeoning technologies. It
21 is something that requires a fair amount of creativity on
22 our part to keep up with.

23 You suggested we should be working with industry
24 to help identify those trends and work together. Do you
25 have any specific ideas, or as you come up with specific

1 ideas, can you give them to us? As you said, we had our
2 study that we did in the spring to try to look at what are
3 the trends that are coming up over the next five to ten
4 years. The reason we did that is because we'd like to try
5 to get our resources lined up with those trends. That's
6 always a little risky because obviously you can't predict
7 everything, and there's liable to be some technological
8 advance, some quantum leap, that nobody envisioned right
9 now, but at least it's an attempt to get there. Any
10 suggestions?

11 MR. NORTHRUP: One of the examples that your staff
12 came up with in this report was device -- products, and
13 there are some companies that are on the cutting edge there.
14 To the extent that the agency and some of those companies
15 can sit down and look at some of the difficulties they faced
16 in getting through the process and see where the FDA -- and
17 work with industry to identify ways to streamline the
18 process.

19 Obviously, some of these new products raise
20 significant questions of safety and effectiveness, and we
21 have to be careful about how they do that. But I think
22 getting together with some of these companies that are
23 performing the cutting edge, because cutting edge is sort of
24 the point of the vanguard -- just getting together with
25 those companies.

1 DR. JACOBSON: Like a work shop?

2 MR. NORTHRUP: Yeah. Those companies who are in the
3 vanguard can probably contribute a lot to what you're
4 already doing.

5 DR. ALBERT: Two things: One is -- and it's really
6 not to be answered now -- but you made a provocative
7 statement about further streamlining of the 510(k) process.
8 I think it would be very important for us to hear what kinds
9 of further streamlining you had in mind, and if you can
10 submit those to the docket, that would be great for us and
11 that would make it available for other people to comment on
12 as well.

13 The second thing was, I just wanted to make sure
14 I understood your issue on the internet. Although we don't
15 have our office of compliance and the folks who deal in the
16 oversight of marketing and promotion from CDRH in the room,
17 I think it's important for us to capture what you are
18 concerned about. I wanted to make sure that it was clear.
19 Obviously, we can't regulate the internet. The internet
20 isn't a medical device. I want to make sure we understand
21 what aspect of our behavior is raising your concern.

22 MR. NORTHRUP: It's nothing that actually you've done
23 yet. It's more a let's think about as companies start using
24 the internet to disseminate information about their products
25 to the public and to health professionals. I think we need

1 to be careful before we step in too heavily with a heavy
2 hand. I'm not suggesting that you are or that you even
3 will. It's something to keep in mind.

4 DR. ALBERT: I guess I put the placemaker that that
5 has to be done within the bounds of understanding that
6 whether you communicate in electrons or written words, that
7 the regulation of that communication is part of our charts.
8 So not just a concern about it, but if you have specific
9 suggestions, I think that would be helpful to get on the
10 docket as well.

11 MR. NORTHRUP: One of the things that manufacturers
12 may do is put frequently asked questions about technologies
13 on the website. And depending on how you look at some of
14 the answers, I think it's just careful that as manufacturers
15 try to get more information out using the internet, to work
16 with us to help us understand what's appropriate, what's
17 not.

18 A chat room that's hosted by a manufacturer on
19 their website, are they responsible for everything that goes
20 in there? And if something gets in there that seems to
21 promote the use of their product in a way that is
22 off-labeled or somehow detrimental, what is the
23 responsibility of the manufacturer there? That's something
24 I don't think we have thought through. Those are just a
25 couple of examples of things we need to think about.

1 MS. MESSA: I would like to encourage everyone
2 as an ORA representative here, I think it is important that
3 the science base, as Dr. Henney mentioned, deal with field
4 investigators and meeting statutory regulations, also a very
5 the important piece of our operation. So I would encourage
6 you to provide suggestions to CDRH to the field staff.

7 MR. NORTHRUP: We certainly support sections of the
8 FDA budget that requests more money for inspections, and
9 we're all looking forward to the quality assistance
10 inspection technique as a way for you to focus more on a
11 systems-based approach, and hopefully that will enable you
12 to do more inspection in a shorter fashion.

13 Maybe some of you will shoot me for saying this,
14 but maybe if manufacturers were inspected more frequently,
15 but in shorter and more concise and more focused fashion,
16 they would probably prefer that than the system we've got
17 now. I think that would as a whole be good for the industry
18 because it would weed out a few of the bad apples that are
19 out there.

20 DR. JOSEPH: Tuesday on the website we published
21 scientific dispute documents. That's up on the web.

22 MR. NORTHRUP: We'll take a look at it, and if we
23 have any comments, I assume there's an opportunity to
24 comment.

25 MS. SAIGET: My name is Susan Saiget, and I am an

1 employee of PharMingen a business unit of Becton Dickinson
2 Biosciences. I have been asked to represent
3 Becton Dickinson Biosciences here today and will be
4 presenting comments prepared by our regulatory affairs
5 department.

6 We wish to thank the Center for Devices and
7 Radiological Health for providing this opportunity to share
8 our suggestions for FDA's efforts to improve its
9 implementation of the law.

10 First, how should FDA incorporate state-of-the
11 art science into its risk-based decision making? We wish to
12 address the use of risk-analysis and review of new products.
13 We all acknowledge that the rate of scientific discovery and
14 development is accelerating. Under today's product
15 development system for devices, any truly novel product
16 reaching the U.S. market will be at least one generation or
17 more removed from state-of-the-art. This situation can be
18 beneficial. An extensive program of design and testing is
19 not only a regulatory expectation but has become an industry
20 and a public expectation for any truly novel healthcare
21 product entering our market.

22 One result of this careful and organized
23 approach to product development and evaluation combined with
24 the almost daily changes in technology and science is that
25 no product will be state-of-the-art when it reaches the

1 reviewer's hands. If FDA insists on state-of-the-art,
2 companies are continually thrown into a round of revisions
3 for each significant improvement, and products that will
4 have significant benefit to patients and their providers
5 will not reach the market in an appropriate, timely manner.

6 FDA should not feel that it alone has to provide
7 incentive to manufacturers for continual product
8 improvement. For high-technology industries making
9 regulated products, there's ample incentive to continue
10 state-of-the-art product development. Our competitors
11 provide these incentives. For most of us, the motto is
12 "Improve or die."

13 Two, in review FDA should principally base its
14 expectation for product performance on what is currently
15 available for use in the U.S. market and not what is being
16 explored at the NIH or other world-class research
17 institutions. Risk-benefit assessments for marketing
18 permits should not be conducted on what could be developed
19 but on what is currently available.

20 Three, for issues concerning product safety, FDA
21 should base its review on the very latest information, as
22 long as that information is based on labeled claimed
23 products, statistically valid scientifically sound studies,
24 and is not anecdotal information whether obtained from peer
25 review scientific journals or other sources.

1 Four, on use of state-of-the-art science and the
2 assessments of risk for which FDA deserves commendation is
3 their consideration of new surrogate -- for clinical studies
4 and new invitro analogues for animal studies. These
5 advances in science serve to shorten testing time and allow
6 innovative products to reach the market sooner, thus
7 shortening the product life cycle.

8 We expect FDA will continue to encourage
9 advances in these areas. By encouraging more scientific
10 ways of establishing product conformance, FDA will also
11 assure that the product reaching the market is as close to
12 state-of-the-art as it reasonably can be.

13 Educating the public about risk-benefit
14 analysis. Risk-benefit analysis is not well understood by
15 the general public. We see this frequently when the news of
16 a single disaster with a product outweighs the years of
17 positive health effects obtained by the use of the same
18 product. Disasters command attention, statistics do not.

19 If FDA wishes to provide outreach to the general
20 public about risk-analysis, we suggest FDA try an approach
21 that capitalizes on the familiar choices people have to make
22 that involve risk benefit. Develop an outreach program that
23 explains in simple terms what people have to consider when
24 evaluating treatment options with their physicians, what
25 they need to ask their doctor, and how risk benefits

1 provided the information to answer their questions.

2 Do this for a couple of key diseases with three
3 or four alternate therapies to explain the concept of risk
4 evaluation. Then once the basic idea is established, you
5 could explain how the same techniques are employed by FDA on
6 a more global scale to review recent therapeutic or
7 diagnostic products aimed at the same disease categories.

8 Educating the exchange of scientific information
9 between government, academia, and industry scientists. We
10 suggest FDA take advantage of the resources it already has
11 and use them differently: Use your advisory committee
12 members to provide training to reviewers; acquire more
13 advisory experts in the physical sciences, such as
14 materials, physics, electronics, and software development,
15 as well as life sciences; provide more science training to
16 your inspectors who must apply your regulations to an
17 increasingly high-tech manufacturing community; have
18 manufacturers provide more information about basic sciences
19 and follow up in the development of the product prior to
20 product review; seek out reviewers who have both scientific
21 expertise and experience in the practical application of
22 medical products; look for opportunities for reviewers to
23 participate in externship programs where they can gain
24 current experience with medical products in the practice of
25 medicine; consider more engineering strength at the higher

1 levels of CDRH; when there are scientific disagreements,
2 have available mechanisms to take the issue to an outside
3 scientific review short of convening an advisory committee.

4 Next, improving FDA focus. In FDAMA, FDA has
5 given CDRH the basis for focusing its resources in the area
6 of product review. This is the mandate for the least
7 burdensome approach to product review and approval. At this
8 point the least burdensome concept is open to widely varying
9 interpretation and in order to be effective, should be
10 better to find. Industry has suggested some steps to work
11 toward its definition, and we expect that FDA will respond
12 and move forward with this task.

13 Additionally, CDRH has employed a risk-based
14 triage approach to product review. Risk-based triage is
15 unevenly applied. We suggest ODE come in and review
16 expectations for Class I devices, with those for Class II
17 and higher in all reviewing divisions, and evaluate whether
18 risk-based triage has actually taken place.

19 If there are any questions, I would be happy to
20 deliver them to the appropriate personnel within our
21 company.

22 DR. ALBERT: I do have a question and it would help
23 to get some clarification from the staff. Early on in your
24 talk you talked about a demand or -- what you articulated
25 was that FDA was demanding that things be state-of-the-art.

1 It was an implication that a product has to be better than
2 something else in the market or newer than something else in
3 the market. I, as the person responsible for the review
4 program, would really like to know where that is happening
5 because it's not in the law. So if, in fact, there are some
6 examples that you have with that, that would be very useful
7 for us.

8 The second, you talked about publishing a
9 risk-assessment. I think that's an extremely intriguing
10 idea for us, so I want to thank you for that, and one that I
11 know I'll take home to think about. We try to get some
12 things out, but I think the idea of publishing some of that
13 risk-base experience or the approval of some of the
14 products, might be very useful. We'd have to be careful not
15 to be dealing in proprietary information that was not ours
16 to deal with, but I think that's a great idea.

17 The third thing that would be helpful to get
18 some clarification, you talked about having some other kind
19 of review besides our recognized advisors. We're kind of
20 constrained by the law to use our advisors, but if there was
21 something else that they were intending in terms of bringing
22 more expertise from outside, leveraging in some way, that we
23 haven't thought of, getting some more specifics on that
24 would be real useful to me as well.

25 MS. SAIGET: If it's acceptable, I would like to take

1 these questions back, and we can send them to your office.

2 DR. JACOBSON: You mentioned we need to be -- sort of
3 hitting on the whole topic of scientific training and
4 keeping the staff at the top of their game. Do you see a
5 role -- we've been talking a lot about this, and we've had a
6 lot of outreach activities in the sense of vendor days and
7 things like that where we get a tremendous amount of
8 information from those things. Do you see a role for
9 industry/FDA cooperation in the area of scientific training?

10 MS. SAIGET: I have to be honest with you. I don't
11 work with the regulatory aspects on a day-to-day basis. We
12 do have other people here that might be able to answer --

13 MR. ARBITTIER: Yes. The person who wrote that
14 statement is not Susan. I didn't write it either, but I can
15 speak for myself and my company that we'd be happy to work
16 with the FDA.

17 DR. ALBERT: Repeating the comment for the record,
18 was that the person who wrote the comment is not in the
19 room, but the comment will be taken back, and it will be
20 addressed.

21 MS. MESSA: I want to add onto that and also thank
22 you for recognizing the field investigators in that comment.
23 As part of the medical device initiative, there is a
24 subgroup working on training for field investigators with
25 industry. We would love to be able to do vendor days, but

1 obviously they don't work in the field. So whatever
2 suggestion that you might have in terms of discussions, we
3 would very much like to have those.

4 MS. SAIGET: I know there is a lot of people that
5 would be happy to provide suggestions.

6 MS. SHEA: Good afternoon. I appreciate this
7 opportunity to address some of the questions posed by FDA
8 regarding the implementation of FDAMA and the spearheading
9 of their reengineering efforts within the agency.

10 My name is Cheryl Shea. I'm currently RA/QA for
11 a medical device start-up company here in San Diego named
12 CryoGen.

13 First, I would like to applaud the agency for
14 the overall progress made in the implementation of FDAMA and
15 the spearheading of the reengineering efforts. There
16 appears to be a growing level of scientific and medical
17 expertise in FDA. The division that I'm currently working
18 with the most has brought at least two MD's on board in the
19 last few years. Our company participated in vendor day last
20 November, and it was very encouraging the number of FDA
21 staffers that came down and were very interested in
22 technology. There were about 20 companies exhibiting.

23 Our efforts of scheduling early collaboration
24 meetings have been very successful overall. In some
25 instances, however, we have discovered that true

1 collaboration, which is defined by Webster as "to work
2 together to cooperate" has not really existed.

3 For example, in one case the agency had already
4 made up its mind, regarding an issue that we had gone in to
5 speak to them about, before we walked in the door. And
6 there was no willingness to hear our presentation nor to
7 consider the relevant facts of the case.

8 I personally see very little progress regarding
9 the least burdensome provision of FDAMA. In fact, at the
10 reviewer level there seems to be a lack of understanding of
11 the concept. I know it's still a growing area. I encourage
12 education at all levels of FDA regarding this provision of
13 FDAMA as well as collaborating with industry. PMA has an
14 ongoing working group addressing this issue. I trust that
15 the agency will openly embrace the comments and suggestions
16 of the working group.

17 Recent history has shown that joint FDA and
18 industry working groups have been very successful. The
19 efforts in international harmonization continue to be
20 encouraging, though slow. There appears to be a lot of
21 progress in this area, though. With respect to the dispute
22 resolution provision of FDAMA, our company has actually
23 utilized the dispute resolution process through the
24 ombudsmen's office over the last year. And I have to say,
25 we have nothing but positive regard for Amanda Norton and

1 her staff. They have done a great job. Unfortunately, the
2 process has been very lengthy, time consuming, and
3 bureaucratic. This is certainly an area for ongoing
4 improvement.

5 One area that is of key concern to our company,
6 and I believe the device industry as a whole, is the general
7 specific use guidance document that was issued by the agency
8 in November 4th, 1998, in response to Section 206 of FDAMA.
9 I believe that this document actually entered into the new
10 paradigm of products approval within FDA which significantly
11 affects all of us, and wasn't necessarily intended by
12 congress the way it was written.

13 I also believe it was released hastily with
14 little acknowledgment of industry concern in an effort to
15 meet the time frame mandated by congress and FDAMA. This
16 document blatantly overlooks regulatory law. It allows the
17 approach of basing SE and NSE decisions on the important
18 public health impact factor for every 510(k) under review.
19 This is not in line with the Food, Drug, and Cosmetic Act,
20 which it pertains to devices. It overlooks the device
21 classification process.

22 During the classification process, FDA
23 classified many broad use devices by regulation into Class I
24 or II. During that process, FDA determined that these
25 established devices should not be subject to premarket

1 approval. FDA and the advisory panels that participate in
2 that classification process were keenly aware that broadly
3 indicated devices were used and would be used in the future
4 for multiple specific medical procedure.

5 Congress did not direct FDA to protect the
6 public from the medical community's use of established tools
7 in varying new ways, but to protect the public from poorly
8 designed devices and from new devices with unproven
9 technology. With this new paradigm, FDA wants to
10 arbitrarily subject selected specific uses of established
11 devices to premarket approval requirements, even when those
12 uses are included within the existing labeling of the
13 device.

14 Rather than introducing consistency and offering
15 specific general principles for determining when a specific
16 intended use is not reasonably included within a general
17 use, it allows the agency to be arbitrary, I believe. For
18 example, the document is not entirely accurate in its
19 description of cryosurgical devices used in OBGYN. It
20 states that "a PMA is required for the indication of
21 endometrial aglacion or aglacion," which is aglacion of the
22 lining of the uterus under ultrasound guidance. The
23 document fails to mention that the device is already cleared
24 and labeled for use in enterouterine uses.

25 The significant distinction between the term

1 endometrial aglacion, which FDA has chosen to make a PMA
2 required indication, and agalation enteruterine tissue is
3 very difficult for OBGYN physicians to comprehend,
4 particularly considering that both the predicate and the new
5 cryosurgical devices for enteruterine ice balls, which
6 aglate endometrial tissue.

7 In closing, this guidance, I believe, places a
8 far greater burden on industry by allowing the agency to
9 require PMA's for specific indications for use, which should
10 be covered within broader indications for use. Rather than
11 a PMA, why not a middle ground? Why not a 510(k) submission
12 for clinical data, especially for devices that are
13 historically Class II?

14 PMA submissions place a heavier burden on us,
15 the manufacturers, and on and the agency. At a time when
16 FDA is attempting to understand and implement least
17 burdensome, as well as expressing concern about the
18 allocation of preciously few resources, it doesn't make
19 sense to impose unnecessary and heavier burdens upon both
20 the agency and the industry.

21 DR. JACOBSON: You were talking about little
22 progress -- you felt there was not much progress being made
23 in the least burdensome in terms of interactions with staff.
24 I want to make a comment that, not agreeing or disagreeing
25 with that statement, I just want to make a comment on the

1 difficulty or the challenge that's posed by culture changes
2 that have to be implemented by large organizations.

3 We have almost a thousand people in the field
4 and another hundred or so that are devoted to device work.
5 One of the ways we've been doing that is through our staff
6 college to get reviewers and other staff people educated,
7 brought up to speed on the changes in FDAMA.

8 We had hundreds of people involved in our
9 reengineering efforts, so it's quite well understood
10 throughout the center. But obviously not down to every
11 single individual. So educating people to new ways of doing
12 business is always an interesting experience. I'd be
13 curious to know other people's experiences, whether other
14 organizations have tackled that. We've gone out and talked
15 to other organizations and tried to incorporate as many
16 features of successful education programs as we could. We
17 can always learn a lot more, and we'll have a lot more
18 changes to implement over the coming years. So we'd be
19 curious to hear your suggestions.

20 DR. ALBERT: I'm going to make a couple comments.
21 Least burdensome is an issue that is in an early day of
22 being articulated in ways to have a focus for specific
23 training. We've talked to our staff in multiple trainings
24 to FDAMA early on, recognizing that least burdensome, as
25 Dr. Henney pointed out, is an issue of most appropriate.

1 But we have been asked by industry, by you, to be more
2 specific and to create guidance. We've had some early
3 discussions.

4 We have heard from the HIMA group with
5 suggestions on what to incorporate in our first attempt to
6 articulate least burdensome in writing, and we've taken
7 their comments and we will be coming forward with a Level I
8 guidance, which means something that is a document that
9 everybody gets to talk about before anybody gets to use it.
10 That takes really just a global approach at trying to
11 articulate some of the concepts and doesn't try to tie down
12 anything into a box, because we think we have a lot to
13 learn. Nobody has experience with this, not the industry
14 and not us. So we think that's an area that needs a lot of
15 work.

16 I don't want to speak about any specific device
17 or any specific issue that is being dealt with in the
18 center. I would point out two things: One is that new uses
19 for technologies are in the law and are addressed in the
20 510(k) in the way in which we deal in 510(k) and the
21 question that is raised about even already marketed products
22 when you look at new claims. So I want to remind everybody
23 that that is there and that is a question that has to be
24 answered device by device.

25 The other issue you commented on, Cheryl, is

1 extremely important to clarify and that is that we deal with
2 manufacturers and claims but not uses, not the practice of
3 medicine. And I think there was a point where you talked
4 about us restricting doctors from use. I want to again
5 remind everyone that we don't deal in the practice of
6 medicine and the devices that are available to be used by
7 medical practitioners as they see fit for their patients.
8 We deal with the manufacturer and the claims on the products
9 that go into distribution.

10 I would ask you, as I asked Steven and Susan as
11 well, for specific comments. The general and specific
12 document was our articulation of what we do. It wasn't
13 directed to industry at all. It was a compilation of what
14 we do. We were asked to say how we got there. If you have
15 some specific suggestions about how industry can get there,
16 we'd be happy to hear them and add to that document. That
17 would be helpful for us.

18 Ms. ZAGAME: Hi. I'm Susan Zagame. I'm with the
19 Health Industry Manufacturers Association, and we will be
20 submitting four written comments to the docket that will
21 further explain some of the points that I would like to talk
22 about today.

23 We also would like to thank the agency for
24 holding these meetings and welcome the opportunity for
25 further collaboration.

1 Just generally, speaking we would like to insure
2 that our basic principles are on the table here. And that
3 is we believe, as others have said today, that FDA should
4 focus on its core statutory obligations, especially in an
5 era of limited resources; that resources should be devoted
6 to high-risk devices and new technology based devices as
7 well.

8 Continue to reengineer and implement FDAMA.
9 It's an evolutionary process. It's a culture change. This
10 ensuing both with the agency and industry. We all have to
11 come up to speed. We also believe that FDA needs to seek
12 additional resources. We wish their budget had devoted more
13 of their resources to the device review functions, but we
14 have gone on record as supporting a budget increase for FDA.

15 With regard to the first question, we want to
16 make sure that when FDA considers the answers to this
17 question, that they also keep in mind the regulatory
18 construct of law; that science for science sake is not the
19 purpose of the question. The purpose is to insure that
20 there is adequate science available to answer the questions
21 required by the law.

22 I was really pleased to hear Dr. Henney say a
23 lot of these things. Obviously, the agency recognizes these
24 are ways to get science into the agency: Company tutorials
25 on things like materials and software, these are great

1 suggestions; vendor days; cosponsored educational workshops;
2 some workshops that will mutually benefit both the agency
3 and industry.

4 We also believe that CME requirements for FDA
5 docs are important because some docs are simply not aware
6 of current procedures once they are in the agency
7 environment and something that might be equivalent for
8 scientists that are not doctors.

9 We think the collaboration meetings are an
10 opportunity to bring together the best scientists on any
11 discreet particular issue. So the right statisticians and
12 the right biochemists and all of the right people should be
13 at these collaboration meetings. There is a need for
14 continuity. Once these discussions are held, that
15 subsequently a year or two down the road on a complicated
16 PMA another scientist or expert comes in and second-guesses
17 the first one. That should not be allowed to happen.

18 We think that FDA needs to look at the use of
19 outside experts. I know there are some certain constraints
20 to that now and perhaps the concept of interest policy could
21 be revisited in order to determine whether there are
22 situations where you still have a conflict, but with full
23 disclosure, make a determination that it is still
24 appropriate to use a scientific expert.

25 We talked about the need for funding to hire

1 competent scientists. There are many on board already. We
2 think that FDA's focus on participating and conscientiously
3 participating in standard setting activities really is an
4 effective surrogate for independent scientific review for
5 all of the data that a company may present. And the focus
6 in that area should be on standards for high-risk and new
7 technology devices.

8 Change of integration of scientific information.
9 Again, the theme here is that whatever this involves should
10 focus on the principles of risk assessment, in other words,
11 training the reviewers to ask the right questions, the right
12 scientific questions; optimizing the use of staff, which has
13 been mentioned here before; and staff training. Private
14 sector has done trainer-to-trainer programs. Learning
15 should be disseminated to the non-attendees.

16 Diversification of attendance. Not just the senior staff
17 should go to these things but all levels of staff. And
18 industry and other experts should be considered as resources
19 in the staff colleges. That might help as well.

20 Annual reports are an incredible mechanism for
21 obtaining information about the devices, and with regulatory
22 requirements that manufacturers have inserts new information
23 about the device in those reports, we'd just like the agency
24 to take a look and see how they are being used.

25 The third question has to do with public

1 education, risks and benefits balance. This is a difficult
2 question. How does the public assess risk and benefits?
3 There is no magic bullet. A lot of this is consumer-driven,
4 marketplace-driven. FDA does have a great website, and we
5 also believe it might be a forum for putting in some general
6 basis for how FDA does make its decisions and educating
7 consumers about questions that they may be advised to ask.
8 And also we've recommended before that there be links to
9 other sites.

10 The fourth point has to do with focusing FDA's
11 scarce resources on the greatest risk. Again, it's an
12 overall theme. We think device funding should be at the
13 appropriate level, and FDA should not reallocate that money
14 to other initiatives that might be the favorite flavor of
15 the day.

16 We also believe that continuing FDAMA
17 implementation and reengineering, such as increasing the
18 number of exceptions, expanding standards, streamlining
19 reclassification, optimizing use of collaboration
20 meetings -- that all of those will actually save resources
21 and allow us to focus resources on the greatest risks.

22 Industry and agency education -- the more we all
23 know together, the better off we'll be. Redundant function
24 should be eliminated. FDA should not become another NIH or
25 NSF. The primary role of FDA is not to conduct scientific

1 research. We also believe that the inspection initiatives
2 should be continued. They're giving a full presentation of
3 this at the ORA, so I won't comment too much on this except
4 to say there have been a lot of initiatives in this area.
5 There have been good ones. Time-saving mechanisms are
6 available, but we also believe that FDA ought to consider
7 whether or not biannual inspection requirements are
8 absolutely necessary as part of the law.

9 On the fifth question, ongoing stakeholder's
10 feedback. I like this format a little better than some of
11 the other ones. It seems -- even though we have to talk
12 fast, it's a good opportunity to chat with folks. I think
13 continuing to have true consultations, and it's not just
14 comments, it is meeting to discuss and brainstorm. There is
15 a feeling that at times we do get the black hole coming at
16 us and comments -- we don't understand why they are not
17 adopted sometimes.

18 It would be nice to have face-to-face meetings
19 to try to understand FDA's position, just as we like FDA to
20 understand our position. That doesn't mean we have to meet
21 on everything. We agree that everybody's time is at a
22 premium. We have to focus on select issues -- those that
23 are the most difficult, the most complex, or have the most
24 resource savings potential, those that would be the most
25 mutually beneficial for both sides.

1 I'd like to make an announcement. We have a
2 questionnaire that's out. It's a quick questionnaire, ten
3 questions, sent out to 3,000 people, and we've gotten some
4 feedback already, experiences with FDAMA. And we're going
5 to share that with the agency.

6 And then finally, we want to congratulate the
7 agency on the level of effort it's put forth. It has been
8 considerable. It hasn't gone unappreciated. We realize
9 that it's been a difficult time. There has been a lot of
10 progress made. There's always room for more. We like the
11 idea of coming to expect these stakeholder meetings, and I
12 guess we'll have more of them in the future. We all agree
13 that the promise of FDAMA must be achieved and is why we
14 fought so hard for. I think patients and industry and the
15 agency are all going to mutually benefit from it.

16 DR. JACOBSON: I noticed in your slide you talked
17 about wanting expansion of recognition of standards, which I
18 totally support. Do you have ideas or things you could give
19 us or for the record what isn't working for manufacturers on
20 that program? Why isn't there more use of that in the
21 510(k) program.

22 MS. ZAGAME: My understanding is that there was a
23 focus group that met between Harvey Rudolph and HIMA, and
24 some of the manufacturers gave back some of the reasons why
25 the abbreviated 510(k) was not being used. It has to do

1 with -- largely with the fact that you have to certify your
2 inconformance at the time that you submit your 510(k),
3 whereas with the regular 510(k), you can say you will be in
4 conformance when you go to market.

5 I think that's one of the biggest impediments we
6 need to look into. Apparently, I've heard there is some
7 statutory legal issues involved there. I think the
8 inspection issue was another one where we had heard and
9 understood that as soon as you filed a declaration of
10 conformance, you were going to be the subject of inspection,
11 which was a deterrent.

12 DR. JACOBSON: Which I hope everyone understands that
13 is not the case.

14 MS. ZAGAME: My observation too is that it's not
15 clear -- I'm not sure industry fully understands that you
16 don't have to have a standard that applies to 100 percent of
17 your product, that you can determine which standards might,
18 and pick and choose --

19 DR. JACOBSON: And which aspects.

20 MS. ZAGAME: -- and put in the information on those
21 parts of the device that are not covered by the standard. I
22 don't think there is a full understanding of that.

23 MR. NORTHRUP: We participated in that meeting as
24 well. I think there was a general lack of understanding --
25 when it is appropriate? And what is going to happen when

1 you do declare conformity to standards? Also the point
2 that Susan raised -- did you have to declare here and now,
3 or is it enough to say we are testing for this standard and
4 are going to meet this standard?

5 DR. ALBERT: More complete and more information.

6 DR. JOSEPH: Is it just getting the information out,
7 or do you see it more interactive like a workshop?

8 MS. ZAGAME: We're going to deal a lot with it at the
9 July workshop that's coming up.

10 DR. JACOBSON: I had a question. One of your slides
11 you had the primary role of FDA is not to conduct scientific
12 research. If you're talking about NIH-style research, I
13 don't think you'll have any arguments from anybody. Is that
14 code for something else? I wasn't sure why you would go to
15 the trouble of putting that on the slide.

16 MS. ZAGAME: I think there is an impression among
17 some of the industry that the OST, which was a great
18 resource for assigning reviewers, is not really worth the
19 money now. Maybe that's going a little bit too far because
20 I think there are appropriate roles. But I think FDA always
21 needs to ask itself when it's looking at what the science
22 function is; is it being done to support a statutory
23 obligation?

24 DR. JACOBSON: My only reaction to that would be that
25 of course it's the office of science of technology that is

1 coordinating the standards pitch, which is very statutory.

2 DR. ALBERT: I think I hear that we have not made our
3 science agenda as public as we probably should so that it is
4 understood when we spend money on science, what we spend it
5 on.

6 You talked about the use of agreement meetings
7 and talked about early interactions. And you also raised a
8 concern about -- I want to make sure that I got it right --
9 that it was a concern about when a meeting or when an
10 understanding is reached between a review staff or a team
11 and a company that that might change later. Agreement
12 meetings are intended to do that, to bind the agency and the
13 company, not just us, but to bind the agreeers to not allow a
14 different scientist who has a different background with a
15 different set of concerns to change the obligation.

16 We thought that was addressed. The other thing
17 that addresses that is modular review, because modules, once
18 they are reviewed are reviewed to completion, and they are
19 not reopened unless they must be reopened by some
20 information in a later module.

21 I wondered if there was anything else that you
22 thought or your membership thought would also strengthen
23 that understanding of requirement or of the expectations
24 within specific submissions, because it sounded like you
25 were talking about specific submissions in those comments.

1 MS. ZAGAME: I'm not sure if this addresses the
2 question. I think that there is a couple of different
3 answers. There's been a preperception that sometimes FDA is
4 not really willing to sit down and have an agreement
5 meeting, because it's somewhat threatening. The perception,
6 whether it's true or not, is that there's a reluctance to do
7 that at times. You're right FDAMA was intended to address
8 that issue. That's in the PMA context. There are 510(k)
9 issues, where there are not such things as agreement
10 meetings for 510(k)s that are equal --

11 DR. ALBERT: There is pre IDE, so we have the ability
12 to do that in a pre IDE, which is the one that is called the
13 determination meeting. So there is an opportunity.

14 Two other things: One is a comment; one is a
15 question. The comment on inspections for every time
16 somebody declares conformity with the standard. That would
17 be hard. There's no way we get the inspections we want to
18 get, never mind ones we think might be fun to do. That's
19 why we asked for more resources so that you have more staff
20 to do the inspections.

21 I think the issue that -- it is true that we had
22 a pilot program where we did inspect a few 510(k)s that came
23 in very early on to build confidence between the industry
24 and the reviewers. We were very open about the fact that we
25 were going to inspect a couple of them. But it was never

1 stated that this was going to be a program where we were
2 going to inspect everybody's declarations because that is
3 not possible. It's not a risk-based inspection process. We
4 did a couple of times. We were up front about it, saying
5 that early on we might go out a couple of times to see about
6 the data behind the declaration because we have a lot of
7 concern on the part of the staff.

8 This was a major change for the reviewers to not
9 see the data. When you're used to seeing a set of data and
10 comparing two sets of data in front of you, in terms of
11 making a substantial equivalence decision, and now you have
12 the piece of paper that says, "I did the testing, and it met
13 the standards. Thank you very much. We're done." It was a
14 real concern on the part of staff, which leads me to the
15 last thing.

16 We did talk about in the abbreviated 510(k) that
17 the declaration replaces data. It doesn't replace design.
18 It doesn't replace a description of the device. In those
19 places where descriptions have been enough, descriptions are
20 still enough. There's no requirement to submit a
21 declaration. There's no requirement to do anything about
22 standards. The idea for the declaration of conformity to
23 standards was in replacement for places where we currently
24 see the data that came out of the testing.

25 Now, rather than giving the data and all the

1 test descriptions and so forth to give a declaration of
2 conformity, i think there's been a misunderstanding about a
3 requirement that you declare to every standard, even if it's
4 not something that we require in a 510(k). I think you are
5 absolutely right about issues. If you have any other
6 suggestions about how we might bring education forward,
7 whether it's at the submissions workshop, that would be
8 helpful.

9 MS. ZAGAME: Maybe we should simulcast it.

10 OPEN SESSION

11 DR. JOSEPH: I would like to open it up to whomever
12 would like to go first. Please do so.

13 MS. KEELING: Keeling, K-e-e-l-i-n-g. First name is
14 Marlene.

15 My question is: What failure rate of silicone
16 gel filled implants is acceptable to the FDA? Dr. Lori
17 Brown's own published research can be used to predict a 49
18 percent failure rate at 12 years with extra capsular spread
19 of silicone gel reported in 11 to 23 percent of ruptured
20 implants. The FDA is currently allowing Magañ to state in
21 there informed consent to patients, "Implants may not last a
22 lifetime," with no reference to percent of risk.

23 My second question is: With over 170,000
24 adverse events on breast implants alone, research documented
25 fatal toxic liver and lung damage in mice to low molecular

1 latent silicone. What modernization efforts have been put
2 into place to improve med watch reporting to track the
3 long-term consequences of implants?

4 DR. ALBERT: I'll take the first question, and that
5 is we don't have an answer with a specific rate. Our
6 determinations of what the rate really is and whether that
7 rate is acceptable, balanced with the benefits for the
8 specific populations that are being investigated for breast
9 implants, will lead us to a determination as to what is and
10 whether they have reached a threshold of reasonable balance
11 of benefit and risk, safety and effectiveness. That's a
12 determination we cannot make until the data is in our hands.

13 We're working very hard with the companies that
14 manufacture these products to be sure that we're seeing the
15 data. These are being done in modular reviews, and we are
16 seeing the preclinical information on those products. We
17 don't have a firm rate for you.

18 The issue that you raised that in fact rates are
19 variable and knowing what the durability of permanent
20 implants is true for all durable implants. None of them
21 have a permanency. They all have an average expected
22 lifetime, and some fail early, and some last much longer.
23 That's the kind of information that we have been focusing on
24 obtaining about breast implants, whether they are silicone
25 gel filled, saline filled, or with other fillers.

1 Those are in fact the questions that are of
2 issue for us as well as for those who have already been
3 implanted or those contemplating implants. Since these are
4 not currently improved medical devices, they are an unusual
5 construct between being pre-Amendment III's already in the
6 market and under investigation, if you will.

7 Simultaneously, those are hard questions to
8 answer. I hear your concerns, and they are shared by us in
9 terms of knowing the accurate rates and making the
10 determination. As one of the people involved in making that
11 determination, those are our questions.

12 DR. JACOBSON: In terms of your question on med watch
13 reporting, there's not an easy answer to that one either. I
14 think med watch is set up, and we use it as a warning system
15 for adverse events that are happening. One of the things --
16 I think you were looking at how to track long-term low-level
17 type effects. How do you get information about those? One
18 way we use med watch -- should be using med watch more is to
19 do data minding of the stuff that's already in med watch.

20 One thing that we do, or we try to work with
21 other groups to do this, is to use the kinds of effects that
22 come up in med watch to guide study questions and things
23 like epidemiologic studies or studies that can take a
24 long-term view and look at these long-term effects. The
25 problem with doing these studies is simply money. They are

1 very expensive. We're not able to --

2 DR. JOSEPH: And they take a long time.

3 DR. JACOBSON: We have been doing everything we can
4 to get the kind of adverse events that are in the med watch
5 system related to breast implants out into the public.
6 They've gone into all of the documents that we've published.
7 We've talked to other funding agencies and people that are
8 funding the agencies to get out questions on the table, to
9 get the questions of the people who reported to med watch on
10 the table in the context of those studies. But it's not a
11 crisp answer, which I think you're after. We don't have an
12 easy 1, 2, 3. It's sort of a lot of different efforts that
13 go on at the same time.

14 MS. ROSENTHAL: Thank you for this forum. My name is
15 Ilena, I-l-e-n-a; Rosenthal, Rosenthal. I'm the director of
16 the Hematics Foundation for Women Breast Implants Recovery
17 and Discovery. I'd like to second everything, all of the
18 suggestions and opinions that Ms. Keeling made, and also
19 address a corollary concern.

20 Just this morning members of my support group
21 and myself called a number of plastic surgeons around the
22 country, and in 100 percent of the cases either the staff or
23 the plastic surgeons were telling the woman that "Absolutely
24 FDA implants are approved." What do we do? Who do we
25 report to? How do we get the plastic surgeons up to telling

1 the truth to the potential implants?

2 DR. JOSEPH: 100 percent of -- what was your
3 denominator?

4 MS. ROSENTHAL: We had a group of women and myself
5 call different plastic surgeons, usually the ones who are
6 advertising in the paper, and say, "I'm calling about breast
7 implants. Are they FDA approved?" And in every single
8 case, we were told, "Absolutely. They're FDA approved."

9 DR. JACOBSON: Far be it for me to speak for the
10 plastic surgeons. What may be operating here is a semantics
11 distinction. To the plastic surgeons there is a legal way
12 for women who need breast implants for reconstruction, for
13 example, to obtain them. In that sense it is FDA approved,
14 not in the sense that we speak of it or that manufacturers
15 might think of it. Words don't carry the same weight when
16 you carry them from one place to another. It may be that's
17 what the plastic surgeons are hearing or thinking when they
18 are using the term "FDA approved." They're not doing
19 anything illegal by using the implants in those particular
20 cases. It's just a guess.

21 DR. ALBERT: There also are proved studies, and I
22 know that confused a number of people when a study for
23 augmentation is proved, and it's announced that there is an
24 approval for the study. That is a confusing issue. I think
25 your comment relates to something that Ms. Keeling talked

1 about earlier, that is, how do we make sure that people can
2 get access to the appropriate information?

3 I think any suggestions you have again about how
4 we can we do more public -- we do speak at the organization
5 for plastic surgeons. We've had someone there each year
6 talking about the regulatory environment and trying to find
7 out what is and what is not available and what the progress
8 is about these issues.

9 Any specific recommendations for how to get
10 information out of other mechanisms and other multiplier
11 groups, we'd be happy to hear them.

12 Allow me to say something else, that is, one of
13 the things that I think would make this interaction even
14 more productive, would be for people to be brave enough to
15 bring their specific recommendations as well. If you have a
16 specific recommendation about something that we could do or
17 a place to post information that you think would be useful,
18 a way in which to provide that information in a way that we
19 haven't done, something very specific, that would be
20 helpful. We can then respond to very specific
21 recommendations, something that would be very useful to us.
22 You don't have to do that now; you can do it to the docket
23 or to us at the center.

24 MS. ROSENTHAL: I understand what you're saying about
25 the semantics and what approval might mean to a doctor

1 assuring a woman that what he is doing is legal. It's
2 important -- the women who find me are often implanted only
3 a few months, and they are already having symptoms and
4 problems. When I tell them that the FDA has not given their
5 approval, the women are often shocked. I think having this
6 on every consent form that the patients are required to sign
7 would be step one. Maybe even in their advertising, because
8 they do an enormous amount of advertising in their products.
9 Even sometimes I'll see it say, "FDA approved."

10 MR. STEVENS: My name is Larry Stevens. I work for a
11 company called -- Medical a small interventional cardiology
12 company in the area. I wanted to speak to the issue of the
13 FDA plan for statutory compliance, particularly the issue of
14 applying resources where they can best be utilized in the
15 inspection side.

16 Speaking as a consumer, which we all are, when I
17 saw the chart on the ability to meet the mandatory
18 inspection of two years and how it has gone to an average of
19 once every seven years, my immediate reaction as a consumer
20 is "Oh, my gosh. What's going on out there?" But as a
21 member of the industry, particularly as the vice-president
22 of regulatory affairs and quality assurance, I have to have
23 a little more confidence from the standpoint of what's going
24 on in the industry. The FDA knows it too.

25 That is that every U.S. company will enter the

1 European market before they enter the U.S. market with their
2 products. In order to do that, most companies are required
3 to be inspected by an independent authority to certify them
4 to an intentionally recognized quality standard.

5 I wanted to know what extent the FDA could
6 utilize those certificates of compliance to exempt the lower
7 risk companies, in other words, count that as the bi-annual
8 inspection and exempt. More importantly, assure the
9 American public that these U.S. companies are not operating
10 out there without some kind of inspectional oversight, which
11 we all pay for incidently from notified bodies from the
12 European Union.

13 DR. ALBERT: One comment, that is that I think what
14 you're suggesting is essentially a third-party inspection
15 program. There are some pilot things being done about
16 people other than FDA doing those inspections both here and
17 under the MRA. I think you are talking about getting there
18 already as opposed to where we are, which is just building a
19 pilot process to look at third parties doing the FDA
20 inspection, other than what you heard here in California
21 doing some inspectional work. We're on the road, but we're
22 not as far as you would like us to be.

23 DR. JACOBSON: I think the fact that we're spending
24 time and energy and implementing the MRA, which does have
25 the third-party inspections, and we're getting ready to

1 train the conformance assessment bodies, really speaks to
2 the fact that we're really not that far apart in thinking
3 that there is a real potential there.

4 MR. KWAIN: I'm Michael Kwain. I want to comment on
5 the point that was discussed -- as a matter of fact, a
6 suggestion I was going to bring out, but I'm glad somebody
7 did already. We're recognizing third-party registration
8 into a harmonized standard.

9 The comment I was making is that under the MRA
10 in the third-party review program and also the MRA CAB
11 program, it is true that the FDA is training the CAB for
12 quality inspection, but not the U.S. CAB, and I think I
13 understand probably the political reason, it may be the
14 rationale behind the MRA, that could put the U.S.
15 manufacturer in a disadvantage position. If a company
16 wanted to sell a product in Europe, they can utilize the
17 system, but they can't utilize U.S. CAB for inspections for
18 that matter.

19 You also raise an interesting question because a
20 lot of the companies are pretty globalized. What prevents a
21 U.S. company to set up a subsidiary in Europe and actually
22 use a European CAB to do some inspections and also
23 third-party reviews? I think that is an interesting
24 inconsistency.

25 MS. MESSA: What you've just said has been publically

1 stated by others that the next step down the road, since we
2 are training the CABS, would be that they would be able to
3 do inspections in the U.S. I just don't think at this point
4 the center is ready to go from the resources that are being
5 used for the MRA to start that process. There have been
6 some that have said that's the next logical step.

7 MR. ARBITTIER: I'm Lane Arbittier. I would like to
8 emphasize the importance of training. Having the FDA
9 training of industry has been a tremendous benefit to the LA
10 district. We've had the pleasure of several representatives
11 from the LA district office hold training sessions with the
12 Orange County regulatory affairs organization and most
13 recently last month with the San Diego Regulatory Affairs
14 Discussion Group.

15 We had several attend the -- we had 97 people
16 register for that workshop. Unfortunately, only 72 attended
17 but that's the largest turnout we have ever had, and I
18 attribute that directly to having FDA investigators do the
19 presentations. And we surveyed the group and found out that
20 100 percent of the attendees responding to the survey said
21 that they could use the information they learned in that
22 training session to help their companies comply with FDA
23 regulations in the future.

24 This in turn gives companies the ability to
25 anticipate what the FDA will expect from them during an

1 investigation during an inspection and to be in better
2 compliance. It's a good way to prevent problems. We also
3 asked the attendees about an ombudsmen position in the LA
4 district. There was a position for that. Nobody in the
5 audience knew about the position, the person in that
6 position unfortunately.

7 For those of you who are familiar with that
8 position that, I believe, was intended to act as an
9 assistace to industry and anybody, I guess, to deal with the
10 LA district office of the FDA. When we asked the audience
11 if they would be interested in replacing that position if
12 they, in fact, knew that that position was there, and if
13 there would be somebody there to answer the questions and
14 help them deal with the FDA, about over half of the audience
15 said they would use a person in that position.

16 Also over half the audience said they have used
17 the Department of Small Manufacturers Assistance in CDRH, so
18 it seems like the group appreciates having that assistance,
19 and we would encourage you to continue that kind of support
20 for industry.

21 DR. JACOBSON: I really appreciate that comment.
22 There's no substitute for face-to face interactions. There
23 just isn't. And at the same time, we've been madly looking
24 for substitutes, because it's so difficult, given that we're
25 spending over 90 percent of our budget on salaries, to get

1 the kind of funding that it takes to get people out there.
2 It's wonderful to use the resources in the district because
3 they're here on the spot, and they really have a flavor for
4 the interactions that we don't have in the center.

5 I guess back in the old days, we used to do a
6 lot of traveling around and do workshops face-to-face. And
7 we have been phasing those out because they're very
8 expensive, and they don't reach many people at a time. And
9 we have been trying to substitute things like video
10 conferences and the web. There are advantages and
11 disadvantages. We'd like to keep talking about how to do
12 training the best. I think what we need is a mix.

13 Some topics may lend themselves very well to a
14 video conference and other topics may not, so if we can work
15 together to figure out what are the topics and the training
16 and information that needs to get out there? What's the
17 best vehicle for each? We're acutely cost conscious these
18 days in terms of what we can afford to do. We have a lot
19 more tools than we ever had. Back in the old days, we
20 didn't have the web and those other kinds of modalities to
21 help us get together in training sessions.

22 We really appreciate the feedback, and if you
23 can give us things that you want interaction on and suggest
24 what vehicle might be appropriate, that would help.

25 MR. ARBITTIER: I passed along a survey, indicating

1 the topics that San Diego Regulatory Affairs people would
2 like to hear more about. I'd like to emphasize the
3 advantage of having -- first of all, the local ombudsmen or
4 the local DSMA helps us deal with the time difference. We
5 lose three hours in getting a hold of DSMA. Sometimes that
6 can be quite a challenge.

7 MS. MESSA: We had a person that was part-time in
8 that position as an industry facilitator or liaison. We
9 actually now in Los Angeles have been given authority to
10 hire a small business representative in the Irvine office, a
11 Mark Roh but in Southern California. Having said that, I
12 heard by telephone today that we may be on a hiring freeze,
13 but actually, I'm glad you raised it. That announcement
14 will be on the OMB website. This may be an excellent
15 opportunity to hire a person who has industry experience.
16 So in you know of anybody that likes our salary ranges, it
17 might be a good opportunity. No. Seriously, it may be very
18 well work to our advantage to have someone.

19 One thing that I would like to add on to what
20 Lane has said, in that, we ORA are trying to work with all
21 the centers in terms of recognition in our work plan for
22 industry outreach, because every time we do an outreach
23 event, we actually are using inspection or laboratory
24 resources that would be doing regulatory work.

25 So ORA, in general, is working with each of the

1 centers to try to get recognition of what you said, the
2 impact that it has on training industries, the ability for
3 them to use the information for compliance. And we're
4 trying get the centers to recognize that resource use as
5 part of our reporting requirement that we have.

6 MR. ARBITTIER: The motto that we used in this last
7 workshop could be applied around the country. I think it
8 would be worthwhile. We had two investigators on overtime.
9 They did their presentation at 5:00; it lasted until 7:00,
10 and we were able to, I think, get some extra communication
11 and training without impinging on any inspection or their
12 day job. So it worked out for the industry representatives
13 who in fact didn't have to take off from work, and we had
14 the advantage of talking with our local representatives who
15 in fact would impact -- who would interpret the regulations
16 for our inspections anyway. We were getting first-hand
17 knowledge of what their interpretations were.

18 MS. MESSA: I think we need to mention it is a
19 two-way information exchange. The FDA investigators do
20 benefit.

21 MR. SCHLADOR: My name is Fred Schaldor. I'm with
22 ThermoScan here in San Diego. Just to comment, earlier
23 Susan Zagame and Susan Albert, I think, the two of you were
24 having a discussion about the alternate 510(k) submissions.

25 I attended the HIMA session last July in

1 Washington D.C., and I came away with a very distinct
2 impression that if a company used the abbreviated 510(k),
3 there was a high probability of inspection. When I spoke to
4 people at that session and I said, "Did you hear what I
5 heard? What was your interpretation?" I asked three or
6 four people that, and they all came back with, "Let's not
7 use it until FDA is satisfied with it, and once they finish
8 their inspection processes, let's use it. There's no reason
9 to give FDA an invitation to come visit you." That's one
10 persons take away.

11 DR. ALBERT: We'll fix it this year.

12 MR. SCHLADOR: In see that in some of the trade
13 journals and comments from FDA saying, "No. That is not the
14 case." But at least the 250 people that were there,
15 probably walked away and told their colleagues, "Don't touch
16 it."

17 DR. ALBERT: On the plus side, I will say that we had
18 a couple of companies who did get inspected and were very
19 willing to work with us and share the experience of using
20 the abbreviated 510(k) and how it had serviced the products.
21 It's been helpful, and it served the reviewers to say,
22 "Here's what the company had in support of their
23 declaration, and here's how it served the company in being
24 able to be useful." So we got the full message out. But,
25 again, any suggestions about how to make those teaching

1 examples, experiential examples, a reality more available,
2 would be helpful.

3 MR. SCHLADOR: I appreciate an event like this.
4 Really the progress that I believe is being made between FDA
5 and industry -- I think that it's becoming less of a
6 child/adult relationship, industry being the child, than it
7 was in the past. That's appreciated.

8 One specific comment on the review process is
9 industry can deal with predictability even when they don't
10 like what's predictable. One specific thing that I've
11 experienced is that the rules change in the middle of a
12 submission. In other words, there's a previous agreement or
13 previous submissions that have cleared -- you submit a new
14 submission for a similar type product and the reviewer comes
15 back and says, "What we would really like is --" fill in the
16 blank. My suggestion is this: If you're going to make a
17 change, announce it to the stakeholders separate from the
18 submission, and don't use the submission as the lever to
19 make the change.

20 DR. ALBERT: You're absolutely right, and we've told
21 our staff numerous times that the place to make the
22 change -- if there is a change, if people believe there
23 needs to be a change, that's a guidance document. And I'll
24 tell you what I tell the rest of the industry, and that is
25 if you think somebody's doing that to you and to your

1 product, then you need to widen that discussion with their
2 managers and with the division management so that you're
3 sure that the question being asked is being asked because of
4 something in your submission related to your product and the
5 question of your product going forward and not because
6 someone thinks that the experience of the division needs to
7 change.

8 So I really urge you -- it's not a matter of
9 being worried about the review's reaction to that because
10 this is what I tell my staff as well. If they have a
11 problem with the company, I tell them the same thing. If
12 you're dealing with somebody in a company, and they're
13 telling you that they don't have to or they want something
14 that you have a problem with, then you want to ask them to
15 bring a wider group of people from the company to the table
16 to have that same discussion.

17 It's not an individual-to-individual discussion.
18 It's an organization-to-organization discussion that needs
19 to held with the right people at the table to solve the
20 problem. It should not be between any two people or between
21 a company and any reviewer. These are global issues, and if
22 you think that someone is trying to use a submission in a
23 way that seems to be asking different questions, you need to
24 be sure and the reviewer needs to be sure that the questions
25 are appropriate. It's partly less burdensome. It's also

1 partly level playing field. And it's good management
2 practice. So you can be assured you've got support all the
3 way up in the office.

4 MR. MICHAEL: I'm Ken Michael with KRM Associates. I
5 want to applaud you for this meeting and all of the input
6 and also the reception and the responses.

7 There's one area that you covered in the
8 presentations that I think could be addressed that's with
9 the classification for Class I. Most of the manufacturers,
10 93 percent, 53 percent, are under 50 or 100 people, but the
11 average entrepreneur does not know what Class I means. What
12 does he need for a Class I?

13 At one time FDA put out a booklet "Questions you
14 always wanted to ask but never did, a simplified type
15 approach for a CEO's, for venture capitalists. That would
16 help them especially in the Class I device. I wondered if
17 you have anything underway in that area, because there is
18 some confusion among a lot of the companies.

19 DR. ALBERT: You're suggesting that we need better
20 values for exempt products.

21 MR. MICHAEL: Yes. They don't need all the data you
22 do in Class II.

23 MR. SMITH: I have a very brief comment. This
24 morning one of the comments was to get more advanced notice
25 about writing guidelines or input for guidelines. One

1 suggestion could be that if you are going to have proposed
2 guidelines, that you could publish whatever you are going to
3 consider on the website so that anyone who wanted to put
4 input early on in the development, would be able to give you
5 some input.

6 The second suggestion I have involves the
7 emerging technology. I know the grass roots here in the
8 Southern California area has been very effective.
9 Elaine Messa has done an excellent job leading that. And
10 one of the areas -- technology could be identified is
11 through the grass roots groups. If they can identify a
12 particular or group of technologies that they could give to
13 the center to explore, that might be helpful.

14 DR. JACOBSON: With respect to the guidelines, we do
15 have our guidelines on the web. I think what you're saying,
16 though, is in the formative stages, have the early drafts on
17 the web. We've done that with some of them.

18 MS. SMITH: I wasn't thinking drafts. I was thinking
19 the ones that you are planning to propose so that the
20 organizations that might want to give you some input, would
21 be able to identify what is coming into the pipeline.

22 DR. JACOBSON: You mean topics?

23 MS. SMITH: Yes.

24 DR. JOSEPH: We did that recently on a human factors
25 guideline, saying this is what our thinking is -- it wasn't

1 a guideline. It was just an outline of what our thinking
2 was. What we are going to do, and we were soliciting
3 comment. It's probably true that we're not very consistent
4 about that. It's a good suggestion.

5 DR. ALBERT: I would remind people, I think it's
6 important especially in the light of guidances, that we are
7 very receptive to having guidances initiated outside the
8 agency. If there is an area where you think guidance ought
9 to be made available and have some good ideas about what
10 that guidance ought to contain, please feel free to make it
11 a first draft attempt and submit it to us for incorporation
12 or for creation of a guidance, because we are happy to have
13 people take some of the initial work and then suggest that
14 the FDA, put the FDA pieces around it, or open the dialogue
15 around a proposed guidance document.

16 It came up in the context of the least
17 burdensome at the January 4th meeting. At that meeting a
18 number of representatives of industries suggested to us as a
19 way to least burdensome is have guidance in every device
20 area. Honestly, with a hundred and some-odd on the web
21 already, we don't have time to rewrite all of them. But if
22 people want to take them on and make suggestions about them,
23 we'd be happy to have that. I want partnering on that as
24 well because that's very useful in leveraging your
25 expertise.

1 Dr. Jacobson: I was thinking we could marry those
2 two thoughts. If we have a list of upcoming topics that
3 would serve as advance notice that we will have preliminary
4 draft documents that they would like us to consider. My
5 only hesitation, the obvious one, is that at some point
6 resource issues kick in. The reason we're not doing
7 guidances on everything simultaneously is very often a
8 resource issue. That would be kind of a nice thing to have
9 those two concepts put together.

10 MR. FOOTE: My name is Kerry Foote. Just three
11 comments: Our company benefited greatly from the summary of
12 reporting from MDR. I think we could probably go one step
13 further than that in talking with these guys, a lot of
14 things we still report under summary reporting, they aren't
15 interested in receiving that information. To go through and
16 get an exemption costs us thousands of dollars and a couple
17 years to get exemptions on some of our products. I think
18 that FDA -- if they were a little more proactive and
19 identified the kinds of complaints they are receiving --
20 provide some sort of guidance on that. That would be very
21 helpful. Cut down a lot of our time, a lot of our money, as
22 well as the MDR group.

23 Another suggestion that we would have is on
24 complicated 510(k)s it would be nice if we could meet with
25 FDA like we do on the pre IDE. It would be nice if I had a

1 complicated 510(k), if we could meet 30 days or 60 days into
2 their review and sit down with them and present as if I
3 would a panel meeting on PMA's, and this is on 510(k)s --
4 this is the rationale we used; this is the worst case
5 product; this is why -- I think we find that a lot of
6 questions that we get back from FDA are simple
7 miscommunications.

8 The third comment that I have is we've been
9 attending the ISO meetings, trying to get international
10 standards set up for our industry. And FDA has not
11 participated on that until last year. That was extremely
12 helpful. You sent two reviewers, and it was very -- many of
13 the international, especially the French and the Germans,
14 have a very strong opinion on what is supposed to happen,
15 and FDA totally disagrees with that. It's helpful to have
16 FDA sit there and say, "We will not accept it if the
17 standard comes out looking like this." That was very
18 helpful. It would be very helpful to ISO meetings so we can
19 have some standards to work with.

20 DR. JACOBSON: I want to comment -- thank you for the
21 feedback on the standards issue. If you could let me know
22 who the people are, we'll go back and see what the issue is
23 financially speaking. We have real concerns about the fact,
24 as companies do too in terms of supporting all of the
25 international standards, because it's expensive to send

1 people internationally on the one hand. On the other hand,
2 we have a real commitment to making the standards process
3 work and we have a separate budget for standards travel
4 within the center and have put a prioritization scheme
5 together for all the standards efforts that are ongoing to
6 see which ones we'll be able to fund and sort of prioritize
7 them and have a cut off line.

8 MR. FOOTE: The feedback was invaluable.

9 DR. JACOBSON: That's good feedback. We want to
10 support getting people out to the standards committee
11 meetings as much as we can.

12 DR. ALBERT: The second comment you made about the
13 510(k) process -- it sounded to me that you were saying you
14 were getting resistance about meeting with the division, not
15 the part during the 510(k) but before in planning. You're
16 saying no, that is not the issue.

17 MR. FOOTE: The division works real well with us
18 right now.

19 DR. ALBERT: We have been approached about having
20 more frequent meetings on 510(k)s like we do on PMA's. But
21 I have to tell you that resource really is the issue. We
22 have 4,000 plus 510(k)s a year. And even if you take just
23 the ones that we would consider in the highest complexity
24 category, there are probably a thousand or close to a
25 thousand that are complicated 510(k)s, maybe more than that.

1 MR. FOOTE: I think you could cut that down. There
2 are a lot of companies that won't take advantage of this.

3 DR. ALBERT: I have a suggestion. We have a real
4 resource issue in terms of being able to do that, responses
5 out within 90 days and deal with the number of 510(k)s that
6 the program sees.

7 You mentioned that one of the most problematic
8 areas can be easily resolved by having the 510(k) reviewers
9 understand your rationale. I was going to suggest or ask if
10 you if you think that some discussion between CDRH and the
11 industry about how to present that rationale in the 510(k)s
12 might, in fact, deal with a lot of the problems. There will
13 always be certain 510(k)s where there are problems. I was
14 wondering if there might be a more global approach, again,
15 resource saving for all of us, whether it is time or travel
16 or whatever it is, in terms of clarification.

17 One of the things I've told my staff is one
18 round of questions is fine. If you're asking a second round
19 of questions on a 510(k), you ought to be on the phone with
20 the company having a meeting because you should have figured
21 that out the first time.

22 Sometimes you get 510(k)s with questions in
23 them. That's fine. You ask your questions. If the answers
24 aren't coming back that you need, there's something wrong in
25 the communication, and you need to do something more

1 appropriate to the problem which is a dialogue.

2 If that's not working or you're not hearing
3 that, contact the divisions when you get a second -- or you
4 think you are getting a second round of questions. But
5 let's see if we can't do something more global because it is
6 really a resource issue. There are many fewer reviewers
7 than there are incoming submissions.

8 Most cue time is still waiting to be opened.
9 It's the time it takes to get to a submission, not the
10 actual time in the actual review of the submission. That's
11 a real resource problem for us. So any suggestions about
12 how to articulate the rationale, the selection of a
13 predicate, whatever, and if there is some nice
14 straightforward ways that we could do that, that would help.

15 I think ours are more reviewer specific. They
16 didn't understand why we choose to test against a certain
17 type, a certain angle or whatever. In an attempt to explain
18 that, they still come back with questions. It's easy to
19 resolve over the telephone. If we could resolve the issues
20 up front, we would get that 90 days clearance. We end up
21 having you miss the 90 days, and us missing the financial
22 benefits.

23 DR. ALBERT: I hear you.

24 DR. JOSEPH: I think we have time for one more
25 question or comment.

1 DR. ALBERT: Can I take his first comment then?

2 The first comment has to do with post market --
3 signal me yes or no -- I think I heard you say that an
4 easier way of getting an exemption from MDR reporting would
5 be helpful --

6 MR. FOOTE: Right.

7 DR. ALBERT: I wanted to make sure I heard that
8 clearly.

9 DR. JOSEPH: I think we'll wrap this up. I'll start
10 down with Elaine.

11 MS. MESSA: I want to take an opportunity to thank
12 Susan and Liz and Lee and Ron for selecting San Diego and
13 coming to the west coast for this meeting. We're always
14 encouraging that we come. I also want to thank mark Roh and
15 mike Stokey from our office who were here and some of the
16 investigators for putting in their time and all of the
17 panelists and yourselves for taking time out of your busy
18 schedules to come and let the center listen.

19 DR. ALBERT: I found this very helpful for me in
20 hearing specific issues focusing down on some of the
21 specifics. I want to thank you for participating and
22 encourage you to continue to send suggestions and
23 recommendations and keep thinking with us on things we might
24 reengineer even, because those kinds of recommendations from
25 you or dealing on the other piece of the regulatory process

1 is very useful to us. I'd also like to thank everybody for
2 coming. I know a lot of you traveled as far as we traveled.

3 DR. JACOBSON: I just want to add my thanks to
4 everybody for coming. It seems like coming to the part of
5 the country is sort of like being called to New Castle in
6 terms of communication. From everything I've heard and
7 seen, the west coast crowd seems to really be into good
8 communications and lots of interactions. I think that's
9 great and anything we can do to contribute to it is very
10 helpful.

11 Elaine Messa is the chair of our device field
12 committee and has shown a lot of leadership and helped out
13 incredibly in terms of being a sort of laboratory for a lot
14 of the changes that we're trying to make together as an
15 agency. It's been an exciting time, and we look forward to
16 a lot more exciting times. Thanks again for coming.

17 DR. JOSEPH: Elaine, thank you for all the help that
18 you and you your staff have contributed. Mark special
19 thanks to you, lots of hard work. Ron, thank you. And Jeff
20 and Carol as well. And also I've been told by my manager
21 here that I should remind you to turn in your blue
22 evaluation form.

23 I found this very, very informative. You've
24 given us a lot of great ideas, a lot of things to go back
25 and to see how further creative we can be. I hope that the

1 . time between seeing you again is not as long as this one has
2 been. Thank you very much.

3 (At 3:56 p.m. the meeting was adjourned.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 STATE OF CALIFORNIA)
 2 :SS
 3 COUNTY OF SAN DIEGO)
 4

5 I, Sandra L. Quinn, CSR NO. 11714, hereby
 6 certify that I reported nonverbatim minutes of the above
 7 meeting on Wednesday, April 28, 1999, in the City of La
 8 Jolla, County of San Diego, State of California.

9 DATED: May 5, 1999.

10
 11
 12
 13
 14
 15
 16
 17
 18
 19
 20
 21
 22
 23
 24
 25

Sandra L. Quinn

 Sandra L. Quinn, RPR
 CSR. No. 11714