

TRANSCRIPT OF PROCEEDINGS

7025 00 OCT 12 P2:17

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

FOOD ADVISORY COMMITTEE MEETING ON PROBIOTICS

Pages 1 thru 274

**Arlington, Virginia
September 26, 2000**

MILLER REPORTING COMPANY, INC.

**735 8th Street, S.E.
Washington, D.C. 20003**

(202) 546-6666

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

FOOD ADVISORY COMMITTEE MEETING ON PROBIOTICS

Tuesday, September 26, 2000

8:30 a.m.

Hilton Towers-Gallery I and II
Ballston Metro Stop
Arlington, Virginia

PARTICIPANTS

FOOD ADVISORY COMMITTEE MEMBERS

Joseph H. Hotchkiss, Ph.D.

Thomas Montville, Ph.D.

Robert M. Russell, M.D.

Madeleine J. Sigman-Grant, Ph.D.

Roberto Villarreal, DrPH

EXPERTS, TEMPORARY VOTING MEMBERS

Stephen H. Benedict, Ph.D. (Acting Chair)

Mitchell L. Cohen, M.D.

Naomi Fukagawa, M.D.

Donna R. Richardson, J.D., R.N.

FDA

Robert Buchanan, Ph.D.

Cathy DeRoever

C O N T E N T S

Introductions and Administrative Matters	4
Focus of the Meeting: Dr. Robert Buchanan	10
History of Food Use: Dr. Douglas L. Archer	14
Questions and Answers	36
Foods in the Marketplace: Dr. Mary Ellen Sanders	49
Dietary Supplements in the Marketplace: Roger Clemens	79
Questions and Answers	96
Source Organisms and Methods of Analysis: Dr. Daniel J. O'Sullivan	122
Microbial Ecology: Dr. R. Doug Wagner	156
Questions and Answers	169
Probiotics and the Immune System: Dr. H. Rex Gaskins	186
Probiotics and Infant Foods/Formula: Dr. Roger A. Clemens	211
Questions and Answers	237
Public Participation: Gregor Reid, Ph.D., MBA Jon A. Vanderhoof, M.D.	253

1 P R O C E E D I N G S

2 **Introductions and Administrative Matters**

3 DR. BENEDICT: Good morning. Welcome to the FDA
4 meeting to provide questions about probiotics. Before we
5 start, let's just set a few ground rules. The idea here is
6 that we entertain testimony and information, and that we
7 question as stringently as we can everything to do with what
8 we hear. The idea is to provide FDA with as much
9 information for as many holes that we hear as we can.
10 Pursuant to that, I would like to use the Ed Brandt style of
11 questioning, which is as questions arise, please just make
12 yourself known to myself and Ms. DeRoever, and we will
13 actually entertain questions in the order in which people
14 are recognized. The purpose for this is so the transcribers
15 can get everyone's name down, and it is a very clear
16 transcript that the FDA will then be able to study at a
17 later time.

18 Before we go too much further, I think that those
19 of us around the table should introduce ourselves so we all
20 know who we are. My name is Steve Benedict. I am in the
21 Department of Molecular Biosciences at the University of
22 Kansas. My area of expertise is immunology and molecular
23 biology.

24 MS. DEROEVER: Good morning. My name is Cathy
25 DeRoever. I am the executive secretary for the Food

1 Advisory Committee. I work for FDA's Center for Food Safety
2 and Applied Nutrition in the Office of Science. I would
3 like to welcome all the members and all the guest speakers.

4 DR. HOTCHKISS: My name is Jo Hotchkiss. I am
5 with the Department of Food Science at Cornell University.
6 My major area of interest is food safety.

7 MS. RICHARDSON: I am Donna Richardson, and I am
8 Assistant Professor of Medicine and Nursing at Howard
9 University and with the firm of Joan Wilbon and Associates,
10 and my background is in women's health and geriatrics.

11 DR. MONTVILLE: Tom Montville, Professor at the
12 Department of Food Science, Rutgers, the State University of
13 New Jersey -- I get a nickel every time I say New Jersey.

14 [Laughter]

15 I am a food microbiologist.

16 *DR. BUCHANAN: Good morning. I am Bob Buchanan.
17 I am with FDA's Center for Food Safety and Applied
18 Nutrition, Senior Science Advisor and the Director of the
19 Office of Science.

20 DR. VILLARREAL: I am Roberto Villarreal, from San
21 Antonio, University of Texas, and my interest is nutrition
22 and diabetes.

23 DR. COHEN: I am Mitch Cohen. I am the Director
24 of Division of Bacterial and Mycotic Diseases at CDC. My
25 areas of interest are quite varied, food-borne disease,

1 antimicrobial resistance, molecular biology.

2 DR. RUSSELL: I am Robert Russell. I am Associate
3 Director of USDA Human Nutrition Center, in Boston. I am a
4 gastroenterologist and nutritionist.

5 DR. SIGMAN-GRANT: I am Madeleine Sigman-Grant,
6 with the University of Nevada Cooperative Extension. I am a
7 professor and maternal and child health nutrition
8 specialist. My area of interest, obviously, is maternal and
9 child health but I am also interested in consumer behavior
10 and behavior change.

11 DR. BENEDICT: Thank you, all. Before we go any
12 further, I think Ms DeRoever has some inevitable
13 announcements and things to say.

14 MS. DEROEVER: Very briefly, for our members and
15 guest speakers, the portfolios that we have provided have
16 your expense vouchers in them. So, you want to keep that
17 handy. You also have copies of, I believe, all the slides
18 of the talks for today, except for one and that will be
19 provided later. You have a copy of a letter from CalBio
20 Marine. This is a public comment. As in the past, it is
21 provided for your information based on the request of the
22 submitter. That is all I have at the moment.

23 DR. BENEDICT: Before we begin the actual meeting,
24 Dr. Buchanan -- we would like to ask him to give us sort of
25 an overview of the restructuring of the Food Advisory

1 committee of the FDA.

2 DR. BUCHANAN: Thank you, Steve. By this time all
3 f you should have received a letter from Cathy explaining
4 ome of the activities that have been taking place during
5 he last year in terms of the -- oh, it came from Jo. Well,
6 athy actually drafted it -- explaining some of the changes
7 hat have been taking place or will take place in the Food
8 dvisory Committee.

9 We are restructuring it to expand the activities
10 nd to provide more in depth scientific information in
11 ertain specific areas. We will have a structure that
12 onsists of the parent committee, which is this body and
13 hen there will be four standing subcommittees. These will
14 oe a subcommittee on dietary supplements that Dr. Chris
15 Lewis will be the executive secretary for; a standing
16 subcommittee on food biotechnology which Mr. Bob Lake will
17 oe in charge of; a standing subcommittee on additives and
18 ingredients which Dr. Allen Willis from our Office of
19 premarket Approval will be leading; and there will be a
20 standing subcommittee on contaminants and natural toxicants
21 which Dr. Terri Troxel will be executive secretary of.

22 We have put out a call for nominations for this
23 new body. We have received approximately 100 nominations to
24 date, and we are expecting a few more to be coming in within
25 the time frame that we requested them. At that point, they

1 will go under review, and we are hoping to have the
2 membership finalized and announced by early December.

3 The membership of the parent committee will
4 consist of approximately 15 people, a chair, two industry
5 representatives, two consumer representatives, the four
6 subcommittee chairs and six academic and/or public health
7 scientists with the appropriate background. I might note
8 here, if there is anyone among the parent committee that is
9 dying to become a subcommittee chair, we would certainly
10 entertain your interest with a great deal of fervor.

11 Just a little bit more about the membership of the
12 subcommittees, these will be much more focused on specific
13 technical matter experts, focusing on the four areas in
14 question. The current thinking on how this will operate is
15 that for very highly issue specific meetings of a very
16 technical nature or of a more technical nature in one of
17 those four areas issues will be sent to those appropriate
18 subcommittees, whereas the parent committee will be dealing
19 primarily with cross-cutting issues, things that involve
20 more than one of these specific areas. So, for example,
21 probiotics is a good example of a cross-cutting area because
22 it hits in many of the different areas we just mentioned.
23 It will also be there to deal with and respond to requests
24 from the subcommittees on matters that are sent up that they
25 feel should have more cross-cutting evaluation.

1 We are anticipating, as I indicated, that the
2 memberships of the subcommittees will be announced in
3 December, and we are anticipating that we will start the
4 cycle of meetings in a new structure sometime in the early
5 spring. At that point, we are anticipating that the parent
6 committee will go back to a meeting schedule of
7 approximately two meetings per year. It is also anticipated
8 that the subcommittees will meet as often as necessary but,
9 again, two per year is probably a realistic estimate.

10 We are assuming at this point, unless we hear from
11 individual members of the parent committee -- you people --
12 that you want to stay on the parent committee. However, if
13 you feel that you would better serve as a member of the
14 subcommittee we will entertain that. Just please see either
15 Cathy or me.

16 I think that is about it. If you have additional
17 questions on the new committee or its new structure, please
18 feel free to talk to either Cathy or me, or Linda Hayden,
19 and we will sit down and try to explain it in more detail **as**
20 needed and some of the intricacies of it.. Steve, thank you.

21 DR. BENEDICT: Thank you, Bob. Now we will enter
22 the fascinating world of probiotics, and while he shuffles
23 his notes I will just do a little song and dance because Bob
24 Buchanan is going to give us our charge. We have a copy
25 essentially of our charge in our folder, but such things are

1 always better delivered verbally and we will ask Dr.
2 Buchanan to continue his oratory by giving the charge to the
3 committee.

4 Focus of the Meeting

5 DR. BUCHANAN: And then I promise to be quiet.

6 DR. BENEDICT: No way!

7 DR. BUCHANAN: I know.

8 [Laughter]

9 I would ask you to pull out from your packet a
10 two-page document that starts off with, up in the upper
11 left-hand corner, Food Advisory Committee meeting and then
12 it really doesn't have a title. It says "charge to the
13 committee."

14 Certainly fermented foods have long been an
15 integral part of the diets of various regions. In fact, one
16 of the things that is unique as you travel around the world
17 is that you get to sample the different fermented foods that
18 are all unique and interesting and have the character of the
19 country; in fact help make up the character. While these
20 products have been an integral part of our diet, it hasn't
21 been until the last half of the twentieth century that we
22 really saw a scientific effort to study the organisms that
23 are being ingested in fermented foods or as part of the
24 normal contaminants of the diet, and their impact on the
25 health of the consumer.

sgg

1 There has been a great deal of work, and it is
2 increasingly focused on the health effects that can be
3 associated with different microorganisms and, as in any type
4 of science, typically after a latent period there is a
5 transfer of that technology to people that will find it
6 useful. So, as we enter the twenty-first century FDA is
7 increasingly being called upon to look at the whole area of
8 probiotics in terms of both its safety and its efficacy, two
9 areas for which we have responsibility both in terms of
10 foods themselves and for dietary supplements.

11 Now, as FDA is embracing this new challenge, this
12 whole new area of foods and dietary supplements, we, as
13 always attempt to bring to our decision-making process the
14 best science that we have available to us. We are at the
15 stage now where we are trying to bring that science together
16 and make it an integral component of a framework for which
17 we will develop future programs for evaluating both the
18 safety and efficacy of probiotics and related products.

19 This meeting today is part of our emphasis on
20 bringing the best science we can to this new challenge we
21 are facing, and what we have attempted to outline in the
22 two-page document that we have provided is sort of the
23 general approach that we would like you to deal with what we
24 are going to be doing for the next day and a half.

25 I might note that this is a somewhat different

1 approach in terms of food advisory committee meetings than
2 e usually do. Usually we have some very specific areas
3 here we are asking some very specific questions and at the
4 nd of the day we are looking for a yes/no answer or at
5 east the best advice you can give us on a very specific
6 uestion.

7 Today's meeting is much more of a fact-finding
8 ype of a meeting, and the focus today will be on providing
9 ou with some background information through the form of
10 resentations and documents that we have provided. Then we
11 re going to be asking you to sit and discuss the science
12 hat underlies probiotics both in terms of safety and
13 fficacy, and to give us your overall synthesized impression
14 of the variables and parameters that should be considered by
15 FDA as we develop a framework for evaluating this new class
16 of products.

17 To help you in that deliberation, we have provided
18 you with a series of very general questions that are there
19 at the bottom of the first page of the document and that
20 follow on to the second page. You will note that they are
21 oasically divided into three areas. They are very general
22 in nature. They are, one, related to the definition of ,
23 terms and whether we need to be more specific in the terms
24 that we use. I know from my personal experience in dealing
25 with advisory committees and scientific bodies, after three

1 or four days of deliberations we all find out that we are
2 using different definitions and then we start all over. So,
3 we would sort of like to get that out of the way early to
4 get the communications started. The other two areas are
5 broad questions in terms of factors or parameters associated
6 with either the assessment of safety or the assessment of
7 health effects.

8 Now, our interest in you providing this advice is
9 to help us get started in this process and, certainly, the
10 advice we get and the commentary we get will be very
11 important as part of our development of a framework of
12 dealing with probiotics and related products. I might note
13 also that we are not expecting everything to be done. This
14 is the first of a series of steps that will lead to a
15 framework, and it is highly likely that we will be coming
16 back to you at least one more time as the framework is
17 developing. So, in other words, there will be a test -- no,
18 we are very much looking for your advice on this and we
19 have, hopefully, set up a system now where you will be able
20 to interact fully with the speakers. We will have a number
21 of speakers make a series of presentations. Then we will
22 also have them available to you for the entire deliberation.

23 Steve, with that, I think they don't need me to
24 reread the questions. They are short. But I do ask you, as
25 you go through your discussions, that periodically you just

1 go back to these questions because these are really the
2 areas that we are looking for your help in. Thank you.

3 DR. BENEDICT: Thank you, Bob. So, we will begin
4 directly with Dr. Douglas Archer who will give us what is
5 entitled on the screen, probiotics, history of food use.

6 **History of Food Use**

7 DR. ARCHER: Actually, I will give a little more
8 than that because I was asked to cover some very specific
9 areas so I will go a little beyond just history of food use.

10 [Slide]

11 First of all, I guess importantly, I am here
12 representing myself and no one else. I have been involved
13 in the area of probiotics for a while and it is just an
14 interest area of mine.

15 Some of the areas that I was asked to touch on
16 were, first of all, the definition of probiotics, which I
17 think is pretty critical; also, the history of food use and
18 a little bit of safety and maybe some regulatory
19 considerations. For those of you who don't know me, I was
20 in Center of Food Safety and Applied Nutrition for twenty
21 years. So, when I tried to put this talk together I kind of
22 put my old regulatory hat on and tried to think like a
23 regulator, which some might think is an oxymoron.
24 Nevertheless, I did try and think if I was back at the FDA
25 what kinds of things, if I was starting from ground zero,

1 what would I want to know?

2 [Slide]

3 The first thing, the definition of probiotic and
4 this seems to me to be the most important thing, the most
5 important first step certainly. Not only is it a starting
6 point for understanding what it is we are talking about but,
7 certainly, it is a starting point for regulatory
8 understanding of what these substances may be.

9 Unfortunately, it is not that easy. It would be great if I
10 could put up a definition of probiotics that would cover the
11 waterfront and everybody would be satisfied with it, but it
12 isn't that easy as I will show you in a moment.

13 I am going to run through a series of definitions
14 that have been proposed and I will comment on each, but I
15 want to apologize ahead of time if anyone is here who wrote
16 a different definition than the ones I have; this is not
17 intended to be an all-inclusive list.

18 [Slide]

19 To start though, I will first ask a question, are
20 all lactose fermenting bacteria probiotics? Well, the short
21 answer is no. Lactic acid bacteria are a very broad group
22 of organisms including very well known ones like
23 Lactobacillus. Certainly, they do not all fit into the
24 rubric that many would call probiotic.

25 Some of the lactic acid bacteria may be

1 probiotics, but before moving them from the lactic acid
2 bacteria category to probiotic there must be certain
3 criteria, and I will come later to a list of some of the
4 criteria that have been proposed that would make something
5 fall into the category of probiotic. Worse yet in terms of
6 defining these things, not all probiotics are identical.
7 They vary among strains as to what they do.

a [Slide]

9 Well, the simplest and oldest definition I guess
10 is from Greek. Probiotic means "for life." I wish it was
11 this easy. Certainly in the present regulatory environment
12 you could construe this as a disease claim if you wanted to
13 consider death as a disease.

14 [Slide]

15 First definition, "substances produced by one
16 organism that stimulate the growth of another organism."
17 Well, this is clearly not what we are talking about in the
18 current context of what a probiotic is. It is a bit vague,
19 as you will notice, and I think that is kind of an
20 underlying theme in a lot of the definitions I will show
21 you. They are a bit vague. The key point is that it
22 stimulates growth via a produced substances, and the
23 definition has certainly been expanded since this one was
24 first written.

25 [Slide]

1 "Tissue extracts that stimulate microbial growth."
2 Again, this is almost irrelevant in terms of what we are
3 currently calling a probiotic.

4 [Slide]

5 "Organisms and substances that have a beneficial
6 effect on the host animal by contributing to its intestinal
7 microbial balance." Well, now we are getting closer to what
a we currently are referring to as a probiotic.

9 So, some components of this I just want to talk
10 about in terms of starting a regulatory understanding of
11 what these things are. First, we are talking about
12 organisms and substances -- two things. We are talking
13 about a beneficial effect on the host, and that beneficial
14 effect being contributed to the intestinal microbial balance
15 with a positive effect on the host. Presumably then, we are
16 talking about ingested substances in this definition and,
17 again, we are getting closer to what we would consider the
18 modern definition to be.

19 [Slide]

20 "Viable bacteria, in single or mixed culture, that
21 have a beneficial effect on the health of the host." Now we
22 are talking about viable bacteria.-- not substances, just
23 bacteria. Mixed or pure culture. Really with this
24 definition to designated portal of entry, like in the last,
25 :but again the beneficial effect.

sgg

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

[Slide]

"A live microbial feed supplement which beneficially affects the host animal by improving the intestinal microbial balance." This is probably the most commonly used definition and commonly quoted one that you will find in the literature.

So, let's take the components again. First, it is a live microbial feed supplement. Well, this refers to the well established principle of competitive exclusion in animals. It has a beneficial effect by improving the intestinal microbial balance and, I can tell you for a fact having sat in on certain hearing and meetings such as this, that there are some FDA and other centers that make believe that this definition alone makes these substances a drug.

[Slide]

This one, "a mono- or mixed culture of live microorganisms which, when applied to man or animal, affects beneficially the host by improving the properties of the indigenous microflora." That is a mouthful. It means, again, pure or mixed. "Applied to" leave the portal open so it can be ingested, or applied topically, or you name it. Beneficial effect again improved the properties of the indigenous microflora, whether they are bowel flora, skin flora, nasal flora, whatever. But, again, this definition seems a bit vague.

sgg

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

[Slide]

This one, one of the authors of which will be speaking to you later, Dr. Roger Clemens, is very specific. "A microbial dietary adjuvant that beneficially affects the host physiology by modulating mucosal and systemic immunity as well as improving nutritional and microbial balance in the intestinal tract." Well, microbial dietary adjuvant -- that seems to be very specific. It says exactly what it does. The beneficial effects here are more numerous, modulate mucosal and systemic immunity; improve nutritional balance in the GI tract and improve microbial balance in the GI tract. This is a somewhat different definition than the others, more specific as to what probiotics do, but not all inclusive actually as to what has been claimed probiotics can do, which goes well beyond this in terms of improved nutrition, in terms of lowering cholesterol which we will come to later in later talks.,

One that I tried to insert in here, which is another one I came across and I think is important and my zip just told me that if I tried to cram one more thing in, it would fail, so I didn't, but it is a definition proposed by Guarner and Schaferman in 1998. Their definition is rather simple, "live microorganisms that confer a health effect" -- and these are the words I want to stress, -- "when consumed in adequate amounts." I think that is a very

1 important concept that I will come back to later -- "when
2 consumed in adequate amounts." There are many, many
3 products on the market, and one of the big questions about
4 some of these products is what is in it and how many, and is
5 it realistic that what is in it and how many can effect a
6 real change?

7 [Slide]

a Is viability of the organisms an absolute
9 requirement? Well, many papers say yes and other say no.
10 For me, personally, it is kind of hard to reconcile a dead
11 probiotic. It kind of seems contrary, nevertheless, it is
12 out there in the literature.

13 [Slide]

14 So, a new category was proposed, again by Dr.
15 Clemens and his colleagues, a probiotic-active substance,
16 which is a cellular complex of lactic acid bacteria that has
17 a capacity to interact with the host mucosa and may
18 beneficially modulate the immune system independent of the
19 lactic acid bacterium's viability. So, we have a new
20 definition, probiotic-active substance, or a new term to
21 deal with, and this can include dead things. We are talking
22 now about cellular complexes, parts of the cell, dead cells,
23 etc., and they work by modulating the immune system
24 independent of the viability of the organism.

25 [Slide]

1 In terms of a definition, I had a hard time
2 aying, okay, here are the definitions and then here are
3 ome of the desirable traits of probiotics. I kind of
4 lended them together a little bit because I think some of
5 hat have been listed as desirable properties of probiotics
6 ay well help define them. So, that is the reason I am
7 utting it in this order.

a [Slide]

9 Again, this is probably not a complete listing
10 out, again, I think they may help shape a definition
11 ultimately. First of all, probiotics are supposed to be of
12 human origin. Well, as we will see a little bit later, that
13 is not always so and with recombinant DNA technology
14 nowadays able to kind of tell us where things come from, not
15 always have these things come from human beings; they come
16 from all over the place.

17 They must be resistant to acid and bile. Well,
18 the simple thought here is that they have to get through the
19 stomach and into the intestine before they can do anything
20 good. so, if they are killed in the stomach by gastric acid
21 they are not going to be much good.

22 They should adhere to human intestinal cells.
23 Well, the most common test that people have run these
24 through is to see if they adhere to a cell line such as
25 CAC02 but, nevertheless, the concept being they have to stay

1 round a while or they can't do much.

2 They need to colonize the human gut. Just as the
3 analogy was made before with probiotic supplement feeds for
4 animals, in order for them to do their thing they have to
5 colonize, have a stabilized colony in the gastrointestinal
6 tract in order to exclude the things they are trying to
7 exclude.

a [Slide]

9 Some others, production of antimicrobial
10 substances. Well, here we are talking about things like
11 bacteriocins, organic acids and other things that might have
12 a deleterious effect on pathogenic microorganisms.

13 Antagonism against cariogenic and pathogenic
14 bacteria, well, by the same token, either by crowding them
15 out or competing successfully for nutrients and starving
16 them out or by producing some substance that knocks off the
17 bad guys. Remember that the mouth is one of the richest
18 places to find lactic acid bacteria. They are there in huge
19 numbers and we are constantly ingesting them from our own
20 indigenous source.

21 They must be safe for human consumption. I think
22 this goes without saying and I will talk a little bit about
23 safety later.

24 They must have clinically validated health
25 effects, and I think here is the crux of the controversy.

1 As you read the literature, there are literally thousands of
2 papers about probiotics, some very well-designed studies and
3 some not so well designed. So, what does it all mean? Are
4 they clinically valid findings?

5 [Slide]

6 So, probiotic bacteria are more than just capable
7 of fermenting lactose; they must do other things as well.
8 They must possess some of the desirable properties that I
9 have listed. They must be beneficial by some measure, and
10 that measure I will leave up to you and to other speakers
11 who are going to address you today. They must be taken in
12 adequate amounts. I added that one. That is my own
13 thought. I really think if they have any effect, they are
14 certainly not going to have an effect if they are virtually
15 a drop in a huge bucket. Foremost, they must be safe.

16 [Slide]

17 Now just a thought after seeing all the
18 definitions, if they are to be recognized and presumably
19 regulated somehow; they have to fit in some regulatory
20 rubric whether we like it or not, an agreed on definition
21 must be established. I think this is the first step. We
22 must define what it is we are talking about. But how do we
23 define them? Do we define them by functionality, by their
24 effect? Do we define them by criteria based on their
25 properties? How do we account for the differences among

1 robiotic strains themselves? One probiotic strain may make
2 claim that it can do a certain thing; another probiotic
3 train can't do that but it does something different. So,
4 t is not going to be an easy task. In a regulatory sense,
5 think the definition and the crafting of a definition is
6 oing to be one of the hardest things to do and yet I think
7 t is the requisite first step.

a [Slide]

9 Kind of shifting gears a little bit into food use,
10 do want to say a little bit about food use of lactic acid
11 acteria, and here I am talking about all LAB not just
12 robiotics. Now, as was stated before, use of lactic acid
13 acteria to preserve foods is centuries old technology.
14 airy foods certainly predominate but meats, vegetables,
15 ruits, everything -- fruit juices, fermented beverages, all
16 of these have been around for millennia.

17 [Slide]

18 Some of the applications of lactic acid bacteria
19 are food such as kefir, yogurt, acidophilus and, I didn't
20 put it but bifidus milk as well, buttermilk, fermented meat
21 -- that means sausages and intact meats, fermented
22 vegetables such as kimchi and wine and cider.

23 [Slide]

24 To take a couple of examples, kefir, indigenous
25 food in Russia, Turkey, the Balkans, very, very old, the

1 ferment itself, the starter culture is dried into grains and
2 that is how it is preserved from passage to passage. So,
3 cilearly, it is a mixed culture fermentation including
4 yFeasts, bacteria -- not very well defined; not very well
5 controlled. In a regulatory sense it would kind of raise
6 some question markers right away. Most any kind of milk can
7 be used to make this particular food.

a [Slide]

9 Another one, kumiss, European, Russia, Central and
10 Southwest Asia, usually mares milk is the milk of choice to
11 ferment. In this fermentation the starter is usually either
12 ffermenting or decaying vegetable matter or even decaying
13 animal matter. There must be some interesting side flavors
14 generated in this kind of technology.

15 [Laughter]

16 [Slide]

17 Yogurt -- at least 4000 years old. It comes in a
18 variety of terms and a variety of different languages.

19 [Slide]

20 The belief that yogurt consumption leads to good
21 health has been with us for centuries. It has; it is just
22 sort of a thing, you eat yogurt and you are healthier for
23 it. Was there a basis for that? No. People just felt
24 better when they ate it. It certainly is a good food
25 nutritionally; good source of protein, calcium and lots of

1 other things but the belief goes beyond that. The belief is
2 that other beneficial effects have resulted from the
3 consumption of yogurt.

4 [Slide]

5 It wasn't really stated too clearly until
6 Metchnikov said it in 1907 in his work, "The Prolongation of
7 Life."

8 [Slide]

9 His proposal was that the consumption of large
10 quantities of yogurt containing Lactobacillus would result
11 in the replacement of toxin-producing bacteria in the
12 intestine, and he referred to the concept as "longevity --
13 without aging."

14 Now, he came to this conclusion by studying the
15 elderly in Bulgaria and noting that people tended to live
16 long and they ate a lot of yogurt. That wasn't exactly what
17 we would call an efficacious clinical trial nowadays but,
18 nevertheless, this is what he did.

19 [Slide]

20 A more recent proposal, just to give you one
21 example of the huge gap in what is going on in probiotics,
22 "Biotherapeutic Agents: a Neglected Modality for the
23 Treatment and Prevention of Selected Intestinal and Vaginal
24 Infections," published in the Journal of the American
25 Medical Association, with an accompanying editorial. The

1 conclusion of this article was that selected microorganisms,
2 i.e., probiotics, may prevent and treat intestinal
3 infections and may treat vaginal infections. For the FDA
4 folks here, I have now said the "P" word and the "T" word
5 which means I am talking about a drug and, for me, it is a
6 hard concept to think that I would have to get a
7 prescription in order to ingest yogurt but, nevertheless,
8 clearly if we are starting to talk about foods that prevent
9 and treat or mitigate diseases we are talking about a
10 regulatory category that is very rigidly regulated.

11 But I think the other conclusion in this paper was
12 an important one, and that is that biotherapeutic agents
13 hold the hope of decreasing our dependence on antibiotics,
14 and I think that is something that we should keep in mind
15 because clearly that would be a benefit.

16 [Slide]

17 In terms of live microbial food supplements and
18 health, to go back to 76 BC, Plinio advocated the use of
19 fermented milks to treat GI infections. How he came upon
20 this we can only guess. In fact, I kind of wondered when I
21 read it that, in my way of thinking, probably all the milk
22 was fermented very quickly in those days.

23 Later on, in 1907, Tissier recommended
24 bifidobacteria for infants suffering from diarrhea. This is
25 the same gentleman who, 1899, first characterized

1 Bifidobacterium as being a new kind of bacteria, and he
2 found them to be the predominant flora in breast fed
3 infants. So, from there he extrapolated to this theory that
4 it would be good to treat infants suffering from diarrhea.

5 [Slide]

6 That proposal has raised its head even more
7 recently. The controversy has been around for a while. For
8 those of you who have ever come across it, U.S.D.A. Bulletin
9 No. 319, called, "Fermented Milks," first written in 1916,
10 rewritten in 1928 reviews the controversy surrounding the
11 therapeutic use of fermented milks. Basically, back then
12 the statement was made that well-designed clinical trials
13 were lacking and a lot of evidence was anecdotal, which
14 sounds a lot like the criticisms that we hear even
15 presently.

16 [Slide]

17 In terms of what the organisms are that have been
18 claimed to be probiotic or have probiotic properties -- lot
19 of different Lactobacillus species, acidophilus, GG, the
20 patented strain, plantarum, rhamnosus, brevis, bulgaricus,
21 all of these at one time or another and certain subcultures
22 of these have been said to have certain probiotic
23 attributes.

24 [Slide]

25 And the same thing with Bifidobacterium. I would

1 point out Bifidobacterium animalis, as its name implies, is
2 thought to not be a very human strain, nevertheless it does
3 have some interesting probiotic properties.

4 [Slide]

5 Without stepping on Mary Ellen's talk too much but
6 just to point out that a lot of the lactic acid bacteria
7 have been around and consumed in huge quantities for a long
8 time, and this gets us thinking, well gee, how can they be
9 unsafe? We are so used to eating them. Cheese, for
10 example,, projected consumption for the year 2000 is 30 lbs
11 per person. That is quite a bit. You have to remember most
12 cheese is, in fact, a living food. It has quite a few
13 bacteria in it. Cheese consumption has increased by over
14 150 percent since 1970.

15 [Slide]

16 Human exposure to lactic acid bacteria in yogurt.
17 Yogurt consumption through 1997 was 5.1 lbs per person. I
18 found that surprising personally but there it was. This is
19 an increase of over 500 percent in the U.S. since 1970.
20 Maybe later speakers will address the point, I don't know if
21 consumers are saying this is a healthy thing to do; I should
22 eat yogurt for its healthful, beneficial properties, or what
23 but, nevertheless, sales seem to be taking off.

24 Just a little side word, yogurt by definition does
25 not have to contain any living bacteria. There is no

1 regulatory agency that says it must contain live bacteria.
2 It can contain from zero to sky is the limit in terms of
3 bacteria. The National Yogurt Association has set some
4 standards for its members, and in order to use their live
5 active culture seal the yogurt must contain at least 10^8
6 living microorganisms at the point of manufacturer, however,
7 there is some die-off during the shelf-life of the product.
8 It comes back to the issue I mentioned before, how many
9 actually are we ingesting and is the number related somehow
10 to the perceived benefit?

11 [Slide]

12 Again, thinking about safety as an issue,
13 populations consuming lactic acid bacteria worldwide --
14 virtually everyone does. I mean, if you go to various
15 contrives, certainly infants, children, adults, people of
16 all health statuses -- and I know you will hear some
17 clinical studies later that were done on some severely ill
18 people and, yet, there are virtually no infections caused by
19 the probiotic strains themselves.

20 [Slide]

21 The bottom line on lactic acid bacteria -- this is
22 as much an editorial comment from me as it is anything, I
23 believe from looking at all the literature I have reviewed,
24 and it has been quite a bit, that the lactic acid bacteria
25 are safe for human consumption.

1 [Slide]

2 A lot of people have written papers about the
3 safety of lactic acid bacteria, and I would point out one,
4 the last paper, by Gasser. There have been infections in
5 human beings caused by lactic acid bacteria such as
6 endocarditis, septicemias and certainly other organ
7 infections as well, but I think the bottom line here is they
8 are extremely rare and, in most cases, are felt to be of an
9 indigenous source, usually associated with some kind of
10 trauma. The bottom line of this investigator was -- and
11 this is a quote -- is that "there is insufficient evidence
12 to conclude they are unsafe." As a former regulator, that
13 statement makes me a little uncomfortable. I wish they had
14 worded it a little bit differently. It seems to be a
15 negative statement and actually it is a positive statement,
16 i.e., he thinks they are safe.

17 [Slide]

18 Advice on new probiotic strain use -- I think this
19 is an interesting quote from Salminen, who has certainly
20 published extensively in the area of probiotics, "it cannot
21 be assumed that these novel probiotic organisms share the
22 historical safety of traditional strains. New strains
23 should be tested for safety and efficacy of their proposed
24 use."

25 So, here is a little word of caution from someone

1 i.n the probiotic game, we can't just assume that they are
2 all safe. I think getting a comfort level with the general
3 area of lactic acid bacteria might be a healthy thing. I
4 mean, we can put them into kind of a different concern level
5 but, nevertheless, when someone proposes to use a probiotic
6 strain there may be some other things that we might want to
7 ask.

8 [Slide]

9 How would testing for efficacy be approached?
10 Well, that would depend on the definition probiotics are
11 assigned and whether it is recognized that they have
12 therapeutic value. Again, this comes back to a very
13 critical point, are these foods? Are these drugs? Are
14 these dietary supplements? What are they and what can we
15 say about them legally in the current legal confines?

16 I think it would be a shame, however -- and again,
17 this is an editorial note of my own -- if progress was
18 impeded by simply lumping them into an existing regulatory
19 category such as a food additive and then. saying, hey, what
20 is the technical effect? It doesn't fit any of our current
21 technical effect definitions as they were originally
22 assigned by the National Academy of Sciences.

23 [Slide]

24 Beth also asked me to touch on this area as well,
25 good manufacturing practices, quality assurance and quality

1 control guides and requirements, what would they be for
2 these substances? Well, I think it is clear that rigid
3 adherence to good manufacturing practices must be required.
4 These are living things that we are talking about, and that
5 the industry should be a part of their development and
6 refinement. The reason I add that is that there is an
7 industry out there. It has a long history of doing things a
8 certain way, and they seem to have come out in a positive
9 way. People seem to be doing things, by and large, right.
10 So, I think that the regulators do have a lot to learn from
11 the industry in terms of how they have set up their GMPs;
12 how they have set up their QA/QC procedures.

13 [Slide]

14 Quality assurance factors for probiotics should be
15 standardized and developed with industry input. I think
16 this is a given. Learn from industry; learn from its
17 mistakes and learn from what it has done right. Obviously,
18 there are going to be some unique quality factors that will
19 apply to these living things. For example, how do we assure
20 purity all the time? How do we assure consistency from
21 batch to batch each and every time? And, how do we assure
22 the genetic stability of these organisms? There are answers
23 to all those questions, it is just a matter of sitting down
24 and putting them on paper and learning from each other in
25 the process.

1 Quality control measures will also be somewhat
2 unique, and should also be thought of as safety controls,
3 again remembering that these are living microorganisms that
4 we are talking about, by and large. Dr. Clemens' definition
5 of probiotic active substances aside for a second, we are
6 probably talking about living subsets of lactic acid
7 bacteria so, again, purity becomes a big issue. Make sure
8 nothing bad gets in with the good.

9 [Slide]

10 Beth asked me to mention a few words about
11 international considerations. In the area of probiotics the
12 U.S. is behind the rest of the world in their acceptance in
13 common use. Clearly, the European Union countries and Japan
14 are light years ahead. In Japan there are vending machines
15 as common as Coca Cola or Pepsi Cola machines that dispense
16 probiotic formulas. In the European Union there are many
17 products on the market, such as infant formulas containing
18 probiotic cultures, that aren't quite here yet but some day
19 may be, but they are generally accepted in European Union
20 and Japan as being good things, being something positive and
21 having some positive health benefit.

22 [Slide]

23 So, from a regulatory perspective, again, I think
24 some of the steps that have to be considered are, first, to
25 define a probiotic. What are we talking about? Again, I

1 don't think this is going to be an easy task. I think it is
2 going to be very a difficult task to come to a definition
3 that can be broad enough to capture all of what this concept
4 of probiotics has become.

5 Decide of efficacy criteria. Well, are they
6 biotherapeutic agents? I mean, let's just say are they or
7 are they not? Again, going twenty years of Food and Drug
8 Administration and seven years subsequently, I honestly wish
9 that when they had written the Food, Drug and Cosmetic Act
10 they had done food, drug and something in the middle, called
11 the frog maybe, but we are caught now in a situation that is
12 a Catch-22. If it is a food and has any therapeutic use, it
13 is a drug. We do have dietary supplements that allow us
14 some latitude in what we can say about them but then, again,
15 we are constantly seeming to have to hammer round pegs into
16 square holes and it is becoming more difficult as things get
17 more complex.

18 Develop GMPs. QA and QC -- certainly, I think
19 industry should have a voice at the table in how things have
20 been done; what has been successful; what hasn't been
21 successful but, clearly, this is something FDA needs to
22 think about.

23 Standardize internationally -- I think we have a
24 lot to learn from the European Union countries and Japan in
25 terms of how they have developed their comfort level with

1 these substances and what the considerations were that they
2 took into account. Part of the efficacy also, which I don't
3 have on here, may well be determining how many are needed in
4 order to have a beneficial effect, should there be one.

5 With that, I will end my talk.

6 DR. BENEDICT: Thank you, Dr. Archer. That is
7 extremely informative. Before we go any further, I would
8 like to recognize that we have been joined by Dr. Fukagawa,
9 and I would like you to just do what we always do, wave and
10 then introduce yourself and your expertise, just for the
11 record and for everyone else.

12 DR. FUKAGAWA: I am from the University of
13 Vermont, and I guess I can describe myself as a pediatric
14 gerontologist.

15 [Laughter]

16 **Questions and Answers**

17 DR. BENEDICT: So, now we have a little time for
18 questions. Before we do this, clearly, what we need to do
19 is to begin to examine everything we have heard and the
20 things we have already thought about in preparing for the
21 meeting. We are going to have a lot of time tomorrow for a
22 good, healthy discussion and we will have all of the
23 speakers who can possibly be here available for additional
24 questioning, and for discussion and for providing their
25 expertise. So, in the next few minutes, I think it is a

1 good idea that we begin to formulate questions that even
2 perhaps the subsequent speakers could address for us. And,
3 one final thing that Dr. Brandt always says, if possible,
4 when you are not in session let's not resolve issues among
5 ourselves because what happens is then the discussion is
6 blunted for the record and for the audience and for each
7 other. So, perhaps if many of us find ourselves at lunch or
8 at dinner, we can certainly discuss things, of course, but
9 let's try not to discuss this because fresh ideas and fresh
10 questions are the ones that stimulate other folks who aren't
11 at dinner. So, I would like to ask you to bring all your
12 questions to the table now during the day today or tomorrow
13 for a more vigorous discussion. So with that, we can open
14 the floor to questions for Dr. Archer on almost anything you
15 have to think of.

16 DR. ARCHER: Just a comment, I will be here today
17 but I will not be here tomorrow because I will be going up
18 to the University of Vermont, actually, tomorrow.

19 DR. BENEDICT: In which case, we had better pepper
20 him now. Dr. Russell?

21 DR. RUSSELL: Yes, I have a couple of points that
22 I wanted to get clear in my own mind. You mentioned that a
23 desirable property of probiotic bacteria was colonization.
24 Are we talking really about colonization or prolonged
25 residence time in the GI tract, for example? I mean, are we

1 really expecting these organisms to colonize or just to have
2 a longer effect in the GI tract but do they need to be fed
3 on a regular basis, for example?

4 DR. ARCHER: That is an excellent question and by
5 most measures, say, a single dose of a probiotic bacterium -
6 - just simply following it in stool samples over a period of
7 time, eventually it is going to disappear. And, I think
8 from some of the clinical trials that probably Dr. Clemens
9 will be commenting on, it does require more or less a
10 constant dosing in order to achieve what you could call a
11 stable population but I **am** not sure you could really define
12 it as such. I don't think we understand the dynamics of
13 these organisms or, for that matter, lots of organisms in
14 the gastrointestinal tract well enough to say they are a
15 stable population; they are **always** going to be there. My
16 personal view is they are not. I think if you can increase
17 the residence time they have a greater chance of doing
1a something good.

19 DR. BENEDICT: Continue, please.

20 DR. RUSSELL: Thank you. Perhaps related to the
21 first question a little bit is the concept of microbial
22 balance that came up in a couple of definitions. Actually,
23 **as** a gastroenterologist, I find that to be kind of a vague
24 concept and I wondered is there a clearer definition of what
25 people are thinking when they are talking about microbial

1 balance? I mean, I am not sure what is meant by that, I
2 guess, exactly.

3 DR. ARCHER: I would be hesitant to answer that
4 question, particularly to a gastroenterologist. I think the
5 concept as people have proposed it is, let's say, lessen the
6 number of clostridia, lessen the number of Eubacterium
7 versus increasing the number of Bifidobacterium. I think
8 that is what they are talking about but, again, I think you
9 are absolutely right, it is a vague concept and possibly
10 that is something that needs to be looked at a little more
11 closely in terms of the definition. Probably some of the
12 other speakers may address that.

13 DR. RUSSELL: I have one last question. You
14 mentioned that there are very, very few infections reported
15 with probiotics. I was wondering if any infections have
16 ever been reported in AIDS patients.

17 DR. ARCHER: I don't think so, or at least I am
18 not aware of any in AIDS patients. From the literature I
19 have seen, those that have occurred, the septicemias and
20 particularly endocarditis, most have been traced back to
21 some kind of a dental source, whether it is a severe dental
22 infection of some kind of dental trauma. I am not aware --
23 this doesn't mean there haven't been but I am not aware of
24 any in AIDS patients.

25 I think when the discussion comes on clinical

1 trials a little bit later on, Dr. Clemens will be talking
2 about a population that was severely at risk and yet
3 suffered no adverse effects as a result. I might as well
4 say this now,. there is one publication in the literature,
5 rather recent, a letter that did reflect a possible dietary
6 source of a human infection. I think that is the first one
7 that, using genetic fingerprinting, has suggested that
8 something that is in the food supply actually did wind up in
9 a human infection. A little bit vague on how that happened,
10 how the opportunist which I think this clearly was, an
11 opportunist gained the upper hand is sort of a mystery.

12 DR. RUSSELL: Thank you.

13 DR. BENEDICT: Dr. Fukagawa?

14 DR. FUKAGAWA: Is it expected that any of these
15 probiotics might influence then the oral flora in the oral
16 cavity? Since one of the big issues in medicine and
17 dentistry now is the effect of peridental disease and the
18 flora there on the evolution or pathogenesis of common
19 medical disorders, such as atherosclerosis and things, would
20 you think that an imbalance of the intake may influence?

21 DR. ARCHER: That is an excellent question, it is
22 also one that I don't think has really been addressed in the
23 literature with regard to probiotics, what it would actually
24 do to the oral flora. One of the criteria or the desirable
25 properties that I listed was that they not be cariogenic.

1 Whether they would be good bacteria that overpopulate and
2 crowd out cariogenic bacteria, I think that is probably an
3 open issue. I am not aware of a whole lot that has been
4 done with that.

5 If you go back to the list of organisms that I put
6 up under the bifidobacteria species, there is one that was
7 omitted, and that was Bifidobacterium dentium, I believe,
a which is a known cariogenic bacterium.

9 DR. BENEDICT: Yes, Dr. Hotchkiss?

10 DR. HOTCHKISS: A question, Doug, and I think you
11 would agree, that the standard to which probiotics, in terms
12 of efficacy, must be dependent, at least in part or large
13 part, on what category of product they are put in -- a drug,
14 a supplement, a food or whatever, and I presume that claims,
15 label claims and other kinds of claims will certainly in
16 some way be related to efficacy, as I looked through some of
17 the literature on probiotics I have come away a little mixed
18 on what the status of methods of determining efficacy are.
19 What is your opinion about where we stand in terms of being
20 able to measure or quantify efficacy in a rather complex
21 system of biological-biological interaction as opposed to a
22 drug-biological interaction? Where do we stand?

23 DR. ARCHER: I think that the best way to answer
24 that is if you view the totality of the literature one of
25 the things that is very striking is that it has only been

1 recently that people have sat down and really designed good,
2 solid clinical trials to prove a point. They have decided
3 on their endpoint. They want to see if there is an effect,
4 a lessening, a mitigation in, for example, rotoviral
5 diarrhea in children. One endpoint to measure is a good
6 clinical trial and get the result. When you look at the
7 totality of the literature, I think it is intriguing to look
8 at the number of things that these organisms can do in vitro
9 that are slightly different than the run of the mill that
10 they can do. I mean, they really do some interesting
11 things. From there, there has been an awful lot of
12 speculation as to what they might do in humans but very few
13 clinical trials have set out to actually prove those points
14 at this point in time. I think we are sort of at a
15 threshold and, frankly, I think if I were a company right
16 now and I were thinking of marketing something in the line
17 of a probiotic, I would probably be taking a little bit of a
18 low profile to wait and see what the regulatory dust does
19 before I invested millions of dollars in a clinical trial.

20 So, I think it is again almost a Catch-22. People
21 are a little hesitant to go ahead and invest mega-bucks if,
22 all of a sudden, they are going to face a set of regulatory
23 criteria that are impossible to meet or if they are going to
24 be thrown over into the drug category. I think that is a
25 basic thing that possibly FDA sort of needs to reconcile

1 internally before you are going to see a lot of progress.

2 DR. BENEDICT: Dr. Buchanan?

3 DR. BUCHANAN: Doug, just a quick question, and it
4 revolves around definitions. I like the list of
5 characteristics that you put up there, understanding that
6 that was derived primarily from the historic research in
7 probiotics with lactic acid bacteria, but if I was going
8 through that list the organism that would jump to mind to me
9 would be *Escherichia coli*. It has all of those
10 characteristics or at least certain strains. How far do
11 these characteristics go beyond just lactic acid bacteria?

12 DR. ARCHER: Well actually, Bob, that is a good
13 example. *Escherichia coli* has been proposed as a probiotic,
14 not very recently but it has been proposed in terms of
15 establishing "an intestinal balance." So, that is not
16 outlandish and what you are saying is exactly true. I think
17 the difference would be when you get into the context of do
18 they do something beneficial and, again, the breadth of the
19 definition becomes important here. I mean, they can have
20 those criteria and be *E. coli* 0157. That is not exactly
21 what we are after, is it? What we are after is a good
22 thing, a good endpoint. So, I think again that somehow
23 freezing a beneficial health effect -- it has to be a little
24 tighter than that, but somehow that concept has to be woven
25 into the definition.

1 DR. BENEDICT: Dr. Montville?

2 DR. MONTVILLE: I would just like to bring up the
3 consideration of not only the definition of what they do but
4 what they are because, having worked with lactics, it is a
5 somewhat muddled group. You know, I worked with
6 Lactobacillus bavaricus and three years later people said,
7 no, that is really a saki. The species names are really
8 based on descriptive biochemical characteristics that are
9 sometimes hard to call. So, I just think we have to grapple
10 with that and when someone makes a claim, at least that they
11 better define the organism they are making the claim for.

12 DR. BENEDICT: Thank you. That actually leads
13 into a question I have been pondering for a while and that
14 is, I don't know anything about the lactics but I know that
15 a number of gram-positives undergo significant gene transfer
16 through transformation, and I would like over the course of
17 a couple of days for someone to just answer for me just what
18 is the probability of this in these organisms, and since it
19 is transformation in raw DNA is there ever a case of
20 pathogenicity islands being absorbed? Perhaps that doesn't
21 happen but I think somewhere along the way we have to
22 address gene transfer and perhaps shifting of what appears
23 to be species. Dr. Russell, did you have a question?

24 DR. RUSSELL: Yes, it had to do with food use as
25 the sort of second part of the talk. I understand that some

1 of these organisms as customarily used in this country are
2 in yogurt or perhaps milk, beverages. These organisms, I
3 assume, can be freeze-dried and be taken as a pill and are
4 marketed that way as well? Is that correct?

5 DR. ARCHER: Yes, there are products on the market
6 that are freeze-dried products.

7 DR. RUSSELL: And they are stable and active? No
8 quality control?

9 DR. ARCHER: Well, you have asked a group of
10 questions there. Are they stable and active? Well, they
11 can be resuscitated. That is one step. Are they active in
12 the GI tract? People think so.

13 DR. RUSSELL: I see. I was wondering whether
14 there are any food products that have been developed using
15 freeze-dried organisms in a dry bar, for example, or a dry
16 product.

17 DR. ARCHER: Well, Nestle test marketed a product
18 called LC-1 and that is available. It is a European product
19 but it is a freeze-dried product that can be added to
20 beverages, cereal or whatever.

21 DR. RUSSELL: I guess I was thinking of a
22 prepackaged sort of thing like a candy bar.

23 DR. ARCHER: I am not aware of it but Mary Ellen
24 Sanders is going to be talking in a lot more depth about
25 current foods.

1 accessible from humans. You can't just bleed them and get
2 that kind of gamma-delta cell. So, I am just asking is
3 there a way to do this, and if there isn't should we not
4 spend some time thinking about it? The answer to your
5 question is yes, we should but I am really not aware of any
6 other models that have been applied and, again, maybe one of
7 the other speakers might have a much better idea than I do.

8 DR. BENEDICT: I suspect we will get further into
9 it. Dr. Buchanan, you have a comment?

10 DR. BUCHANAN: Just a question, Doug. Your
11 presentation focused largely on bacterial probiotics. Based
12 on a lot of the work that has been done on the fermentation
13 type of environment has been focused on protozoa as a factor
14 that determines what is the microbiological balance. Is
15 there any work in probiotics that you ran across in
16 protozoan or higher species?

17 DR. ARCHER: Not protozoa but yeasts.

18 DR. BUCHANAN: Yeasts?

19 DR. ARCHER: I am not aware of any studies that
20 have been focused on a probiotic effect that would involve a
21 protozoan.

22 DR. BENEDICT: Additional questions from the
23 table? Seeing none, this is a real good start. Thank you
24 very much for a very informative presentation. We will take
25 our break six minutes early but we will, nonetheless, resume

1 at ten o'clock. It is now 9:39 and at 10:00 we will start
2 promptly. Thank you.

3 [Brief recess]

4 DR. BENEDICT: Dr. Mary Ellen Sanders will address
5 the topic of food in the marketplace.

6 **Foods in the Marketplace**

7 DR. SANDERS: Thank you very much. It is a
8 pleasure to be here to work with this group in an area that
9 clearly is becoming much more important and into the
10 limelight in terms of food as well as dietary supplements.

11 [Slide]

12 I am going to go ahead and jump right into my
13 presentation. Everyone here has seen the list of questions
14 that this group has proposed that they hope to answer, and
15 the focus of my presentation is going to be on foods and
16 later presentations will focus on dietary supplements.

17 [Slide]

18 Specifically, I am going to be looking at the
19 probiotic organisms, products, labeling, safety and
20 effectiveness considerations, standards for levels and,
21 finally, a comment or two about the future. Of course, we
22 don't have enough time to develop any of these topics in
23 depth but I am hoping that with the question and answer
24 period and our discussion tomorrow this will at least serve
25 as a backbone for those types of discussions.

1 {Slide}

2 Some of the information on probiotic microbes was
3 already discussed in Dr. Archer's talk, but I have maybe a
4 little different information so I will go ahead and go over
5 it quickly. Microbial probiotic species, as he mentioned,
6 really do cover a range of bacteria. He mentioned a variety
7 of Lactobacillus species, including the acidophilus group,
8 casei, reuteri, plantarum and rhamnosus; the bifidobacteria,
9 including a variety of species there.

10 I would also like to include the yogurt bacteria,
11 Streptococcus thermophilous and Lactobacillus bulgaricus
12 that are used primarily as starter cultures in the
13 preparation of yogurt, but have also been shown in several
14 publications to have impact on the immune system as well as
15 on improving lactose digestion.

16 Another organism that has been studied fairly
17 extensively is a yeast known as Saccharomyces boulardii.
18 This organism has been studied relative to antibiotic-
19 associated diarrhea, and the approach there has not been
20 inclusion of this organism in food but use as a
21 biotherapeutic agent.

22 Enterococci have also been used and are currently
23 being used in many probiotic products. In this country it
24 is not uncommon to find Enterococcus on the label of dietary
25 supplement probiotics, and we will bring up some questions

1 when I discuss safety.

2 Finally, E. coli, as was mentioned earlier, has
3 been discussed and actually currently is being used as a
4 probiotic organism in supplement type products in Europe.
5 So, this is quite a diverse group of organisms and certainly
6 extends beyond what our traditional lactic acid bacteria
7 groupings would include.

8 [Slide]

9 I am going to just really quickly go through this
10 and the next slide, just to point out that there is a
11 variety of companies worldwide that have a vested interest
12 in the probiotic area, and many of these companies have been
13 involved in defining and studying very specific strains of
14 probiotic bacteria, and many of these are the subject of
15 many different studies that are published, and the research
16 goes on with additional companies and additional strains
17 that have been defined and characterized at least to some
18 extent.

19 [Slide]

20 Now if we look at probiotic organisms and their
21 habitats, I want to point out that even though many of these
22 organisms are associated historically with fermented dairy
23 foods, not all of them. Certainly, bifidobacteria have as
24 their primary habitat the GI tract of man and animals.. They
25 have primarily an intestinal source.

1 There are some organisms that may, in fact, both
2 be associated with the intestine and also associated with
3 fermented dairy foods, and that group might include these
4 organisms. Yogurt starter cultures, in fact, do not survive
5 intestinal transit and are not, for example, bioresistant as
6 was listed on one of the slides as an important
7 characteristic of probiotics but, as I mentioned, in fact,
8 may have probiotic properties.

9 So, it is important to realize that we are not
10 just talking about microorganisms that are associated with
11 traditional fermented foods.

12 [Slide]

13 Let's run through some of the products. As was
14 mentioned earlier also, the probiotic market in Europe as
15 well as in Asia is much more developed than in the United
16 States. This is a slide that just shows one grouping of
17 probiotic beverages that are sold in Europe. Many of these
18 products we do not see in this country, with the exception
19 of the Actimel product. We now see that being marketed in
20 Colorado by Dannon. Probiotic beverages in Japan include a
21 variety of drinkable yogurts, tetra-pack type products that
22 include probiotic bacteria. This particular slide is
23 showing products that are produced by the company Yakult.

24 [Slide]

25 I am going to discuss a little bit the Yakult

1 beverage. Again, this is not a product that is available
2 currently in the United States but it is a product that is
3 noteworthy for a variety of reasons. It was developed back
4 in 1935 by a Japanese scientists, and it is a flavored milk-
5 based product containing a Lactobacillus casei strain
6 Shirota which carries the scientist's name. Dr. Shirota is
7 the one who did the research on this strain. This product
8 is marketed in 65 billion cfu/serving which is comprised of
9 10^9 /mL which, when we look at the levels that are currently
10 present in dairy products in the U.S., this is a much higher
11 level than we would normally see in this country.

12 The company claims that about 10 percent of the
13 Japanese population consumes this product daily. That, to
14 me, seems to be a very huge penetration. That is a large
15 number of people that are consuming this product. They
16 estimate about 24 million, and I have seen numbers as high
17 as 28 million sold daily worldwide in a variety of
18 countries. This particular product does also have what is
19 called FOSHU status, which is essentially a Japanese
20 definition of functional foods.

21 [Slide]

22 Now the way this product is labeled, its FOSHU
23 status was obtained in May of '98. The claims that they are
24 allowed to make based on the review of the Japanese Ministry
25 of Health are shown here. The Yakult strain can reach the

1 intestine alive. It helps increase beneficial bacteria in
2 the intestine. It suppresses growing harmful bacteria in
3 the intestine. It improves the environment in the intestine
4 and it maintains the intestine in good health. All of those
5 are allowable statements on this product but, to my
6 knowledge, they don't use any of those on the labeling of
7 the product.

8 [Slide]

9 Just a quick comment on how this product is
10 distributed, as was mentioned, it is available in vending
11 machines. It is available in supermarkets. And I know as
12 it is marketed in Europe, the Yakult product in Europe is
13 marketed as a seven-pack, with the obvious implication that
14 you get enough to take one a day for an entire week. It is
15 also marketed or distributed using door-to-door approaches
16 with what they call their Yakult ladies. These women
17 actually go door-to-door with Yakult and serve as a point to
18 point reference with the consumer to provide information
19 about these products and how they may help with general
20 health. So, they have a very diverse approach to getting
21 this product to the market.

22 [Slide]

23 Now, the Actimel product might be discussed a
24 little bit more in the dietary supplement discussion, but I
25 wanted to point out that in Europe it is my understanding

1 that this product is marketed as a food, not as a dietary
2 supplement, although in the U.S. it is labeled as a dietary
3 supplement. It contains *Lactobacillus casei* at 10^{10} /serving
4 and also contains yogurt cultures. Again, this is a very
5 high level of microbes in this product.

6 [Slide]

7 Infant formula is another food product that does
8 contain probiotic bacteria. This is a product that contains
9 *S. thermophilus* and a *Bifidobacterium* strain. This
10 formulation is based on some research that was conducted in
11 1994 on the reduction of rotovirus shedding as well as
12 infant diarrhea. This particular product is available in
13 Europe and Asia but not in the U.S. From what I understand,
14 other brands of formula with probiotics are also available
15 in Europe.

16 [Slide]

17 This product is a food product offered in Japan.
18 It perhaps isn't technically a probiotic product because it
19 is a pasteurized product. But I wanted to show this as an
20 example because this particular product is marketed toward
21 an antihypertensive claim or clinical effect, and what was
22 found to be the active ingredient in this product, which is
23 called *Ameal-S*, produced by the Calpis Corp., is a series of
24 tripeptides that are fermentation end products from the
25 proteolytic activity on casein. It is a FOSHU product also,

1 and there is evidence from spontaneously hypertensive rat
2 studies and one human study a reduction in both diastolic
3 and systolic blood pressure of hypertensive people. This
4 product, as I mentioned, has FOSHU status. The claim that
5 they are able to make according to the Japanese Ministry of
6 Health is that this food contains lacto-tripeptides VPP and
7 IPP, and is suitable for people with mild hypertension. So,
8 that is an interesting product and we don't have anything
9 similar in the U.S.

10 [Slide]

11 This product line is produced in Finland by a
12 company called Valio Dairy. It contains Lactobacillus
13 rhamnosus GG. This particular strain is a very highly
14 researched strain. This company has put a lot of effort
15 into developing clinical evaluations of this strain. It is
16 marketed in a whole array of different types of products
17 including yogurts, unfermented milks, fermented drinkable
18 yogurts, as well as juices.

19 [Slide]

20 This Gefilus product, which is similar in a way to
21 the Yakult type product where it is designed in single
22 servings, is designed to be consumed once a day. The other
23 point I would like to make is that the advertising campaign
24 for this product has this ring around the products and that
25 is what they call their ring of protection, which is the

1 implication that this product will provide some sort of ring
2 of protection for the consumer against the bad things that
3 life has to offer.

4 [Slide]

5 There is a Swedish product that contains a
6 Lactobacillus rhamnosus strain that indicates that it is
7 effective for the treatment of diarrhea, constipation and
8 other GI tract product. It is buttermilk as well as yogurt.

9 Just recently I received information that the
10 Valio Dairy, again with the Lactobacillus GG strain, is now
11 producing a cheese product. This is produced in Finland.
12 It has somewhere around 10^8 Lactobacillus GG/gram of cheese.
13 They indicate that four to six slices of this cheese
14 provides an adequate daily dose. So, this is the first
15 example that I am aware of, of a ripened cheese that is on
16 the market that contains probiotic bacteria.

17 [Slide]

18 So, really there is a much broader diversity of
19 products that are available in Europe. What I would like to
20 do now is quickly run over what we find in the United
21 States. Perhaps one of the first probiotic targeted
22 products in this country was when the concept of sweet
23 acidophilus milk came out, back in the mid '70s. This
24 particular product is unfermented milk where Lactobacillus
25 acidophilus and some products now also contain a bifidus

1 culture, bifidus bacterium, are added to fluid milk.
2 Generally speaking, the target is to deliver about 2×10^6
3 or about two million bacteria per mL. So, you are in a
4 position to get about 4×10^8 if you drink 200 mL of milk or
5 what would be close to a serving of milk.

6 [Slide]

7 Kefir products are also available. This is just
8 one example. However, these products, although they are
9 oftentimes listed under probiotic beverages, in fact, the
10 defined content of these is minimal. In other words, they
11 contain undefined mixed cultures of lactobacilli. So, we
12 really don't have any sense with any of these kefir type
13 products of how these organisms specifically might interact
14 with people in a true probiotic manner.

15 [Slide]

16 Here is a product that we found on the shelves at
17 the Wild Oats Natural Grocery, which is in Colorado. It is
18 in Nevada. I don't know whether it is an East Coast chain
19 or not, but this is a natural grocery store, general
20 groceries but also quite a huge display of dietary
21 supplements, vitamins and those types of things as well.
22 They produce a non-dairy drink, soy-based. This is the
23 vanilla flavor, which contains soy milk and it provides
24 calcium and vitamin D, but also Lactobacillus acidophilus
25 and they say "L-bifidus," and we know, of course, that these

1 organisms are not Lactobacillus bifidus any longer but that
2 labeling hasn't quite caught up with the taxonomy of twenty
3 years ago.

4 [Slide]

5 Of course, as everyone knows here, the yogurt
6 products in this country are perhaps the most dominant in
7 terms' of their positioning in carrying probiotic bacteria.
8 Unfortunately, many of those products, and they are produced
9 by most of the major yogurt manufacturers in this country,
10 do very little to promote or label their probiotic content,
11 and they don't label it based on strains; sometimes they
12 don't label it even on species; but they also don't label it
13 based on count. So, the consumer doesn't really have any
14 idea of what levels of bacteria are being produced in those
15 products.

16 [Slide]

17 Stonyfield Farms a couple of years ago came out
18 with a commitment to include six different species of
19 probiotic bacteria in all of their lines, including their
20 regular yogurt. This is a new product of theirs, Yosqueeze,
21 which also contains these bacteria. Again, we have no idea
22 what levels are being offered in these products but they are
23 labeled as containing these six bacteria.

24 [Slide]

25 I point this particular product out, Dairy DeLite.

1 I am not sure what kind of distribution it has in the U.S.,
2 but I point it out because the name of this yogurt is
3 probiotic yogurt, and it is produced by Noga Dairy. They do
4 indicate on the label that it contains helpful bacteria,
5 vitamins and minerals. If you look at their promotional
6 literature, they are much more aggressive in what they say
7 about this product. They say it promotes healthy digestive
8 tract; minimizes the effects of poor diet, stress and aging
9 on your inner body's bacteria; strengthens the body's
10 natural defense against harmful bacteria; and then they also
11 state probiotic bacteria and probiotic dairy foods replenish
12 the body's intestinal tract, maintaining the positive ratio
13 of good to harmful bacteria. And, I would challenge anyone
14 here to give me a definition of what a positive ratio of
15 good to harmful bacteria is in the intestinal tract because,
16 as far as I can tell, there is not a good definition of what
17 the good or the "friendly" bacteria. Other than the clear
18 pathogenic organisms, I don't think we have a good sense of
19 what those ratios really are but, regardless of that, this
20 maintains it.

21 [Slide]

22 Cottage cheese -- some cottage cheese products
23 also contain probiotic products. The Horizon line does
24 contain both Lactobacillus acidophilus and Bifidobacterium.

25 [Slide]

1 I want to take just a moment and talk a little bit
2 about some of the health statements that are used in the
3 United States for probiotic foods. I haven't really
4 identified the product because I didn't want to get into a
5 finger-pointing exercise here, but I just want to provide
6 some understanding of where manufacturers in the U.S. are in
7 terms of making statements about probiotics in their foods.

8 "The cultures may help keep your digestive system
9 healthy and balanced, and may even help you digest foods you
10 cannot now eat comfortably," which is probably an indirect
11 relationship to helping alleviate lactose-intolerance
12 symptoms.

13 "Yogurt is made from the finest ingredients and
14 cultures with L. acidophilus and B. bifidus to assist in
15 lactose digestion and to maintain a healthy and balanced
16 intestinal system." Again, what does that mean?

17 "Helps you efficiently digest the foods you eat,
18 and the milk you drink."

19 "Bifidus has been with you since birth, and it's
20 important to maintain the amount your body requires."

21 "This culture helps to keep your system operating
22 the way nature intended." So, those are some statements
23 that are on products. So, that was a very quick overview of
24 what the food products are in the U.S. and in Europe.

25 [Slide]

1 Now I would like to move to discussing some issues
2 relative to efficacy and/or standards. Of course, this
3 topic in itself could be the subject of an entire workshop
4 so we are only going to do a very cursory approach to this,
5 but I would like to just point out a few things.

6 [Slide]

7 First of all, if we look at what the probiotic
8 foods primarily are targeting, it is GI tract health and
9 enhanced immune function. Those seem to be the areas that
10 are of most interest or at least most used by people
11 marketing these products. GI health though, of course is a
12 huge area and it might comprise improved lactose digestion,
13 a balanced or healthy GI tract flora. Again we are not sure
14 what that is but it may, in fact, be one of the target
15 statements. Decreased incidence or duration of diarrheal
16 diseases and, in fact, this particular bullet point reflects
17 the area where there are probably more appropriately
18 controlled clinical studies that demonstrate this effect
19 than any of the other areas, probably with the exception of.
20 lactose intolerance. Certain types of inflammatory
21 diseases, inflammatory bowel disease, Crohn's disease,
22 ulcerative colitis, pouchitis -- those areas are all
23 currently being studied relative to the impact that
24 probiotic bacteria may have on recurrence or progression of
25 those diseases, and it may even include colon cancer. There

1 is a variety of indirect studies that suggest that there may
2 be an influence of probiotic bacteria on colon cancer.

3 So this area of GI tract health, in fact, can be
4 quite a broad area. Enhanced immune function at this point
5 is focused on improved levels of immune markers. I am not
6 aware of any human study that actually shows a causative
7 effect of probiotic bacteria on immune function that has
8 then led to a decreased level, for example, of infections or
9 some type of disease. So, primarily the studies are on
10 immune markers, many times on healthy subjects.

11 There is also an increased research area on the
12 effect of probiotic bacteria on decreasing allergy symptoms,
13 and I think this is a very, very fascinating area. I am
14 sure if we watch over the next couple of years, there will
15 be some interesting work that comes out on this. Other
16 health statements that may come out would be cholesterol
17 lowering, antihypertensive effect, vaginal/urinary tract
18 health and stomach health. Those are all ones that could
19 possibly be targeted for probiotic foods.

20 [Slide]

21 Now, the concept of significant scientific
22 agreement, I know, is a very specific understanding relative
23 to the FDA, but I just want to comment that there are many
24 positive placebo-controlled studies for probiotics but, as
25 was mentioned earlier today, many of these are not -- well,

1 it is hard to get quantitative but they can suffer from
2 small study size; small numbers of subjects. Short study
3 duration -- that is one of the criticisms that I have heard
4 of both the immune studies as well as the cholesterol
5 studies, that you follow people for eight weeks, twelve
6 weeks and how meaningful is that really? Sometimes I think
7 there is questionable statistical analysis of the data.

8 So, I think what we really are forced to do is to
9 look not specifically at one study and put a lot of weight
10 on it but look at the group, the body of literature in this
11 area.

12 Another question I have is how meaningful many of
13 these published clinical studies are to generally healthy
14 consumers. You know, if we have a study that shows a
15 clinical effect on decreasing inflammatory flare-ups with
16 IBD patients or rotovirus diarrhea in infants -- you know,
17 those types of studies which, in fact, are very nicely
18 conducted studies, how do we then ultimately translate that
19 type of clinical data into a recommendation for probiotics
20 for generally healthy consumers? And, I don't know the
21 answer to that.

22 Then, I think to elevate the body of research in
23 this area -- unfortunately, many of the studies that were
24 conducted maybe prior to 1980 don't indicate what strain
25 they are using. Sometimes they don't even indicate what

1 Levels are being fed. They might, for example, just feed
2 commercial sweet acidophilus milk and you don't even know
3 what levels of organisms are there or what organisms are
4 even there. So, those studies suffer from those types of
5 difficulties and it is important I think, as we move
6 forward, that those issues are clearly defined.

7 What type of evidence is important? This, of
8 course, has everything to do with the discussion on efficacy
9 with probiotics. Are we going to require to make some sort
10 of a health statement? Controlled human studies? Are
11 animal studies adequate? Are in vitro studies adequate? Do
12 we need to know what the mechanism is? And, I am not clear
13 on where the FDA stands on that type of issue.

14 Finally, an area that I have real difficulty with
15 in this whole area of nutrition research is what the meaning
16 of the biomarkers that are oftentimes used in this research
17 is.

18 [Slide]

19 I have a cartoon to show my frustration. This is
20 a kid. "I dropped a dime here. Help me look for it," he
21 says to his friend. His friend goes over there and he says,
22 "Why are you looking over there?" And, he said, "it's
23 cooler here in the shade."

24 You know, that sort of struck me because I think
25 to some extent that is what we do with nutrition research

1 aith biomarkers. It is much easier to do biomarker research
2 than it is to do controlled human studies. It is cheaper;
3 it is easier. Many times you get more clear-cut results but
4 ultimately are we looking where we need to look? In my
5 opinion, until the biomarkers are validated to, in fact,
6 have some meaningful physiological relevance to humans the
7 focus on the biomarkers is really questionable.

8 I think a good example of that is the area of
9 adherence. That came up earlier. There are reams of
10 studies that have been published on the ability in vitro of
11 probiotic strains to adhere to cells in tissue culture, but
12 the bottom line is that those results seems to have nothing
13 to do with how these organisms adhere in vivo. In fact, the
14 Lion's share of studies show that when these organisms are
15 consumed, within two weeks you can no longer recover them
16 from the stools and, regardless of their high level of
17 adhering ability in vitro, they do not seem to show
18 adherence in vivo. So, these tests have not been validated
19 to be meaningful.

20 [Slide]

21 So, this whole area of biomarkers I think is an
22 important one to discuss. Biomarkers must be validated by
23 correlation of the biomarker with physiological and clinical
24 effects. Many used are not. Specifically, the culture
25 adherence assays, cholesterol assimilation in test tubes,

1 the immune markers. People make a big deal out of the fact
2 that these organisms produce bacteriocins but no one has
3 taken a bacteriocin-producing strain and compared it
4 clinically to a bacteriocin non-producing strain, preferably
5 the isogene derivative of it, to see if there is any
6 difference i probiotic effects, and it would be an easy
7 experiment to do.

8 [Slide]

9 If we talk a minute about levels of probiotics,
10 what is our current status? And, I alluded to this through
11 some of the product slides but most dairy products
12 containing probiotics, in the U.S., deliver about 10^8
13 cfu/serving and for the most part -- in fact, I don't know
14 of any that are labeled with probiotic count in the U.S.

15 The exception to that is a dietary
16 supplement/food, depending what country you are in, but the
17 Actimel product does deliver 10^{10} /serving. That is
18 available in Colorado. So, to me, I think that Dannon has
19 come to the plate and has made the commitment to deliver
20 what they consider to be efficacious levels of the probiotic
21 organism, and they are putting the reputation of their
22 company on the line by labeling that product with the levels
23 of organisms that are contained within it.

24 Many capsule or pill products claim to deliver
25 about 10^9 or 10^{10} per dose but, of course, many studies that

1 have been published that have done surveys of these type of
2 products show, in fact, that what they contain is very
3 different from what they are labeled as containing.

4 Clinical studies target approximately 10^8 on the
5 low side, 10^{11} on the high side per day. There have been
6 dose studies which have suggested that 10^9 or greater, 10^9
7 is probably a minimum for many of the clinical studies but,
8 of course, you can't make generalizations because one
9 particular strain may, in fact, be more capable of surviving
10 the acid in the stomach; more capable of surviving the bile
11 in the intestine; a variety of physiological differences
12 may, in fact, relate to what levels of that organism
13 actually reach the target site. So, it is very difficult to
14 generalize about in general probiotics should be delivered X
15 level. It is really going to be very strain specific and
16 few dose studies, unfortunately, are published.

17 [Slide]

18 Now, if we look at standards for levels, what
19 considerations do we need to use? Standards establishing
20 minimal levels are going to require specific evaluation of
21 the dose studies for each strain.

22 Required levels may be dependent on the levels
23 resulting in the clinical effect; what your target consumers
24 are -- are they the same group as in research? Are you
25 targeting infants who may need a different daily dose than

1 an adult or a geriatric population?

2 Here is what I consider to be a very important
3 point, what is the active principle or mechanism of the
4 effect? That is one of the reasons why I showed that Calpis
5 product slide. That particular "probiotic" effect is not
6 mediated through viable cells; it is mediated through a
7 fermentation endpoint. There is some evidence that suggests
8 that immune-enhancing properties are mediated through cell
9 wall components -- again, not viable cells.

10 The ability to alleviate lactose mal-digestion, in
11 fact, very likely is due to the delivery of lactase to the
12 small intestine and, again, may not require viable cells.

13 So, I think in order to really have a rational
14 discussion of standards we need to know what the mechanism
15 of effect is. In fact, standards for one product may not be
16 the same as standards for another.

17 Also, physiological traits of the strain may vary
18 or may change this. If you have a strain that is able to
19 survive in the product in the GI tract, possibly colonize,
20 maybe even truly adhere, you might be able to deliver much
21 lower doses of that strain than you would for another.
22 Finally, there may be synergy for certain clinical effects
23 between multiple strains that are used. So, I think it is
24 going to be very difficult to make huge generalizations
25 about this area.

1 [Slide]

2 Now safety -- this is a very big area which I
3 think needs to be carefully considered. I think it is safe
4 to say that generally the lactobacilli and bifidobacteria
5 are considered to have a very low pathogenic potential, and
6 that opinion has been published by many people of diverse
7 laboratories across the world. So, I don't think that is a
8 hugely controversial comment. We know there are normal
9 comensuls. We know they are present in high number in
10 foods, and we have a huge history of safe use with many
11 lactobacilli and even bifidobacteria.

12 However, having said that, we know that dozens of
13 documented infections resulting from lactobacilli and
14 bifidobacteria have been reported in the literature. These
15 people almost always have an underlying illness, however.
16 Generally it is thought that the source of the microbe
17 causing the infection is indigenous to the patient and not
18 from foods that the patient is consuming.

19 I think it is interesting that one report out of
20 Europe indicated that the lactobacilli isolated from
21 clinical infections are most commonly the species of
22 rhamnosus, casei, paracasei and plantarum. So, maybe the
23 "potential" for infection by these different lactobacilli
24 may be somewhat species dependent.

25 I will also comment that this report in Europe

1 indicated that *L. rhamnosus*, although they considered it
2 essentially equivalent to other lactobacilli for the most
3 part, they said its more frequent association with infection
4 deserves -- this organism, therefore, warrants further
5 surveillance. So, they sort of set this particular species
6 aside and said, yes, it is safe and certainly we know it is
7 present in a variety of products that are sold in Europe and
8 also dietary supplements in the U.S. now, but they think it
9 is worth watching.

10 [Slide]

11 I do want to point out two publications. Again,
12 you have to keep in context that this is two publications
13 out of a huge history of safe use of these organisms, but
14 these publications are useful in that they are the only two
15 that I am aware of that have made a suggestion that the
16 infection that they are reporting is due to a food source or
17 a supplement source of the microbe.

18 Rautio et al., in '99 indicated that *L. rhamnosus*
19 caused a liver abscess. A 74-year old hypertensive diabetic
20 woman consumed about half a liter of a *Lactobacillus*
21 *rhamnosus* GG-containing drink each day. The strain that was
22 isolated from her liver abscess was indistinguishable both in
23 phenotypic characteristics as well as pulse-field gel
24 electrophoresis chromosomal patterns from the strain that
25 was in the product.

1 Now, the people publishing this also made the
2 point, and I think it is a valid one, that in fact the same
3 genotype can be isolated from people and, in this particular
4 case they made the point that it was isolated from an infant
5 that had never been exposed to this product. So, they ask a
6 reasonable question, which is was this strain associated
7 with the infection derived from the GG drink or derived from
8 an indigenous *Lactobacillus rhamnosus* and, of course, we
9 don't know the answer to that.

10 This other case is an endocarditis, again caused
11 by a *rhamnosus* strain, a 67-year old male with a history of
12 mitral valve prolapse and tooth removal -- again, that was
13 pointed out earlier. This particular gentleman chewed dried
14 mixed strain probiotic capsules. He would open up the
15 capsule, throw the powder in his mouth and chew it. It
16 contained a mixture of organisms including *rhamnosus*,
17 *enterococcus faecalis* and *acidophilus*. Again, the strain
18 isolated from the infection was indistinguishable and, in
19 this case, they only used only phenotypic characteristics,
20 not genotypic ones, but it was indistinguishable from the
21 strain in the probiotic supplement.

22 Those both are noteworthy comments about
23 infections but, of course, they have to be evaluated within
24 the context of the total field.

25 [Slide]

1 Let's talk a moment about enterococci. Let me
2 skip to the third bullet point, enterococci are currently
3 sold in many dietary supplement products in the U.S. and
4 worldwide. One of the reasons -- and, I being very cynical
5 by saying this, but one of the reasons why I think these
6 organisms are so regularly included in dietary supplement
7 dried, room temperature products is that they are stable.
a They are shelf stable. They are much easier to keep viable
9 than lactobacilli or bifidobacteria are. Therefore, if you
10 want to put on your product that it contains 10^9 , if 10^9 of
11 that is enterococcus and you have 10^4 lactobacilli, who is
12 going to stop you from saying that it contains enterococcus
13 and lactobacilli? There is just no stopping that.

14 But, being a non-cynic, I will say that some
15 clinical studies certainly have been done, primarily in the
16 area of alleviation of diarrheal symptoms as well as
17 cholesterol lowering, on enterococci. So, there are health
18 benefits associated with that. These organisms are, of
19 course, normal comensals to humans. Enterococcus faecalis
20 and faecium are readily isolated from the stools or the
21 intestinal tract. They are present in foods as
22 contaminants. They certainly can be food spoilage
23 organisms, or as intentional additives in starter cultures,
24 primarily more in the Mediterranean countries.

25 [Slide]

1 Now, recent review indicated that in the past
2 enterococci were viewed as harmless comensuls with low
3 pathogenic potential, but today, in the context of
4 increasing multiple levels of antibiotic resistance, and
5 increasing association of enterococci with nosocomial
6 infections -- they are the number one cause of nosocomial
7 infections today; they didn't used to be -- they may be
a considered opportunistic pathogens. I know they are a
9 primary pathogen also for urinary tract infections.

10 So, essentially, even though there might be
11 species specific safety concerns -- faecalis is considered a
12 higher risk or is more commonly associated with infection
13 than faecium is -- I think there are some serious questions
14 that should be asked about the incorporation of enterococci
15 into these types of products, but we have to conclude that
16 the link between foods and Enterococcus infection is
17 currently now known. There is no published study that shows
18 that enterococci consumed in a product were, in fact,
19 isolated from an infection.

20 [Slide]

21 So, if we look at the context for safety issues,
22 on this side I sort of have the pro lactic acid bacteria,
23 pro probiotics, and the lactobacilli, bifidobacteria and
24 enterococci are normal comensuls found on the body and also
25 in food and the environment. A tremendous volume of

1 probiotic bacteria are consumed safely worldwide. There
2 have been many human studies that have been conducted with
3 no adverse incidence reported, including a study that I am
4 specifically mentioning because I don't know who could have
5 gotten approval for this study, but in Finland they
6 administered high levels -- I don't remember the exact
7 number -- of Lactobacillus rhamnosus GG to neonatal
a premature infants, and they had no adverse incidence reports
9 in there. So, I mean, to me that is -- wow!

10 Also, I would like to point out that a study in
11 Finland found no similarity -- and this was using only
12 phenotypic analysis -- between clinical isolates and food
13 strains. So, they went back over the blood cultures that
14 had been isolated. They looked at thousands of these,
15 looked at carbohydrate fermentation patterns and other
16 phenotypic characteristics and said that there is really no
17 similarity between the clinical isolates of lactobacilli and
18 the ones that are currently used in food. Of course, that
19 is not a conclusive response, or that doesn't conclusively
20 indicate that that can't occur but it is supportive of that.

21 We also have to realize that there are recent
22 reports of Lactobacillus rhamnosus infection that is
23 possibly linked to product consumption. The recent increase
24 of enterococci associated with nosocomial infections I think
25 cannot be ignored and needs to be evaluated relative to the

1 use of these particular organisms. Finally, modern
2 approaches to strain and species use differ from historical
3 use. The point I want to make here is that in the past,
4 when we talk of history of safe use of these organisms in
5 foods, primary what you are looking at is organisms that are
6 natural to the dairy environment or to green plant material.
7 They were natural contaminant to the food products and, in
a fact, they were not organisms that were specifically
9 isolated from human sources.

10 I am sorry, am I out of time?

11 DR. BENEDICT: You are pretty close.

12 DR. SANDERS: Okay, I am sorry. I didn't bring my
13 watch with me.

14 We also have the technology today to concentrate
15 these organisms to very dense populations where historically
16 we relied on the ability of these organisms to grow in the
17 product. Of course, I think these provide a slightly
18 different spin on what we are doing today. We are using
19 different strains and even different genera than were used
20 traditionally in products.

21 [Slide]

22 This is close to my last slide. What kind of
23 anticipated uses for probiotics are coming? I think that
24 the use in animal agriculture for these organisms will
25 really increase. There is going to be a real advantage I

1 think for substituting for antibiotics as growth promoters
2 with probiotics, as well as decreasing the pathogen carrier
3 state in food animals.

4 I think we are going to see more medical use. My
5 particular focus has been on foods, but I think that areas
6 being researched, such as oral vaccines, intravaginal
7 installations, prevention of GI tract disease are going to
8 be true medical biotherapeutic applications for probiotics,
9 which are really going to fall into the drug category and
10 not foods. Optimized strains and blends will be developed,
11 I believe. We are going to see applications to foods beyond
12 dairy in the U.S. Finally, I think we are going to increase
13 our understanding, hopefully, of clinical effects and
14 mechanisms.

15 [Slide]

16 Finally, I would just like to end with this
17 because I think that this is a powerful message that is
18 being conveyed by the Nestle people. If you sign on to
19 www.diallcl.com this is what you pull up on the web page,
20 and they are giving a very direct message to the consumer --
21 "eat more bacteria." I think that companies are going to
22 try to get this message out more and that is why I think the
23 activity of this group is so important because we have to
24 come to some sort of an agreement on how to approach these
25 products in this country. Thank you.

1 DR. BENEDICT: Thank you. Before you step down, I
2 was remiss in the beginning to ask you to just tell us your
3 affiliation.

4 DR. SANDERS: Oh, I am sorry. I primarily spend
5 my time consulting. My company is Dairy and Food Culture
6 Technologies and I am based out of the Denver metro area. I
7 do have an affiliation with CalPoly State University at St.
8 Louis Obispo as a research professor, where I collaborate
9 with other professors in the dairy products technology
10 center on probiotic-focused research, primarily in the in
11 vitro analysis of these strains.

12 DR. BENEDICT: Thank you very much. We will hold
13 our questions. I hope you will be available --

14 DR. SANDERS: Yes, I will be here today and
15 tomorrow.

16 DR. BENEDICT: So, now we will hear from Dr. Roger
17 Clemens. Please, also state your affiliation for the
18 record. In addition to that, we are a few minutes over. I
19 would like for us just to ignore that. The presentations
20 are so interesting and useful that I think if we stretch a
21 little bit into the time we are allowed for lunch, it is
22 probably not going to hurt. So please, Dr. Clemens, don't
23 feel exceptionally rushed. We can hold a lot of our
24 questions for tomorrow if we need to. Will you be here
25 tomorrow as well?

1 DR. CLEMENS: Yes.

2 DR. BENEDICT: Good. I am getting grumblings from
3 the nutritionists about ignoring lunch. If you went around
4 this table of over-achievers you would probably find 95
5 percent of the people ignore lunch, and it is probably
6 pretty embarrassing. So, there is the five percent!

7 **Dietary Supplements in the Marketplace**

8 DR. CLEMENS: Good morning.

9 [Slide]

10 I am Roger Clemens. My affiliation -- I have had
11 21 years experience in the food industry, specifically as
12 the scientific adviser to Nestle. I was intimately involved
13 with probiotic research. I am now independent, free-
14 lancing, and a professor of food science and nutrition at
15 CalPoly Pomona in the southern California area.

16 Today we are going to talk about straight science.
17 For the next few minutes we are going to talk about dietary
18 supplements, and Dr. Sanders just did a superb job in
19 reviewing what we have in the foods, and many of the areas
20 which we know a great deal about is thanks to the research
21 conducted by Mary Ellen.

22 [Slide]

23 I have a couple of presentation objectives, along
24 with a little humor -- I trust that is allowed. We need to
25 identify the current supplements and what we anticipate.

1 Mary Ellen did a great job of anticipating what the future
2 might look at, but let's take a look at what we currently
3 have on the U.S. market. I am going to talk about what is
4 there, also some levels of organisms that are there, which
5 organisms are there, and what is coming up in the near
6 future.

7 [Slide]

8 Also, we will talk about manufacturing Q/A
9 principles. I appreciate comments by Doug and by Mary
10 Ellen. We need to look at these areas and I proposed some
11 comments to the panel this morning regarding what the
12 current practice is and where practices might want to go.

13 [Slide]

14 Also, we are going to look at labeling criteria,
15 what should be the standard for label declaration.

16 [Slide]

17 What is the business for probiotics in the United
18 States? Specifically, let's start with the nutrition
19 business. This graphic comes from the Nutrition Business
20 Journal, published earlier this year. In this particular
21 case, it breaks out the entire nutrition business in the
22 United States as found in 1999. You see the distribution
23 there, and obviously this category of functional foods,
24 which is yet to be defined, is grabbing great parts of
25 nutrition business. But, of interest here for today's

1 presentation is dietary supplements. In nutrition business,
2 this represents 35 percent of what people spend on their
3 dollar nutrition.

4 [Slide]

5 Well, what does that mean in total dollars? That
6 is 44.9 billion dollars in nutrition business. That is
7 billion with a "b"; 44.5 billion dollars is spent on
8 nutrition. We go, apply this then to probiotic supplements
9 and we see from the very traditional approach that 70
10 million dollars are spent every year for probiotic dietary
11 supplements. This does not include the Internet. We have a
12 final report published by the FDA in October of this last
13 year, a survey of dietary supplement usage and an excellent
14 assessment of where the various supplements were purchased,
15 including the Internet, and they clearly indicated that the
16 vast majority is swinging to purchase of supplements through
17 the Internet.

18 Specifically, thanks to the work by the FDA, we
19 see that ten to twenty percent, depending on which market
20 you are looking at, represents probiotics within this
21 market, the total dietary supplement market. We also see
22 through Mary Ellen's excellent comment that the interest
23 appears to lie primarily in these two areas, both immune
24 function or immune system, as well as the digestive system.
25 Somebody out there, the general consumer, is looking for

1 something very positive, some benefit by consuming
2 probiotics relative to immune enhancement or immune
3 modulation as well as digestive assistance.

4 A very fine article that Mary Ellen authored
5 earlier this year talks about the probiotic potential. If
6 you are looking for something that is very concise and
7 direct, I urge you to grab a copy of Dairy Foods, January,
8 2000. Many of the graphics that I will show you actually
9 come from Mary Ellen's work.

10 But it is interesting, you see here, in the upper
11 right-hand corner, that the kefir and various black current
12 drinks with biocultures in the American food system are
13 increasing, indeed.

14 [Slide]

15 Mary Ellen did a good job also of identifying key
16 manufacturers. I went on the web site to access all these
17 manufacturers and it is really interesting what they say or
18 shouldn't be saying, and what they cite, and I will talk to
19 you briefly about what they can or can't deliver. The other
20 ones were mostly domestic. Here we have domestic
21 applications. It is interesting that many of the
22 international companies are selling their products in the
23 United States as foods and sometimes as supplements. This
24 list may be found also in Mary Ellen's presentation today,
25 and also in the publication that she had back in January.

1 [Slide]

2 Notice that many of the companies have been
3 involved with pharmaceutical agents and have a history of
4 pharmaceutical applications and development, and now we see
5 more food companies getting involved with produced
6 probiotic-containing products.

7 This is the company. Here is the web site. This
8 is the information I pulled off the **web** in September, just a
9 week or so ago. This particular company is promoting its
10 unique strain. This one is Lactobacillus reuteri and they
11 are promoting the product that will help biological systems.
12 In this particular case they are addressing biological
13 systems in terms of immune function.

14 [Slide]

15 They have a really unique way of educating the
16 consumer. Everyone has taken a different approach to
17 educate the consumer. In this particular one for
18 Lactobacillus reuteri they have a video on how they believe
19 Lactobacillus reuteri will interact with the GI tract and
20 provide some benefit. Here, they are also promoting that it
21 produces substances -- to go back to the definitions that
22 Doug presented earlier this morning. In this case, they are
23 identifying the bacteriocin reuterin, and that is a key
24 factor in the production of the effectiveness of
25 Lactobacillus reuteri. Also they talk about the reducing

1 stomach disorders, and also enhancing that, in fact, it
2 adheres or modifies or modulates the product of gut mucins,
3 and we can talk about that later when we discuss mechanisms.

4 [Slide]

5 Chris Hansen is well established in Milwaukee of
6 this country and also in Denmark. They now have their own
7 coined phrase of probiotech. It is real interesting in fact
8 that while they are promoting this, if you go back on the
9 web, some of the clinical studies used their organism and,
10 in fact, probiotic was not in vogue at that time.

11 This goes back to the work though that Dr.
12 Saavedra did at Johns Hopkins. Now they have embraced those
13 organisms, in this particular case the BB12, in this family
14 of organisms called probiotech, and the list of organisms is
15 shown on the right-hand side. In this case, you see that
16 Bifidobacterium is now called lactis. And, I appreciate
17 Mary Ellen's comment that we really need to address strain
18 characterization, and I appreciate Tom's comment that it is
19 more than genus species; it is more than just chemical
20 characteristics; we really need to understand the genetics
21 of these particular organisms. As you see, the changes in
22 taxonomy have been quite demonstrable, particularly in the
23 last ten years.

24 [Slide]

25 Culturelle, produced by ConAgra -- Lactobacillus

1 product. It is a very delicious product, by the way.

2 [Slide]

3 Here is Natren. Natren is a small company, based
4 in the Los Angeles area. They have taken a rather unique
5 approach to show probiotics. They believe that their
6 products are among the highest quality. I haven't defined
7 what that is yet. Quality, potency, service -- operation
8 down in West Lake Village.

9 [Slide]

10 It is interesting, in the survey that the FDA
11 conducted, most of those companies were based in California.
12 It is also interesting that they have created their own
13 triangle here, the inverted pyramid. At the top of the
14 pyramid they show probiotics as really essential for
15 everything that is done.

16 [Slide]

17 Then, Nestle in the United States, as May Ellen
18 indicated, has this on their web site -- "eat more bacteria"
19 and a number of publications to support their position.

20 [Slide]

21 They have actually taken a very unique approach.
22 Instead of being a capsule of any kind or a tablet form, it
23 is actually in a powder as a supplement, and it is monitored
24 this way and it provides 2×10^{10} organisms per dose.

25 [Slide]

1 Another company that actually is in the business
2 of producing probiotic organisms is Nutraceutix. It is
3 based in Redmon, Washington in the northern part of the
4 States. They say they are the premiere research center for
5 human resource and human and animal health. In fact, I have
6 had the opportunity to visit that fine institute and
7 evaluate the research. I have had the opportunity to visit
8 a fair number of production centers. I can comment on the
9 various production techniques, or the lack thereof; the
10 adherence to pharmaceutical GMPs and GLPs as well.

11 [Slide]

12 Well, what are those GLP and GMP standards? Those
13 of you who have been in the pharmaceutical industry for
14 quite some time certainly understand this. If we are going
15 to set standards, we need to understand what is the primary
16 culture and how is it managed? What are the characteristics
17 that show that that culture doesn't drift?

18 We need to understand the fermentation media.
19 What is essential to make the bugs grow, under what
20 conditions? And, what is the load to get that culture
21 going? What are the ingredients to use for that culture
22 media? One of the characteristics is that media contains
23 non-fat milk. For some of those people who are on non-fat
24 milk are, in fact milk protein allergic and the addition of
25 the milk protein to the supplements could cause them to

1 Light up and none of wants to see that happen. But that is
2 not declared on the label.

3 What type of techniques are used to isolate their
4 organism or concentrate their organism? There are a variety
5 of techniques that are out there. The primary techniques
6 chat are used are ultra-filtration or centrifugation. I
7 have seen that used in many of the production facilities.

8 Interestingly enough, some of the facilities don't
9 take this approach at all. In fact, what they do, they take
10 the entire milieu of the culture and they dry that matrix.
11 So, whatever the culture media happen to include, those
12 compounds are included, which are not declared in the
13 labeling. Interestingly enough, many of the companies take
14 the approach that Doug has mentioned. In fact, these
15 organisms often will produce enzymes, or produce short-chain
16 fatty acids. They will often produce bacteriocins or other
17 compounds. So, those compounds, they believe, are included
18 when they freeze-dry the entire matrix and therefore their
19 product may be better. Hence, yes, they do freeze-dry.
20 But, to Mary Ellen's comment, they have varying viabilities,
21 and they have varying instabilities, and they have various
22 properties, and a lot of them don't survive. They may be
23 pelletized and you grind them up into powders, and so forth.
24 So, there are a lot of technical issues that have to be
25 addressed in this area as well that go beyond just freeze-

1 drying.

2 Then, the question might come up, well, how do you
3 preserve the viability if that is essential for the action
4 of this particular organism? Some of the manufacturers use
5 various preservatives which are GRAS. They use MSG, BHT and
6 some other approaches, even natural vanilla to preserve the
7 viability of the organisms. Also, they say they contain
8 proprietary cryoprotectants. What are these? Some may use
9 a beta-keratin. Some might use a vitamin C or other unknown
10 substances to protect the organisms so that, in fact, they
11 don't go away, and viability is maintained not only through
12 distribution but also through the use by the consumer.

13 [Slide]

14 We typically look here at the general morphology
15 and physiology of organisms but I think we have to really go
16 beyond that. As indicated by Mary Ellen and by other
17 speakers today, and certainly by Doug, we have to understand
18 not only about carbohydrate fermentation profiles, but we
19 need to understand what is in the cell walls. What
20 components allow them to be active? Therefore, do they
21 provide the functional characteristics of that particular
22 strain? Therefore, it is essential that we understand the
23 DNA composition; that we actually have a DNA fingerprint, if
24 you will -- whatever approach you might take, to analyze and
25 substantiate that that particular product, not only that

1 particular organism remains stable, that the DNA
2 characteristics do not change through the use of that
3 particular organism.

4 I visit a number of companies, and many of them
5 are reputable companies and actually have extensive
6 databases where they have followed their product for a
7 considerable amount of time and demonstrated, in fact, that
8 in their particular strains they maintain consistency here.
9 But that isn't the case in all companies, of course.

10 To a comment that Mary Ellen made, it is important
11 that we know the potency. How much do we give those people?
12 The limiting factor is not how many bugs you can put in
13 there. My experience has been that the limiting factor
14 really is cost. You get upwards of 10^{11} 10^{12} and 10^{13} and
15 that particular supplement becomes cost prohibitive to put
16 on the market. You price yourself right out of the market.
17 And, we can talk about cost a little bit later.

18 Contaminants is an issue, and I appreciate Mary
19 Ellen's comment about E. faecium and E. faecalis. Actually,
20 she is absolutely right. We often will see those organisms
21 in plentiful supply in various supplements because they are
22 stable. When you don't have to declare how much of an
23 organism or really all the details of an organism in a
24 particular dietary supplement, you can load them up with
25 either one of these organisms and, yes, you will grow

1 something out but are you growing out what you want to be
2 doing? Of course, that all relates to the stability of that
3 particular product.

4 [Slide]

5 Packaging distribution must be considered not only
6 for a dietary supplement, but it must be considered as well
7 for the food supply. Moisture levels are really critical.
8 If you get moisture levels above five or so percent in these
9 supplements, the viability of the organism goes away. And,
10 it is more than just assessing moisture levels in a finished
11 product, usually a powder of some form, but also the water
12 activity. If you get water activity above about 0.25, again
13 the viability goes away and you do not have a viable product
14 -- no pun intended.

15 Also, a concern might be moisture barriers -- we
16 have some wonderful polymers in packaging today. They are
17 wonderful moisture barriers but, in fact, they may not be
18 sufficient to maintain the viability of these organisms.
19 Secondly, we do not have any data, to my knowledge -- and
20 help me out -- that looks into the migration of some of the
21 monomers that are part of these barriers so that, in fact,
22 those monomers may migrate and, therefore, affect the
23 viability of the organism. Hence, we might look at the use
24 of glass.

25 Capsules -- are these two-piece capsules if they

1 are in a capsule form, or are they in a single-piece
2 capsule? We don't know which is the best. Some of them
3 actually take a probiotic and put it in an oil base in a
4 one-piece unit and, based on a vacuum assessment, they don't
5 leak and can maintain viability, usually some type of a soy
6 bean oil.

7 And, at what temperature is the distribution and
8 at what temperature are they sold? Often you will see that
9 the products will go to a normal health food store -- where
10 are they located? Typically, you will find them on the
11 shelf with all the other supplements, and if you assess
12 those particular products, the viability of those products
13 is often quite low. We are going to see in many of these
14 stores an increased refrigeration section where probiotics
15 may be maintained.

16 Testing laboratories -- which laboratory should be
17 doing an evaluation of these organisms? Which laboratory is
18 already set up to do that? In fact, the various companies
19 that produce these organisms and dietary supplements, do
20 they have the adequate facilities to assess? There are a
21 number of qualified laboratories in the country, but not all
22 the companies are assessing and accessing these particular
23 laboratories. Obviously, we have to have confirmed and
24 established procedures, and the traditional barn approach is
25 not necessarily viable at this time.

1 [Slide]

2 Let's look at the health food store with that as a
3 background, and this will not be a surprise to any of you.
4 Mary Ellen did a really fine job of looking at some of these
5 issues, frankly. Are they going to declare the dose? Some
6 do; some don't. What is the culture viability? They really
7 don't talk too much about that. We see the banner on
8 yogurts -- "live and active cultures," but you don't see
9 that in too many dietary supplements. What is the stability
10 of that culture and do they give an expiration data of that
11 particular dietary supplement, like we have on a medication?
12 And, how is the culture identified? Sometimes they just say
13 probiotics or they give a genus species which might be nice,
14 but the reality is we need to be looking at strains. They
15 often include the DNA disclaimer and, unfortunately, many of
16 them use references and many of the references really are
17 much too old to support where they are going with their
18 particular product.

19 [Slide]

20 This is a piece that Mary Ellen demonstrated in
21 her publication, and I have some work to do to substantiate
22 a little bit further, and here we see that in this
23 particular case Mary Ellen and her team examined the
24 stability of four different strains over a period of six
25 weeks. You see here four degrees, typical refrigeration in

1 a yogurt matrix, and we see that different strains do, in
2 fact, have different stabilities and this is not unexpected.

3 I did initial studies and I went back and
4 evaluated a lot of the strains that are on the U.S. market,
5 and it is clear that they will make a declaration of dose;
6 it is often not there. They will make a declaration of a
7 strain; it is often not there. As a matter of fact, many
8 times the bug that they declare is not even there.

9 [Slide]

10 Interestingly enough, back in July of 1989, the
11 National Nutritional Food Association made a proposal and
12 actually adopted some standards for labeling. The question
13 is do all the manufacturers adhere to this? The answer is
14 no. I believe that this only represents about four percent
15 of the dietary supplement market. So, it has not had an
16 impact on the dietary supplements, particularly those in
17 probiotics.

18 Here, they called for those producing probiotics
19 that they have a viability cell count, and that is cfu's per
20 particular unit. They have a particular minimum to allow
21 natural die-off. Also, they recommended they have an
22 expiration day like we see on foods and also medications.
23 They called for species identification and more than just
24 genus and species. It is clear that we have to look at
25 strains today. it is clear that we have to examine what the

1 contaminants are and not actually declare one thing and
2 actually say another. We need to have tools to assess
3 genetic stability.

4 We need to examine storage requirements. Often
5 you will look at supplements and storage requirements are
6 not indicated on the label. That has to be specified and
7 should be specified on the label. Also, all ingredients
8 that are used, however they manufacture them or grow them
9 out should be declared so those who might have allergies or
10 be sensitive to that can avoid the product.

11 Then, in 1995, as part of the congressional
12 record, these kinds of standards that were read into the
13 congressional record but, again to my knowledge, these have
14 not been adopted by the particular industry.

15 That gives you an overview of what is happening in
16 the dietary supplement world. There are a lot of dietary
17 supplements out there. It represents a fair amount of the
18 market, 70 million dollars-plus; probably double that with
19 the Internet. We realize there are a lot of standards that
20 have been proposed but have actually been adhered to by a
21 very small percentage of the dietary supplement market.

22 As I close, this is interesting. The Europeans
23 are, in fact, ahead of us and I attended a meeting in
24 Toulouse, France and this is what we see --

25 [Slide]

1 other than L-plus.

2 DR. BENEDICT: Dr. Sigman-Grant?

3 DR. SIGMAN-GRANT: Yes, I have a question about
4 the infant formulas. Are they marketed for the general
5 pediatric population or as a product to use if the child is
6 suffering from a GI disorder?

7 DR. CLEMENS: The products marketed in Europe are
8 for the general pediatric population. They are marketed to
9 the older child, the kids that are six months of age and
10 older right now.

11 DR. SIGMAN-GRANT: So, as a supplement or in place
12 of breast milk?

13 DR. CLEMENS: As a regular infant formula.

14 DR. BENEDICT: Dr. Russell? Dr. Sanders, you
15 talked quite a bit about Yakult in Japan, and I was
16 wondering if there was anything to learn from the Japanese
17 regulators with regard to the FOSHU claims. For example,
18 Yakult increasing beneficial bacteria or suppressing harmful
19 bacteria. Have they defined that or made any effort to make
20 that much more specific? I am trying to get at some
21 specificity about health GI tract and what that means, or
22 flora and what that means.

23 DR. SANDERS: Within the context of understanding
24 GI tract flora, as I mentioned, I think it is going to be
25 difficult to be able to state with confidence that certain

1 levels of the different normal comensul bacteria in the
2 intestinal tract are "healthy" and others may not be. So,
3 you know, I don't know that we know that we want 90 percent
4 Bacteroides and 10 percent lactobacilli and 50 percent --
5 well, I guess you couldn't do that, but the different levels
6 of bifidobacteria versus lactobacilli. There is not that
7 level in my understanding of a sense of what that means.

8 But I think in your reference to the Japanese and
9 what we can learn from them, they clearly buy into the fact
10 that lactobacilli and especially bifidobacteria are
11 beneficial, that they are better bacteria than some of the
12 others that we have, including Clostridia and possibly
13 enterococci.

14 DR. RUSSELL: And that is based on what?

15 DR. SANDERS: Well, I would suppose some of it is
16 probably based on what they consider to be certain noxious
17 end products of metabolism, for example, that Clostridia may
18 produce or certain potential carcinogenic type of enzymes
19 that are higher levels than some bacteria. They have
20 probably looked at it from that point of view. But I think
21 when they make a claim like that on the Yakult product, when
22 it says improves healthy bacteria or healthy intestinal
23 flora, probably what they are referring to is that if you
24 consume this product your levels of lactobacilli and/or
25 bifidobacteria increase, and my guess -- this is supposition

1 on my part, my guess is they view that as improved
2 intestinal flora.

3 Now, whether or not you can argue that point with
4 a group of GI tract microbiologists and say, okay, is that a
5 defensible position scientifically? You would probably have
6 to debate on it.

7 DR. BENEDICT: Yes, Dr. Russell, continue.

8 DR. RUSSELL: I have another question, Dr.
9 Sanders. It has to do with the issue of lactose digestion.
10 Quite a bit is made I think in a lot of the advertisements
11 about these products improving lactose digestion. I
12 understand in yogurt products, if they are warmed up to a
13 certain degree and so forth, the bacteria will have lactase
14 which will digest the lactose in that product. But have
15 there been any studies at all where one has been able to
16 convert a lactose mal-digester into a lactose digester by
17 feeding a probiotic? I am not talking about the digestion
18 within the product that was fed --

19 DR. SANDERS: Right, right.

20 DR. RUSSELL: -- but can the person then drink
21 milk at the next meal?

22 DR. SANDERS: There is actually no evidence that -
23 - well, let me back up. The amount of digestion that takes
24 place in the product in terms of the conversion of the
25 lactose in milk during the yogurt making process into lactic

1 acid is minimal. I mean, you might go from 5 percent
2 lactose down to 4.5 percent lactose, but oftentimes current
3 formulation processes supplement with non-fat dry milk
4 solids anyway and, therefore, your lactose is boosted back
5 up. so, the actual lactose content of yogurt in the U.S. is
6 probably not significantly different than the lactose
7 content in milk even considering the fact that you did have
8 this fermentation occur.

9 So, you can't say any effects that are seen -- and
10 oftentimes the studies are done with controlled lactose
11 levels anyway, but the effects seen with the mediation of
12 probiotic bacteria or, I should say, the lactic bacteria is
13 not from reducing the lactose in the product. It is really
14 coming from some sort of in situ activity once the yogurt of
15 milk is consumed.

16 So, to answer your question, it is all a balance
17 game. You can put in too much lactose and overload the
18 system. Most of the studies are done, for example, in
19 consuming and 8 oz glass of milk or an 8 oz cup of yogurt.
20 So, it is a moderate consumption level. With that type of
21 consumption level, the systems and/or the biomarker of
22 decreased hydrogen excretion -- breath to breath hydrogen --
23 are reduced. So, the systems and breath hydrogens are both
24 dropped. Does that answer your question?

25 DR. RUSSELL: Well, I am wondering if you can