

1 situ hybridization. That is easier said than done.

2 I hope you can see this, but we are looking at the
3 same comparison here in which we fixed, with Carnoy's, and
4 also added a buffer system, a hybridization buffer system,
5 that is compatible with FISH and simply stained with a Gram
6 stain.

7 But, once again, you get the picture. You can
8 see, with this sample gram stain that, indeed, the bacteria
9 are mainly caught up in this mucus web that covers the
10 epithelium. So, again, fixed with Carnoy's in an effort to
11 try to preserve the mucus layer, you get the view that,
12 indeed, you see some interaction, perhaps, with the
13 epithelial surface but, mainly, the bacteria are in this
14 mucus plug.

15 We think they are in the mucus plug because that
16 is where the host wants them to be, and the host has
17 learned, over a long period of time, how to keep bacteria
18 away from the epithelial surface.

19 Interestingly, I think, perhaps our views on
20 bacterial adhesion to the epithelium have been generated, in
21 part, by the pictures that we have been able to take.

22 [Slide.]

23 One can find very beautiful pictures such as this
24 scanning electron micrograph of, this happens to be
25 streptococci adherent on the epithelium of the chicken

1 ileum. But, again, I just want you think about the protocol
2 used to fix this prep. So these tissues are fixed with
3 glutaraldehyde, which is extremely dehydrating. So if you
4 have, indeed, a mucus layer that is filled with bacteria and
5 you take your tissue sample and you plop it in a vial of
6 glutaraldehyde, then, obviously, the mucins are going to
7 dehydrate, disappear.

8 Then, where will those bacteria go? They will
9 essentially just lay on the surface because of hydrophobic
10 interactions and then you beam this with electrons, and you
11 get this very nice picture of streptococci adhering on the
12 surface of epithelial cells. And then you begin, you go
13 home and you have dreams, or nightmares, whatever the case
14 may be, about adherent bacteria and how the host has to deal
15 with bacteria adhering on the epithelial surface.

16 I am not suggesting that, indeed, that phenomenon
17 does not exist; but I think we have to be careful in how we,
18 in effect, draw our pictures and try our best to preserve
19 tissue in its natural state because all evidence that we
20 have been able to generated and glean from the literature
21 indicates that, indeed, the host is not interested in making
22 a bed for bacteria on its surface.

23 [Slide.]

24 So at least we can conclude that bacteria are
25 associated with the mucosal surface. So then, if that is

1 the case, the host compartment first encountered would be
2 epithelium cells. So then, if one wants to ask questions
3 about how the host responds to bacteria associated with the
4 mucosal surface, then I think you must first ask questions
5 about how epithelial cells, intestine epithelial cells,
6 respond to normal bacteria.

7 What I would like to do now is just quickly show
8 you two datasets that I think are interesting and
9 illuminating in this regard.

10 [Slide.]

11 These datasets are utilizing the HT-29 cell line,
12 and we fully recognize the limitations of cell-line studies.
13 But, indeed, in such a complex system, our approach is to
14 try to take a reductionist view first and try to survey some
15 of the phenotypes, epithelial phenotypes, in response to
16 normal "gut bugs," we call them, and then try to work back
17 to a more complex system.

18 Of course, ideally, if one can afford it, you can
19 do in parallel, both in vivo-type model approaches as well
20 as in-vitro model approaches. But the next two datasets ask
21 questions relating to how *Lactobacillus plantarum*, a
22 commensal lactobacillus, modulates the expression of mucins
23 by HT-29 cells and in IL-8, in this case, one of a number of
24 cytokines that are synthesized and secreted by intestinal
25 epithelial cells.

1 [Slide.]

2 The first dataset was contributed by David Mack.
3 He is at the University of Nebraska at Omaha. The paper was
4 published in American Journal of Physiology in 1999. It is
5 a very interesting study in which he is, in part, trying to
6 understand how lactobacillus organisms may prevent the
7 adherence of enteropathogenic E. coli.

8 He is doing that by co-culture studies so HT-29
9 cells that are co-cultured either with Lactobacillus
10 plantarum 299v or with enteropathic E. coli for a short
11 period of time, isolating RNA and looking at expression of
12 mucin genes.

13 [Slide.]

14 On this left panel here, we are looking at the
15 adherence of EPEC on the Y axis and two lactobacillus
16 strains, very common lactobacillus strains, Lactobacillus
17 plantarum 299v and Lactobacillus rhamnosis GG, and measuring
18 EPEC adherence.

19 You can see, without the addition of lactobacillus
20 strains, you get this degree of EPEC adherence to the cell
21 surface. However, with the addition of Lactobacillus
22 plantarum and Lactobacillus rhamnosis, essentially a
23 complete ablation of EPEC adherence.

24 So, in the right panel, he is looking at MUC2 gene
25 expression relative to 28S ribosomal RNA gene expression in

1 response, again, to no bacteria, an E. coli strain--not EPEC
2 but a different E. coli strain--or Lactobacillus plantarum
3 299v. Here he sees a significant increase in mucin gene
4 expression in response to Lactobacillus plantarum that
5 correlates completely with the ability of Lactobacillus
6 plantarum to prevent adhesion of EPEC to the cell surface.

7 [Slide.]

8 So those data are consistent with the idea that
9 epithelial cells, specifically, perhaps, goblet cells, are
10 sensitive to regulatory cues generated by normal gut
11 bacteria and respond by increasing mucus production. That
12 observation is also consistent with the idea that it is the
13 host's intent to, indeed, to keep even these so-called good
14 bacteria away from the epithelial surface.

15 I mentioned the fact that the host is equipped
16 with second tiers of defense that enable it to respond also
17 to bacteria if the physical barrier afforded by the mucus
18 blanket becomes compromised. So we have also asked similar
19 questions except we have looked at the response of
20 epithelial cells to, again, Lactobacillus plantarum, looked
21 at cytokine responses in response to Lactobacillus
22 plantarum.

23 [Slide.]

24 So a similar assay, the same HT-29 cells. In this
25 case, we are looking at both constitutive HT-29 cells and

1 HT-29 cells that were first activated with the
2 proinflammatory cytokine, tumor-necrosis factor alpha. Then
3 we added Lactobacillus plantarum 299v. So, comparing cells
4 created either without or with TNF alpha, a pro-inflammatory
5 cytokine, and then isolating RNA for interleukin-8
6 expression, a potent neutrophil chemoattractant.

7 [Slide.]

8 One panel of data is shown here. First, IL-8
9 expression is very low or undetectable constitutively. So,
10 in non-TNF-treated HT-29 cells, IL-8 expression is very low.
11 If one treats cells with TNF-alpha, you see a significant
12 upregulation of IL-8 expression in response to TNF.

13 I will also mention that IL-8 expression was not
14 modulated by Lactobacillus plantarum 299v in constitutive
15 HT-29 cells. However, in TNF-treated HT-29 cells, you see a
16 very significant increase. By the way, this represents
17 three separate cell cultures and IL-8 expression, in
18 response to Lactobacillus plantarum 299v.

19 A similar response was not observed in response to
20 LPS and lipid tachoic acid, just two cell-wall components.
21 Also we looked at the effect of viability, and this response
22 was only noted in response to viable lactobacilli.

23 Again, just to summarize; upregulation of IL-8 in
24 response to the proinflammatory cytokine TNF-alpha and a
25 distinct potentiation of that response in the cells that

1 were also exposed to this nonpathogenic lactobacillus
2 species.

3 [Slide.]

4 So we think those data are consistent with the
5 idea that, indeed, if the mucus blanket is compromised,
6 bacteria then are able to translocated through the
7 epithelium, activating lamina-propria cells, for example,
8 macropaghes, to secrete TNF-alpha. The TNF-alpha, then,
9 would activate the epithelial cells to express yet undefined
10 receptors that make those cells very responsive to even,
11 again, normal gut bacteria.

12 That response would amplify. The inflammation
13 would be amplified using cues from normal gut bacteria to
14 rebuild this important barrier, protective barrier. So, if
15 that is the case, we need to understand the extent to which
16 the host is responsive to normal gut bacteria. We would
17 like to understand something about the regulatory cues.

18 Viability was necessary. We have had mixed
19 results with adhesion. We have blocked adhesion of
20 mannoside. Lactobacillus adheres to epithelial cells in a
21 mannose-dependent manner. We have blocked adhesion with
22 mannoside. Sometimes we see the potentiation, sometimes we
23 do not. So we are unable to conclude if adhesion is
24 necessary. But we know that viability is necessary.

25 I think we are struck with the dramatic nature of

1 the host response and we would like to understand is this an
2 unusual occurrence or is this a widespread occurrence. Just
3 how sensitive is the epithelium?

4 [Slide.]

5 There is one study that I would like to draw your
6 attention to that I think, when all is said and done in the
7 field of host microbe interactions--and, by the way, a lot
8 more needs to be done than said; so far, there has been a
9 lot more been said than done. I think this paper is going
10 to represent a seminal paper in the field.

11 I am speaking of the paper, perhaps that some of
12 you are aware of. It was published in Science in 1996 and
13 it was a very clever approach. Actually, it turns out,
14 after this paper got a lot of attention, that a Japanese
15 group had published very similar results in 1982 and they
16 contributed a letter to Science to inform the public that,
17 indeed, a similar observation had previously been
18 communicated.

19 [Slide.]

20 But I would just like to magnify the picture to
21 briefly summarize the findings because I think they
22 represent kind of the tip of the ice berg as far as the
23 dynamic nature of host-microbe interactions. This was a
24 very clever study in which the investigators inoculated
25 germ-free mice with two isogenic bacteroides strains,

1 Bacteroides theta iota omicron.

2 These were isogenic mutants, one of which the
3 mutant strain was unable to use fucose as a substrate. So
4 we are looking at two isogenic bacteroides strains, the wild
5 type which utilizes fucose as a substrate and the mutant
6 strain does not utilize fucose.

7 Then they stained the epithelium with a lectin
8 that recognizes fucosylated glycoconjugates. In the panel
9 on the left represents the mice, the germ-free mice, that
10 were inoculated with the mutant bacteroides strain that is
11 unable to utilize fucose as a substrate.

12 The panel on the right represents the mice that
13 were inoculated with the wild type bacteroides strain that
14 primarily utilizes fucose as a substrate. The observation
15 then is that, indeed, somehow, this commensal bacterium was
16 able to stimulate the host to upregulate its fucosylation
17 program providing an appropriate substrate for that
18 particular bacterium.

19 I think this observation just profoundly
20 demonstrates the dynamic nature of host-microbe
21 interactions. This paper was published in 1996. The signal
22 generated by this particular bacteroides strain has yet to
23 be identified. So, again, a very significant challenge but
24 a very interesting and dramatic observation.

25 [Slide.]

1 The model drawn by this group, Jeffrey Gordon's
2 group at Washington University, is, I think, consistent with
3 also the data from Mack and our own data illustrating that
4 commensal bacteria are likely to generate a number of
5 soluble signals, perhaps in a density-dependent fashion,
6 that are modulating gene expression by epithelial cells.

7 Most likely, the collective response of epithelial
a cells is to mount both innate and acquired barriers that
9 prevent adherence or interactions of normal bacteria with
10 the epithelium. SO, if that were to be the case, then what
11 we are 'talking about today is trying to impose upon that
12 very dynamic system, or trying to modulate that very dynamic
13 system by adding one particular organism.

14 I think, in most cases, we don't understand a
15 whole lot about that organism. Perhaps, sometimes, we can't
16 even define that organism. So I just leave you with the way
17 we see some of the challenges associated with this
18 objective.

19 Thank you.

20 DR. BENEDICT: Thank you.

-21 So let's point out that we are not behind because
22 of the speakers. We are behind because of the tardiness of
23 the actual committee.

24 We will move, now, to Dr. Clemens again who, I am
25 sure, in his normal fashion, will rip through his comments--

1 no; please don't. Please give us the full benefit of your
2 intellect. Dr. Clemens will address you on the topic before
3 you, Probiotics in Infancy, the State of Evidence.

4 **Probiotics and Infancy, the State of Evidence**

5 DR. CLEMENS: Thank you very much.

6 [Slide.]

7 You know who I am. You know where I have been.

a Do you know where I am going?

9 [Slide.]

10 It is really a kind of a pun, obviously, because
11 we have discussed, throughout the day, really the beginnings
12 and a bit of a history of probiotics. Now we are going to
13 turn the corner just a little bit. We studied a little bit
14 about mechanisms, how we assay, and what could be the
15 possibility or the application for infancy. I hope to
16 address some of the mechanisms or, perhaps, some of the
17 exposure data and some of the opportunities at the same
18 time.

19 So I have a few things to say about this. It is
20 actually interesting that Johns Hopkins University has a
21 great press service, and Dr. Jose Saavedra, better known as
22 Pepe, has this release. It is interesting that, in his
23 quoted release in Texas--notice I have highlighted areas
24 which are consistent with the various definitions that have
25 been proposed throughout the day.

1 Notice here, in Dr. Saavedra's comments, live
2 bacteria help digest lactose, prevent and treat diarrhea,
3 and improve carbohydrate digestion and control intestinal
4 infections. The question would be what is the evidence for
5 these kinds of positions, particularly in a pediatric
6 population, for example in a pediatric population to digest
7 lactose where, in fact, we have not identified any
a congenital lactase-deficient babies, particularly in North
9 America. In fact, I believe, throughout the world, we have
10 only identified 60 in the literature.

11 [Slide.]

12 Through the presentation objectives, to get you
13 involved with probiotics at all, particularly in a pediatric
14 population, we can address some of that. We also address
15 promising clinical endpoints and, as in any clinical
16 evaluation, what is the appropriate endpoint to be assessed.
17 Keep in mind what might be of statistical significance and
18 what might be of biological interest is not necessarily of
19 clinical practicality or clinical significance.

20 [Slide.]

21 What are the modes of action? Some of the modes
22 of action have been discussed today. I won't tell you
23 necessarily how, but I think it is a point of discussion
24 that we must address, particularly in a complex organism
25 such as a baby that is developing.

1 Also, safety and tolerance, how do babies respond
2 or how do young children respond, to the ingestion of these
3 kinds of food. And what are the potential scientific and
4 technical issues that are part of the whole area of
5 probiotics.

6 As has been alluded to, of course, it started,
7 really, at the turn of the century, if you will, more the
a history in this country. We look at Eli Metchnikov, who, in
9 his last ten years of his research with Pasteur Institute,
10 spent time on lactic-acid bacteria in the application of
11 digestion.

12 Dr. Archer gave you the definitions presented by
13 Parker and by Roy Fuller. The definition by Roy Fuller, of
14 course, has been used predominantly in the literature. Some
15 addition information indicated that it is a living organism.
16 I think the viability seems to be dominant in the literature
17 and digestion in certain numbers--this is the first
18 definition that addressed dosage, to get certain benefits
19 beyond inherent nutrition.

20 Then we look at it as an adjuvant, and so forth,
21 as indicated in the paper that I co-authored just a year
22 ago.

23 Why probiotics in babies. Dr. Russell indicated,
24 well, why? What is this microbial balance? What is our
25 line of decision? What is our reference point? Let's look

1 at "nature knows best." No; it is not a show but actually
2 an opportunity to take a look at it.

3 We noticed that, in a paper presented today and it
4 actually represents some really fine work by Erica Isolauri
5 and Sepalis Almidden in Finland. There is clearly a
6 difference in the microecology system of a child that either
7 conventionally delivered or delivered by C-section. Also,
8 various environments have quite an influence on how a baby
9 is fed and the environment in which that baby is fed. Some
10 of this fine work has been done by Rex's group and Rod Macki
11 and others there at the University of Illinois. I give you
12 a typical citation for that.

13 We also know that, again, some more work by Erica
14 Isolauri and presented earlier today that the bacteria may,
15 in fact, influence the development of the GI tract. In Rex'
16 group, he summarized that really fine work and published by
17 Gerald Tannock in 1999, the potential influence of GI-tract
18 development might be.

19 Here, we are talking about the development of
20 Peyer's patches, the development of IgA, and the development
21 of a maturation process to exclude, perhaps, dietary
22 antigens and, therefore, reduce the likelihood of different
23 types of allergic responses.

24 Also, effective resistance to disease; Alan
25 Walker, out of Boston, did really a fine paper in JPGN

1 earlier this year talking about cell signalling. Again, Rex
2 Gaskins has some work on that as well. Also to assess
3 normal nutrition. There is some evidence that says that
4 through either digestibility or nutrient uptake, this may
5 play an important role. This is a fine paper published
6 earlier this year.

7 Let's look at a couple of promising endpoints.
a They have been belabored, perhaps, throughout the day. I
9 won't address them in any particular sequence. But let's
10 just take a look a little bit further what the evidence
11 might be in terms of the pediatric populations.

12 Some of the mechanisms that we might be looking at
13 have been discussed today. This is not a complete list.
14 Obviously, there are some papers here the I have given you
15 and others are readily available. We talked a little bit
16 today about the acidification in the gut, the acidification
17 through the production of short-chain fatty acids, the
18 production of antimicrobials.

19 This work in actually the production, in the
20 efficacious production of bacteriocins, for example, natural
21 bacteriocins, was summarized in a paper which I helped write
22 earlier this year put out by CRC Press, a book, a thirty-
23 chapter book, in natural antimicrobials. I am sure you will
24 all rush right out and get one. It is only-about 450 pages
25 of reading.

1 Competition for nutrients; this is not only by the
2 microbes by actually only microbes. It does not influence
3 the competition for nutrients by the host. Competition for
4 receptor sites, and particularly oligosaccharides. And, of
5 course, immunomodulation. Again, Dr. Gaskins has a
6 wonderful chapter on this topic.

7 [Slide.]

a Nutrient interactions; it has been clear that some
9 of the vitamins--for instance, look at vitamin B12 and
10 vitamin K, for which we depend on microbes in our own gut as
11 adults. The question might be how much does the flora
12 impact on the nutritional status of an infant or young
13 child.

14 There is some evidence that these vitamins are, in
15 fact, synthesized by some of these organisms that may
16 benefit the development of the GI tract. Also mineral
17 absorption, particularly the work has focused on calcium,
18 iron and zinc. A microenvironment for these bacteria shows
19 that if the ionization of that environment under acidic
20 conditions that, in fact, localized iron, calcium and zinc
21 may be enhanced. The overall impact of the host, in this
22 case, an infant or child, remains to be determined.

23 Protein digestibility. It is clear that many of
24 these organisms possess proteases which there is evidence
25 that peptides are formed. I think, as has been discussed

1 briefly today, peptides are formed through the breakdown of
2 whey and casein and some of these peptides may have some
3 physiological, if not tropic, effect on the development of
4 the GI tract.

5 Carbohydrate metabolism; again, this refers to the
6 lactase. Many of the organisms which have been discussing
7 today are, in fact, beta-galactosidase-positive. Bile-salt
8 hydrolysis; this refers, of course, to fatty-acid
9 digestibility and other conjugates.

10 Nutrient composition; on competition, this refers
11 to utilization of nutrients by these microbes, and so
12 favoring the development of the friendly bacteria, if you
13 will, and not so favoring the environment for the potential
14 pathogens which are often iron-hungry, for example.

15 [Slide.]

16 Safety and tolerance; what if we were to add those
17 microbes of infant formula? I think Madeleine--I have
18 worked with Madeleine for many years and I just went brain-
19 dead earlier this morning. I apologize for that. If you
20 will just forgive me for that. What if we were to add these
21 to infant formula or to foods that are directed to the
22 pediatric population? Let's look at some of those
23 questions.

24 It is clear that children and babies are not
25 little adults. They are markedly different. Their GI

1 tracts are under development The immune system is
2 underdeveloped and going through a rapid phase of
3 development. Kids are going through rapid phases of
4 development so we have to look at growth factors that might
5 be associated or impacted by the introduction of these
6 microbes.

7 At the same time, we have the very senior adult
8 population, for example, which may have issues associated
9 with achlorhydria and also relating to a condition of
10 bacterial overgrowth.

11 What might be the population risk and what might
12 be the population benefits associated with consumption of
13 probiotics in these various groups? Is there a risk factor
14 or are there potential benefits and what is the balance of
15 those two areas, with a typical toxicological approach and a
16 safety assessment?

17 In a pediatric population, Dr. Saavedra--and I
18 will touch base with this briefly--Dr. Saavedra has a paper
19 in press. It will be published in AJCN later this year,
20 also a paper in JPGN later this year as well. It will talk
21 about growth and development. The American Academy of
22 Pediatrics, specifically looks at growth and development as
23 a pre-criterion for adequate nutrition and support.

24 Also, the question, what is the impact of
25 probiotics on the immature system of the newborn? Some of

1 this work is done by Ian Sanderson and Allen Walker
2 published in '93 and in their subsequent papers as well.
3 Obviously, I think it was Mary Ellen who commented that one
4 of the areas that has been addressed, at least in Europe, is
5 the immature immune system, also the immature gut system.
6 Some of that work has been done in Europe.

7 Also, Allen Walker has presented some of the
8 issues we are here with, preterm babies, and, perhaps, we
9 will have an opportunity to take a look at that. In terms
10 of what is the nutritive value of these organisms; do they,
11 in and of themselves, have a nutritive value? Do they have
12 some other value beyond traditional nutrition?

13 And then, which has been discussed briefly
14 throughout the day, actually the genetic stability of these
15 organisms. We talked a little bit about, and it has been
16 talked about, in terms of if you ferment these bugs up, are
17 they going to change at such a rate that you are going to
18 change the functional characteristic as well as their
19 genetic potential.

20 Gerald Tannock does an excellent overview on that
21 in his publication. To a comment made by Dr. Russell today,
22 and it has been discussed by the other speakers, the
23 antibiotic resistance of organisms--there is an excellent
24 review on this topic. Gerald Tannock, in his book, does, in
25 fact, talk about plasmins and potential antibiotic

1 resistance. Also, in that publication, the group goes on to
2 say that there are not any organisms that have blanket
3 resistance to any of the antibiotics that we know of today.

4 While they have natural resistance to some of the
5 antibiotics, none of the organisms which are under study for
6 probiotic applications have a blanket resistance to any of
7 the antibiotics which are used today in clinical practice.

a [Slide.]

9 You have seen a list of these. If you look at all
10 the probiotic studies that have been conducted and reported
11 in all the literature, and I have over a thousand of them,
12 these are the organisms which have received the greatest
13 attention and to a point that is made throughout the day,
14 starting from Doug Archer's comments, many of the organisms,
15 with few exceptions, have they gone so far as to identify
16 the strain, have actually had the molecular tools to
17 identify specifically the strain.

18 To the credit of Dr. Saavedra and others, the fact
19 that the *B. lactis*, now known as Bb12 from Chris Hanson,
20 has, in fact, looked at genetic makeup and characterized
21 that to the fullest.

22 You see, generically, *Strep thermophilus* very
23 much in the literature. Very seldom, however, have you seen
24 the variation presented, the strain presented. And then
25 *Saccharomyces boulardii*. A comment earlier today--I believe

1 Dr. Russell made a comment, "What about HIV of AIDS
2 patients?" if I recall, correctly,

3 There have been three studies with this population
4 group, and perhaps we will have a chance to address that a
5 little bit later.

6 [Slide.]

7 This is a page on your printout that doesn't show
a because it was in yellow, so the numbers are gone. I did a
9 survey with my colleagues. I did all the literature that
10 was printed, that I was aware of, between 1961 and 1998.
11 There are, of course, papers which I may not be aware of but
12 Norraine, Wayne and myself reviewed every single reprint
13 that we could find.

14 You can see, in this case, we found, up through
15 1998, when this was submitted to the publisher, we had about
16 8,000 subjects in clinical research, about 3100, almost
17 3200, infants and children in clinical research.

18 I know of several studies that are about to be
19 published later this year. A study that just was completed
20 in Finland, really an outstanding study by Erica Isolauri
21 and others. So almost 3200 subjects of infants and
22 children, that will clearly tip over 4,000 infants and
23 children when those papers are published later this year and
24 the first of next year.

25 So our total exposure of the clinical study has

1 been on adults, infants and children. The numbers are **very**,
2 very rapidly approaching 9,000 subjects. **Yes**; they are
3 varying lengths. They are varying credibility. And they
4 have taken two approaches, both of a prophylactic basis and
5 that of a therapeutic trial.

6 [Slide.]

7 As Dr. Sanders had indicated, many of those of you
8 who are addressing clinical trials, these studies have been
9 of varying lengths, different ages. We have had some
10 studies that have been three or four days with severe
11 diarrhea. For example, we have had some studies as long as
12 three or four years of varying ages. Particularly in a
13 pediatric population, we look at kids who were given these
14 probiotics when they are pre-term babies, all the way up
15 through birth, obviously and then through twenty years or so
16 of age.

17 Sample sizes are quite variable from the sizes of
18 four, for our case histories, to sample sizes of several
19 hundred, like the study in Finland that just was completed.
20 Culture and strain; they have not been readily identified in
21 many of the studies. The studies that have been printed in
22 the 1990s are much better at this. We didn't have the tools
23 really prior to that time, or were not exercised by the
24 principle investigators.

25 Often, in the publication, we don't know what the

1 feeding dosage is. But, in the more recent studies, that
2 information is readily available. Also, the daily exposure
3 is readily available in the newer studies.

4 Study endpoints. Some of the study endpoints may
5 not be clinically relevant but sometimes there is
6 serendipity and we get some endpoints that might be of
7 biological interest that are of clinical relevance. We will
8 take a look at a few of those.

9 Interestingly enough, however, if you look at all
10 the studies--obviously, I have reviewed over 150 studies;
11 now that number is approaching 200. And I believe Dr.
12 Sanders and others have pointed out that no adverse effects
13 have ever been reported, none whatsoever. This is true even
14 in HIV-positive patients or subjects.

15 In studies with *Saccharomyces boulardii* and other
16 studies with *Lactobacillus* GG, for example, no adverse
17 events have been reported. In Dr. Saavedra's own study, he
18 had three patients in the study in 1994, and neither one of
19 those patients presented any secondary symptoms to potential
20 infection by the organism of choice. Of course, the study
21 results are quite variable throughout the literature.

22 In brief, there is always a question, what about
23 the virulence, Rog. Reported today by Gasser, Donohue and
24 others, there have been some compromised subjects and they
25 will sometimes present a history. These subjects have often

1 been compromised already and you will isolate these
2 organisms.

3 Some two really outstanding studies, one in Canada
4 and one, a review of work that was done in Paris, show
5 between 0, in one study, and 0.2 percent in others, those
6 patients which presented septicemia, lactobacillus that were
7 actually isolated in the blood culture.

8 [Slide.]

9 They did not say that it was an increased risk,
10 but these organisms were isolated. There is no information
11 that any of the probiotic strains that have been discussed
12 and researched in clinical perspective have presented any
13 toxins or poisonous substances as assessed through normal
14 toxicological methodologies.

15 In fact, none of the bacteria have presented
16 anything among high-risk populations. Those patients which
17 come from parents that have a history of allergies and they,
18 themselves, may present--say, peanut allergies, milk
19 allergies, dust-mite allergies and so forth--none of these
20 subjects has presented any symptoms, in terms of allergic
21 symptoms relative to the presentation of these bacteria.

22 In fact, the work by Erica Isolauri and others are
23 just looking the way, in fact. In the most recent study,
24 they are looking at eczema as a potential outcome, as a
25 potential benefit of consuming certain probiotic strains.

1 [Slide. 1

2 The other aspects of adverse events that we
3 reported in the literature in pediatric studies; here we
4 have looked at well over 100 pediatric studies in all ages
5 in pediatrics. No adverse events have ever been reported.
6 Even the most severely compromised, and I referred to the
7 Gallardi, Guandini, for example. That was a multicenter
8 study throughout Europe where kids who had C. difficile,
9 rotavirus infection, chronic diarrhea. Again, in those
10 studies, none of the kids presented any adverse events.

11 Adult studies; typically, if you see something,
12 usually the subject has an underlying disease. We are not
13 aware of any pathogenesis in normalized healthy adults,
14 certainly not in pregnant women. None has been reported,
15 anyway. We certainly have seen a plethora of exposure data
16 and nothing has been deemed to be pathogenic.

17 And no case has been linked, significantly linked,
18 or case linked to the consumption of fermented foods or
19 those with lactic-acid bacteria even though that one study
20 with rhamnosis, I think Mary Ellen alluded to that, reported
21 in 1999, there was also a history of additional hepatic
22 abscesses reported in the literature but none linked, per
23 se, to the consumption of foods with lactic-acid bacteria.

24 [Slide.]

25 With that as a brief background, an exhausting

1 background, I would like to address two studies that were
2 conducted at Johns Hopkins University under the direction of
3 Dr. Saavedra. One of the first studies deals with high-risk
4 patients dealing with diarrhea management and prevention of
5 diarrhea, and the second one deals with day-care centers.

6 You might ask why these particular subjects.
7 First of all, rotoviral diarrhea accounts for the majority
8 of diarrhea in pediatric subjects. Over 500 kids in the
9 United States die from rotoviral diarrhea disease and to the
10 cost of the health-care system of \$2 billion a year for
11 health care.

12 In day-care centers, you know, if you have had
13 children, you know that when you take Johnny and Sally to
14 the day-care center, what happens. Usually, in a very short
15 period of time, Johnny and Sally present diarrhea as the
16 ingested microflora. So the question might be, is there an
17 opportunity here to decrease the incidence of diarrhea in
18 these kids.

19 [Slide.]

20 It is clear, if you look at the infant-formula
21 regulations in collaboration with the FDA and the infant-
22 formula industry, you see here these criteria are rather
23 self-evident. In a publication by the FDA in 1997, written
24 by Chris Lewis who is still with the FDA, it is quite clear,
25 and everyone who has dealt with infants agrees that infant

1 formula never will match that of human milk and there should
2 not be any attempt to, that is in composition.

3 But the question might be can you make some
4 modifications to these kinds of products for functional
5 endpoints. Let's look at some of those functional
6 endpoints.

7 [Slide. 1

8 In Dr. Saavedra's first paper, and let me
9 summarize this very briefly, in this particular case, we had
10 kids five to twenty-four months of age. I think Dr. Sigman-
11 Grant will appreciate this. This met all the criticisms of
12 previous work. In fact, this is a randomized double-blind
13 placebo-controlled trial with children from five to twenty-
14 four months of age, 55 kids, evenly distributed between a
15 control and study group.

16 This was in a high-risk population at Mt.
17 Washington facilities outside of Baltimore. These were in a
18 chronic-care facility.

19 In this case, some of the patients were exposed to
20 up to seventeen months of product, getting nearly 4500
21 patient days. In this case, they were exposed to B.
22 bifidum, now known as B. lactis, and thermophilous TH4
23 organisms on a daily basis throughout their stay at the
24 hospital.

25 [Slide. |

1 The incidence of diarrhea was markedly decreased,
2 significantly decreased, in the supplemented group of
3 roughly 7 percent versus 31 percent. Also, in fact, the
4 rotavirus setting was markedly decreased, which was
5 significant, particularly from a public-health perspective.

6 [Slide.]

7 So, in this particular study, it was important to
8 note that even in high-risk kids, they did not present any
9 intolerance problems and, in fact, their product intake was
10 normally consistent with both the control and the subject
11 and study groups, and also they actually improved their
12 nutritional status.

13 They didn't show any disease scores here, but if
14 you will look at the paper, itself, these kids went from a
15 negative-3 Z-score up to a negative 1 or negative 2 Z-score.
16 Keep in mind that these kids were particularly nutritionally
17 compromised at the beginning of the study.

18 So, in fact, they increased their Z-scores. There
19 was a marked improvement.

20 [Slide.]

21 Secondly, and in general from this particular
22 study, even with high-risk subjects with chronic illness,
23 they showed that this product was well received and is well
24 tolerated. We believe, because I was part of that clinical
25 study, that the chemical endpoints, in terms of diarrhea

1 management, were met. And if you see the microfloral
2 balance--Dr. Russell has asked, what is the microflora
3 balance.

4 In this case, you are looking at a balance that
5 might be adopted with babies right after that first few
6 hours or first few days of birth. It was presented earlier
7 today that that balance really is dominated by a
8 lactobacillus and also by bifidobacteria. That might be the
9 balance which we are trying to achieve, or one might try to
10 achieve through probiotics.

11 [Slide.]

12 In the day-care study, this study is yet to be
13 published. It was presented at NAS, began just two years
14 ago. In this particular case, the infants were introduced
15 to the product at entry, at seven months of age. A large
16 number of subjects in this particular case increased by
17 five-fold the number of exposure days. And now we are up to
18 68 subject years to exposure versus 12 in the last study and
19 it is the same organisms that were used in the previous
20 study.

21 [Slide.]

22 This will be the first study in which actual
23 growth data are presented. Many of the studies that were
24 out there that said that normal growth was experienced will
25 show is the data. Now, in God we trust, and everybody else

1 must have data.

2 In fact, very few, if none, of the previous
3 investigators presented data. Dr. Saavedra has presented
4 data. This is just a sample of the data. These are using
5 the Year 2000 CDC charts on growth. I took the subject raw
6 data and plotted them. You can see that if you look at the
7 general pattern for all these infants, they follow the
8 traditional growth curve just established a few months ago.

9 Actually, you see that one subject which was below
10 the chart, below the third percentile, markedly improved and
11 tracked a reasonable percentile for that child.

12 [Slide.]

13 We also looked at intake for this particular case.
14 All the probiotics were assessed throughout the study both
15 in the product, itself-- this was assessed quarterly--and
16 also all the product **was** assessed for microbial growth for
17 these organisms when the formula was taken home and returned
18 and all those samples were analyzed to look at exactly what
19 was taken in.

20 Here is a plot indicting that the subjects were
21 consuming anywhere from 10^8 to 10^5 organisms per kilogram of
22 body weight. No impact whatsoever in terms of a negative
23 outcome but, perhaps, a positive impact in terms of clinical
24 outcomes.

25 [Slide.]

1 Here is one of the positive outcomes. In fact, we
2 had a decrease in bm's with kids that were supplemented.
3 This was quite significant based on the sample size versus
4 that of the placebo, and what is the significance of
5 decreased bm's. They know this was not due to constipation,
6 as some of you might rush into.

7 [Slide.]

8 But we did examine the diaper rash, the incidence
9 of diaper rash and the frequency of diaper rash and it
10 showed, in this particular case base, that there was a
11 decreased incidence of diaper rash with the decreased bm's.

12 You might expect that to be rather obvious, but it
13 had not, in fact, been documented and this was an
14 observation from the first study and was now clinically
15 demonstrated in the second study.

16 [Slide.]

17 The bottom line with this particular study, the
18 products that were formulated, at this point in time, and
19 monitored throughout the study, there was decreased
20 prevalence of diaper rash and, perhaps, more desirable stool
21 pattern. This stool pattern mimicked that of a breast-fed
22 child.

23 [Slide.]

24 It is clear, for the first time, we had the
25 evidence that the kids grew normally. We had the direct

1 **data.** There were not any differences in consumption and
2 there weren't any tolerances, there weren't any aversion to
3 the product with these microbes. In fact, there were not
4 any differences in clinical manifestations, both in GI
5 health-care visits, the use of antibiotics or any type of
6 therapy by a health-care center and due to illness, which
7 you might expect in a day-care center.

8 [Slide.]

9 There **are** other clinical trials in progress.
10 There are many of them. Let me just give you a nutshell.
11 Give me two more minutes. Immune-modulation; there is a
12 great deal of work--Dr. Gaskins has truly outstanding work
13 in immune-modulation. There is some really excellent work
14 looking at upper-respiratory infection in otitis,
15 particularly in the pediatric population.

16 There is really a large study going on in Europe
17 looking at allergy reduction using probiotics, particularly
18 eczema, as an outcome. There are some studies underway to
19 examine nitrogen balance. Even though we have demonstrated
20 that babies grew normally, what is the impact of nitrogen
21 balance on babies, particularly newborns. So that work has
22 not been done.

23 What is the impact of mineral balance. We had
24 indicated that calcium, zinc and iron may be enhanced. Any
25 absorption of those minerals may be enhanced, but what is

1 the impact on balance. That information has yet to be done
2 in the pediatric population. Different gas production and
3 flatulence has yet to be reviewed.

4 There is a very large study underway right now
5 with the pediatric population in inflammatory bowel disease,
6 particularly looking at Crohn's relapse. That is a
7 multicenter study being conducted here in the United States.
8 And some really fine work with probiotics in H. pylori and
9 ulcerative colitis.

10 [Slide.]

11 Lastly, two graphics here. Clearly, it has been
12 indicated in the presentations so far and, really,
13 reiterated in Todd Klaenhammer's work at North Carolina
14 State University, historically, the species and strains have
15 not been well-identified. I think, in the new studies, in
16 fact, this is turning around and that, using modern
17 techniques, you will see that they are being better
18 identified and be able to be traced.

19 There are multiple probiotic expectations. What
20 /is the active principal mechanism yet to be identified. Is
21 it cell-wall debris? Is it enzymes? Is it fermentation
22 products or other components that are involved with the
23 cell? We don't know all the mechanisms but many mechanisms
24 have been identified and any others remain to be elucidated.

25 Clearly, as Todd points out, historically, we have

1 had inadequate designs. They are, in fact, expensive. I
2 can attest to that, having funded a couple of them. Poor
3 statistics in many of them, but that is changing. More and
4 more studies are being conducted. I know Dr. Vanderhoof,
5 who I think is in the audience somewhere--John is involved
6 with several of the studies and some very credible work is
7 now being done.

8 So these issues, while they are expensive, the
9 statistics are much better and principles are being
10 identified. But, technically speaking, from a manufacturing
11 perspective, if you were to produce this in a product, in a
12 food product, particularly, you might say, can we isolate
13 that so it doesn't get into other foods. If you are
14 producing a bar or a beverage, you certainly do not want to
15 increase the SPC.

16 So that needs to be contained. That is a
17 manufacturing issue. Also, as has been pointed out today--
18 Dr. Russell and others have pointed it out--what is the
19 dose. How do you assure that the dose is right and that it
20 doesn't overdose and what is an overdose. We can talk about
21 that later.

22 The homogeneity; is it going to be the same
23 throughout the product? Physical stability; how does it
24 impact the stability of the organism versus the stability of
25 the product. That remains to be determined. Does that

1 matrix have any impact on the genetic stability of the
2 organism. As part of that genetic stability, is the
3 functional stability still intact.

4 As was pointed out by Dr. Gaskins a few moments
5 ago, we need to be able to assess the functional
6 characteristics, too, and fermentation versus in vivo
7 functionality may, in fact, be different.

8 [Slide.]

9 Lastly, we need to identify differential
10 microbiologies more than a dilution effect, and as been
11 pointed out by Dr. Sanders and others, in fact, by isolation
12 of microbes, we often will put antibiotics to select
13 different bacteria. Are we going to, in fact, be able to do
14 that when we look at probiotics?

15 Also, we are looking at orders of magnitude and
16 difference here. If you look at some of these organisms,
17 from 1 to 10 per 500 grams, and now we are going to look at,
18 say 10^{10} in 500 grams, so there is quite a bit of
19 difference, which leads us to the next issue.

20 If you look at the Federal Register, as published
21 in 1996, a maximal load in a powdered infant formula, for
22 example, was suggested at 10^4 . But here, in fact, for an
23 efficacious dose, it may well be in the order of 10^8 to 10^{10} .
24 So, again, how do you differentiate the probiotics at a load
25 that is several orders of magnitude larger than the APC as

1 stipulated by the regulations.

2 [Slide. 1

3 Evidence of safety; historically, we have not seen
4 any outbreaks in the pediatric population, even in the most
5 vulnerable population. I think what we have seen,
6 historically in the literature, is, in fact, the more
7 compromised the pediatric population, the results are more
8 definitive. We have not seen that to be the case in
9 typically healthy kids, although we have not seen any
10 outbreaks.

11 As indicated by Dr. Archer, B. dentium was
12 identified as one of those bifidobacteria that should not be
13 considered to be safe. But it is not found in the food
14 supply and, to a point by Dr. Russell, we have seen several
15 studies by AIDS patients and SKIDs patient, but none of
16 those patients have presented any--have we seen any
17 microbial outbreaks and we do not have any data on those
18 patients with chemotherapy.

19 [Slide.]

20 Two slides; we see that products may be beneficial
21 for kids. If you look at outcomes, clinical outcomes, there
22 may be a rationale that if you can decrease the incidence of
23 certain kinds of disease to match so that we have the flora
24 of a breast-fed child.

25 [Slide.]

1 Here I am, cycling down Haleakela which is in Maui
2 with my family.

3 With that I close. I do have fun.

4 DR. BENEDICT: Thank you.

5 Let us ask Dr. Gaskins to join Dr. Clemens in the
6 vicinity of a microphone. We can begin questioning. We are
7 not that far behind, folks.

8 **Questions and Answers**

9 DR. BENEDICT: Dr. Sigman-Grant?

10 DR. SIGMAN-GRANT: This is for Roger. The day-
11 care study, was the only difference--or how well maintained
12 were the outcomes based on the only difference being the
13 type of formula received? Was there nothing else different
14 between these groups?

15 DR. CLEMENS: The only difference in that
16 particular--there were three formulas administered in that
17 particular study. The two formulas were supplemented at
18 different levels, as indicated by placebo control. That was
19 the only difference between the two products, and the
20 consumption of formula between those three groups was not
21 any different.

22 DR. SIGMAN-GRANT: Was the formula consumed at the
23 day-care center or was the formula sent home with the child?

24 DR. CLEMENS: All of the above. It was
25 administered through its normal routine, both at the day-

1 care center, if they were on formula at the time, as well as
2 it was consumed at home.

3 DR. SIGMAN-GRANT: So the treated and nontreated,
4 experimental and control, everything else about their
5 environment was exactly the same?

6 DR. CLEMENS: Exactly the same. It was as if in a
7 real-life, real-use, type of environment. Yes.

8 DR. BENEDICT: Dr. Russell?

9 DR. RUSSELL: Dr. Gaskins, thank you very much for
10 the talk. I was wondering, when you heat an organism, a
11 probiotic, to immune-modulate this intricate system you have
12 described, have there been studies to know how sustainable
13 that modulation is over time?

14 In other words, does the system demodulate after
15 while even if you keep feeding--I don't know the right words
16 here, but even if you keep feeding the same probiotic? Over
17 time, does it go back to where it was before or does it
18 adapt?

19 DR. GASKINS: Actually, I am not aware of such
20 temporal studies. I am not aware.

21 DR. RUSSELL: How long have the studies been done
22 where you look and you can demonstrate that immune-
23 modulatory effect? Is it a month or two?

24 DR. GASKINS: I think it varies widely but,
25 typically, the outcomes are measured only a few times. So,

1 for example, the T-cell assays and T-cell proliferation and
2 so forth. I am not aware of studies in which they have
3 traced, at the same time, persistence of the dose microbe
4 and an immune response.

5 DR. BENEDICT: You would probably happily
6 speculate about the existence of memory T- and B-cells,
7 though, wouldn't you?

8 DR. GASKINS: So, again, greater than 50 percent
9 of secretory IgA is directed against normal bacterial
10 antigens, or antigens from normal gut bacteria. So,
11 clearly, that system is primed against those microbes. I am
12 also not aware of studies that have looked at secretory IgA
13 responses to dose probiotic bacteria.

14 DR. RUSSELL: It seems to me that, just as a
15 follow up, if you are feeding one organism the amounts that
16 we have been talking about, compared to the amounts that are
17 in the colon, for example, that it seems like a drop in the
18 bucket and that it might demodulate over time.

19 But, as you said, even though you might get an
20 acute effect because something new has come into the system,
21 but I wonder how sustainable that would be.

22 DR. GASKINS: I am not sure on sustainability,
23 but certainly organisms differ in their relative
24 antigenicity. So we have tried to survey that in the paper,
25 comparing germ-free responses or responses of germ-free

1 animals to different bacteria. You do find that relative
2 conventionalization parameters differ according to the
3 bacteria dosed.

4 I am not sure how easy it would be to categorize
5 bacteria based on their relative antigenicity because the
6 measures are so vastly different. But such a systematic
7 approach could be taken and, perhaps, should be taken.

8 DR. BENEDICT: Dr. Russell, again.

9 DR. RUSSELL: Again, I suppose the reason I am
10 honing in on this is that I am interested in inflammatory
11 bowel disease. I am wondering how--here you have a system
12 where you want to decrease the immune response, perhaps, to
13 a protein being made by some innate bacteria. I think that
14 is one of the theories of how a probiotic might work, is
15 that it is somehow decreasing the activity of the numbers of
16 some "harmful" bacteria that is making some antigenic
17 product that you are trying to deemphasize.

18 So I am wondering, isn't that an important
19 property to try to learn how to characterize the
20 antigenicity of the probiotic?

21 DR. GASKINS: I think the first--so, for example,
22 if the host response to normal bacteria by increasing mucous
23 production, then that would also be consistent with the
24 anecdotal evidence from IBD studies in that, in effect, what
25 one is doing is increasing barrier function to prevent

1 translocation of bacteria to which the host has already
2 mounted an immune response.

3 So, in other words, memory cells are present. But
4 if you can effectively prevent those bacterial cells from
5 translocating, then you can effectively prevent acquired
6 immune responses to those bacteria. That is also consistent
7 with the bouts of disease activity and relapse, and so
8 forth.

9 In other words, I think the relative barrier
10 function is key as to explaining--it appears most clear
11 that, indeed, at least some fraction of IBD or the immune
12 responses are directed against normal bacteria and so you
13 are aware of all of the studies with the knockout inbred
14 mouse models, the knockout models, that spontaneously
15 develop colitis. None of those models develop IBD or
16 colitis in the germ-free state.

17 But, first, of course, the host had to be exposed
18 to normal bacteria to mount the response. And then, after
19 that, barrier function becomes very important. So it could
20 be that this adjuvant type effect that we were talking
21 about--and I think the observations that Mack has
22 communicated are consistent with that; in other words,
23 potentially explaining the mechanism of this so-called
24 adjuvant effect.

25 So, in that sense, the goodness of that response

1 is the relative activation of immunity. So you kind of get
2 a bystander type effect.

3 DR. BENEDICT: Dr. Cohen?

4 DR. COHEN: I am also interested in this issue of
5 sort of temporal, the question, because a lot of the things
6 that you are talking about seem to be related to short-term
7 exposure. But are there models of other antigenic exposures
8 that are chronic exposure to the gastrointestinal tract that
9 allow you to evaluate either histologic changes, biochemical
10 changes, the issue of functioning as an adjuvant.

11 The concept of long-term exposure with one of
12 these agents raises a variety of questions about those kinds
13 of impacts and are there models that you could extrapolate
14 from?

15 DR. GASKINS: Of course, with a defined antigen,
16 then one can measure memory. That is how it is
17 traditionally done. The problem is, of course, there are
18 very few defined antigens that correspond to common
19 probiotic strains. So if memory cells are generated in
20 response to antigens associated with probiotic organisms,
21 then you could very simply determine the persistence of that
22 response.

23 I think that varies according to the nature of the
24 antigen.

25 DR. COHEN: So, in some instances, if I interpret

1 what you are saying, it is not predictable that an antigenic
2 response will either continue to be upmodulated or will be
3 downmodulated over time. It would depend on a variety of
4 other factors.

5 DR. GASKINS: So an overt immune response against
6 an antigen associated with a bacteria I think, if
7 persistent, will relate to the nature of the antigen. I
8 mean, typically they are long-lived. In other words, the
9 memory cell is present. The adjuvant-type effect, I think,
10 is short-term, dependent on the signals that are contributed
11 by those bacteria.

12 So, to generate this type of bystander activation,
13 I think you need the organism present to achieve that. But
14 once you have generated a memory cell against an antigen
15 then, of course, that memory cell is going to be present for
16 some period of time. That seems to vary, at least the work
17 I am aware of, according to the nature of the antigen.

18 DR. BENEDICT: Dr. Buchanan?

19 DR. BUCHANAN: This is a question for Dr. Clemens.
20 It has to do about the sustainability of the responses we
21 are seeing. I would like to focus here on the attributes
22 associated with the prevention of disease due to pathogens.
23 If we look at national disease statistics, they are
24 amazingly consistent among the developed countries.

25 This includes North America, Western Europe and

1 Japan. We have two of the three areas, these products are
2 consumed quite extensively. Is there any indication, at
3 all, in terms of a long-term sustained effect that these
4 products have had, have they either decreased the incidence
5 of disease or decreased the severity of disease when it
6 happens?

7 My impression is that it doesn't jump to the fore
8 in my remembering disease statistics. But do you have any
9 more additional information on that?

10 DR. CLEMENS: That is a good question, Dr.
11 Buchanan. There have been a number of studies, small
12 studies, particularly those with diarrheal studies, both in
13 this country and across in Europe and other parts of the
14 world that are suggestive that, in fact, you can decrease
15 the incidence of diarrheal disease regardless of the cause
16 and, in many cases, you can decrease the severity.

17 Now, in terms of a long-term effect, to what Dr.
18 Gaskins was saying, that has not been assessed. During the
19 incidence by the continued consumption of this, during that
20 period, such as the day-care center in Bangkok and Thailand
21 or in Shanghai, it has been demonstrated that, in fact, it
22 decreased the severity and it decreased the incidence.

23 But still there are kids that, obviously, present
24 diarrheal disease, for example. Will that go away? If you
25 stop taking the organism, does the incidence go back up or

1 does the severity go back up? I think the data would
2 suggest that it probably does.

3 If you look at those kids that were given oral
4 rehydration solution, particularly in that multicenter
5 study, had rotoviral infection, Salmonella infections, E.
6 coli and C. difficile, they all improved. The question is,
7 will they have the same incidence.

a During the study period, those five years, the
9 incidence of diarrheal disease was markedly decreased and,
10 certainly, by hospital stay. You decrease hospital stay by
11 a day to a day and a half. If you multiply that by the
12 thousands of kids who present, the answer is it may have a
13 temporary effect or a transient effect.

14 But we do not now if, in fact, it has a lasting
15 effect at this time.

16 DR. BENEDICT: I am not sure I even want to ask
17 this question, but either of you could address it. I am
18 wondering about model systems for infant formula. Given
19 what is at least my understanding, that the antibodies that
20 arise, that allow us to do blood typing, are essentially
21 against enteric organisms, the opposite ones being tolerized
22 away.

23 So the question that I have is do we know how long
24 it takes these antibodies to appear and if, in fact, we know
25 how long it takes, can we test the infant formula for the

1 affect on this naturally occurring immune phenomenon and see
2 if we shift the response one way or the other?

3 DR. CLEMENS: Could the work be done? Yes. Has
4 the work been done? No.

5 DR. BENEDICT: Thank you.

6 DR. CLEMENS: Clearly, a lot of these outcomes,
7 and I think of the work that Dr. Gaskins has done, and
8 others, in terms of immunological responses, it is usually
9 speculative based on some animal models, both in mice and
10 pigs in particular.

11 The IgA model, for instance, was examined in a
12 study in Shanghai reported by Fernande Hashke a year or so
13 ago, if I recall correctly, in a German publication. That
14 was salivary IgA. The question is is salivary IgA the
15 appropriate assessment for IgA production from the systemic
16 perspective and that would concern the question of
17 methodology.

18 DR. BENEDICT: Dr. Sigman-Grant?

19 DR. SIGMAN-GRANT: Again, this is for Dr. Clemens.
20 Given the state of the art, as you have it now, the evidence
21 about the immune-modulation with URI and otitis media, do
22 you think that a claim that addition of these probiotics to
23 infant foods is warranted, in particular, for those two
24 conditions?

25 DR. CLEMENS: The preponderance of the evidence is

1 really associated with GI function and diarrheal management,
2 if not diarrheal prevention. The evidence, in terms of gut
3 signalling or cell signalling with mucosal cells is
4 preliminary at this point in time, in my opinion.

5 The evidence is rather intriguing that has been
6 presented so far because we have been looking at the breast-
7 fed child. If that is your model system, I think it is a
8 good model, frankly.

9 DR. SIGMAN-GRANT: It has worked.

10 DR. CLEMENS: You agree with that, I suspect.

11 DR. SIGMAN-GRANT: Yes.

12 DR. CLEMENS: Clearly, if you look at the breast-
13 fed child versus the child that is infant-formula-fed, that
14 URI and otitis are clearly much higher in the formula-fed
15 child. And then the question becomes why. You can
16 speculate for a number of reasons, I am sure.

17 One of them might be that, in fact, the GI makeup
18 is different. The maturation process of the GI is tract
19 different and, therefore, one could speculate that, based on
20 cell signalling and the presence of a variety of things such
21 as probiotics, such as a lot of other things, may, in fact,
22 modulate response, mucosal response, in URI and otitis.

23 It is clear that, if you look at prebiotics, these
24 compounds which were readily identified in one of the
25 presentations today, that breast milk contains over 130

1 different oligosaccharides at micromolar amounts. What role
2 do they play? We don't know. It is speculation at this
3 point in time versus what is available on the American
4 market today. It is quite limited, as you know.

5 But it is interesting, that, in fact, parents and
6 physicians are very much interested in URI and otitis, more
7 so than they are in diarrhea. I don't know why. But I
8 think, right now, the evidence that URI and otitis is
9 interesting, yet certainly not definitive and certainly
10 warrants further investigation.

11 DR. SIGMAN-GRANT: Thank you.

12 DR. BENEDICT: This question is mostly for Dr.
13 Gaskins, but Dr. Clemens could also respond. Thank you for
14 a very nice presentation. When you were talking about the
15 common mucosal immune system and mentioning migration of
16 effects, does this mean, or at least could you please
17 comment on, the participation of tonsil and saliva and
18 potential models for measuring effects without being too
19 invasive.

20 Could we use tonsil T- and B-cells? Could we use
21 saliva? How close will they get to modeling what is going
22 on in various, or any, portions of the gut system?

23 DR. GASKINS: Do you mean to determine if you have
24 T- or B-cells responsive against the probiotic or against
25 normal intestinal bacteria?

1 DR. BENEDICT: just probably any and all. I would
2 like to get a feel for what the tonsils, the various sets of
3 tonsils, reflect and for what comes out in the saliva
4 relative to the gut, normal or probiotic or disease,
5 anything.

6 DR. GASKINS: Certainly, B-cells migrate to
7 tonsils, so I would guess yes. I am not aware of data, but
8 it sounds like a reasonable approach. All of the epithelial
9 tissues are comprised of B-cells, for example, that are
10 differentiated in Peyer's patches.

11 DR. BENEDICT: Do you know of any information on
12 saliva for antibody--

13 DR. GASKINS: Secretory IgA?

14 DR. BENEDICT: Yes.

15 DR. GASKINS: The levels are very high. I am
16 unable to give you a number.

17 DR. BENEDICT: No; certainly. But I mean if you
18 look in saliva as Dr. Clemens suggested a moment ago, could
19 we expect to look for probiotic reactive antibodies there?

20 DR. GASKINS: Yes.

21 DR. BENEDICT: Would you speculate this came from
22 the gut or came from exposure in the buccal cavity to some
23 of the various lymphoid systems?

24 DR. GASKINS: I would like to see the data and
25 speculate from that, but B-cell differentiation is appearing

1 in Peyer's patches. So I would have to guess from the gut.

2 DR. BENEDICT: Is it not also occurring in the
3 tonsils, though? There are follicular areas in the tonsil.

4 DR. GASKINS: For IgA differentiation?

5 DR. BENEDICT: This, I don't know.

6 DR. GASKINS: I don't think so. Certainly, B-cell
7 development in tonsils, but I don't think--IgA development
8 seems to be unique to Peyer's patches due to the cytokine,
9 combinatory cytokine concentrations found there; for
10 example, high concentrations of IL-5 and TFG beta.

11 DR. BENEDICT: Thank you.

12 Dr. Clemens, do you have anything else to add to
13 that?

14 DR. CLEMENS: In that particular study, you may
15 have read it, by Dr. Hashke and others, there weren't any
16 differences in the IgA that they assessed, but IgA, perhaps,
17 could be very specific to the antigen and that was not
18 addressed.

19 DR. BENEDICT: Anyone else? Dr. Russell?

20 DR. RUSSELL: This is for Dr. Clemens. In your
21 slide of "nature knows best," you spoke, or listed, about
22 assisting in normal nutrition. Were you referring, there,
23 to the increase, or possible increase, of mineral absorption
24 brought about, that you have mentioned, by probiotics?

25 DR. CLEMENS: Yes; there is actually a paper that

1 was published in Nutrition Research last year and others
2 have suggested that, perhaps, in a microenvironment, that
3 there may be some assistance in normal nutrition through
4 either calcium, iron and zinc absorption or in the
5 hydrolysis of carbohydrates and protein.

6 That is to what I was referring. But the
7 significance of that contribution remains to be determined.
a It is interesting to speculate, and perhaps it is dangerous
9 to speculate, that isn't it interesting if you look at, say,
10 the mineral content of breast milk, for example, and iron,
11 for example, is a classic, or zinc or that of calcium, it is
12 much lower than you find in infant formula today.

13 The question is, it is a bioavailability issue.
14 Is part of the bioavailability based on the buffering
15 capacity. I think Dr. Benedict used the word "buffering
16 capacity" this morning. If you look at the buffering
17 capacity of a breast-fed, the milieu of the breast-fed,
18 child in the stomach, for example, versus that of a formula-
19 fed, it is markedly different.

20 The protein level and the nature of that protein
21 in breast milk is markedly different from that which is
22 typically used in infant formula. I can't help but think,
23 and I don't have the evidence, that it may have some impact
24 in terms of the potential availability of nutrients.

25 DR. RUSSELL: Some of these probiotics, I think we

1 heard this morning, compete with other bacteria for iron,
2 for example. **But**, evidently, they don't compete for iron
3 crossing the epithelial cells. Is that the--

4 DR. CLEMENS: I believe that is true. There isn't
5 any evidence in any of these long-term-fed kids that they
6 present any signs, symptoms, of anemia or zinc deficiency.
7 As a matter of fact, if you look at the length data, if you
8 assume that as a possible indicator of calcium deposition in
9 normal skeletal growth, in fact, all these kids are normal
10 in terms of length for age.

11 DR. RUSSELL: As a follow up, with regard to the
12 vitamin synthesis by **some** probiotic organisms, vitamin
13 synthesis can take place with so many organisms in the
14 normal GI tract, but you mentioned specifically vitamin K.
15 That has been looked at in the adult, that vitamin K
16 synthesized by intestinal microorganisms really contributes
17 very, very little, if anything, to vitamin K nutriture.

18 We used to think it contributed a lot. Is that
19 different in the infant that, in fact, we know that the
20 organisms do contribute a lot to vitamin K nutriture?

21 DR. CLEMENS: It is a good question, Dr. Russell.
22 Actually, we don't have direct evidence. If you look at the
23 vitamin status of these kids as well as the mineral status
24 of the kids, it doesn't appear to be one way or the other,
25 as a matter of fact.

1 Director of the Larson Health Research Institute. I own the
2 intellectual property to several lactobacilli strains
3 including GR1 and RC14, which I will talk a little bit about
4 today.

5 I thank you for giving me the time. I felt like a
6 supervisor in a graduate-student exam desperate to say
7 something but couldn't. So I guess I now get my ten¹
a minutes.

9 [Slide.]

10 The first question I am going to ask is why are we
11 here? I think we need to be reminded of some of these
12 numbers. The Burden of Disease World Health Organization
13 '99 figures, leading cause of disease; cardiovascular,
14 31 percent, infectious diseases, number two of which
15 diarrheal is 2.2 million people died because of that.

16 I would have to say that countries such as
17 Malaysia, India, China where large numbers of populations
18 are present, and Africa, would be responsible for most of
19 these, but that doesn't mean that, as a society, North
20 Americans shouldn't be trying to help. I think, through
21 probiotics, we can.

22 Diarrhea accounts for 2.5 percent of total health-
23 care costs in Brazil and antibiotics have no effect on 85 to
24 95 percent of pediatric cases. The work that I am
25 referencing today--I have included a whole bunch of

1 references because I like to **back it up with hard stats--the**
2 urogenital tract, which has been my primary interest and,
3 therefore, I make a very strong case for expanding the term
4 "probiotics."

5 We have been working on probiotics for the
6 urogenital tract for eighteen years and I think it is
7 important. The estimated bacterial vaginosis cases in the
8 U.S. is 10 million a year. This is associated with preterm
9 labor, increased risk of sexually transmitted diseases.
10 Antibiotic therapy for BV does not prevent onset of labor.

11 There are over 11.4 million cases in the U.S.,
12 again, of urinary-tract infections in 1997, 2 million cases
13 in the hospital get UTI and this is an annual cost of
14 \$2 billion per year to the U.S.

15 Vaginitis is a little bit more difficult to get
16 all the numbers, but 15 million has been estimated for yeast
17 vaginitis. So this is a huge problem.

18 [Slide.]

19 Is there an antibody apocalypse? Every day, in
20 the U.S., we give 190 million doses of antibiotics. We
21 estimate that there are 133 million doses prescribed to
22 outpatients each year. In Ontario, Canada, and maybe it is
23 typical in the U.S., 20 percent of all oral antibiotics are
24 given out for urinary-tract infections.

25 Is there an antibiotic resistance problem? In

1 Japan, multi-drug resistance in Staphylococci is now at
2 60 percent. There have, in fact, been strains of an
3 isolated that combine the MR assay and VRE properties,
4 potentially leading to a bug that we cannot cure.

5 The fluoroquinolones were seen as the big savior.
6 There is now over 30 percent resistance against E. coli in
7 Spain, 90 percent in Bolivia to trimethoprim
a sulfamethoxazole, and 99 percent resistance to tetracycline
9 in Trinidad.

10 [Slide.]

11 so antibiotics are no longer the gold standard.
12 you maybe can't see this, but the amazing thing to me is
13 that we give out antibiotics, and really we kind of don't
14 think about it.

15 I went to the Compendium of Pharmaceutical Agents.
16 These are the side effects of trimethoprim sulfamethoxazole,
17 one of the most common antibiotics. The first thing is
18 fatalities. That is not very good. And then there is
19 Stevens-Johnson syndrome, and hepatic necrosis and anemia
20 and, well, a lactating woman can get renal impairment and
21 actually causing renal failure in asymptomatic meningitis
22 and depression and shortness of breath.

23 Fluoroquinolones, some of them, have killed people
24 but there are also some serious fatal reactions. There is
25 hypertension. There is vaginitis, but don't worry about it;

1 we can cure that. There is kidney failure, joint pain,
2 anemia, Stevens-Johnson syndrome.

3 And then there is amoxicillin which gives
4 superinfection with fungi. So here is a case where we could
5 actually claim on the antibiotic label, "Causes yeast
6 infections," and yet we are having trouble saying probiotics
7 don't? so maybe if we can't say yeast infections, maybe we
8 could say, "Probiotics stop the itching," and get around the
9 FDA's silly terminology.

10 [Slide.]

11 The percent for probiotics--I have said 16
12 million. I think Mary Ellen is correct. It is 24 million,
13 but there are lots of examples and I am not going to repeat
14 what has been said today. Brazilians consume 120,000 tons
15 of fermented milk per year. The French; it is 100,000
16 kilograms per year. This is a \$400 million market for those
17 of you who like numbers.

18 Consumption of fermented milk is highest in
19 Finland, 36.4 kilograms per person per year. Sweden,
20 Germany, UK; lots of people take it. These products are
21 going to come on the North American market and I would
22 argue, again, that there are no side effects.

23 Interestingly, one of the future areas, and that
24 is why I kind of went back to cardiovascular, over
25 125 million Europeans have high cholesterol. If probiotics

1 has a role there, it could be kind of exciting.

2 [Slide.]

3 I am not going to go over the ones like Naidu, et
4 cetera, because they have been mentioned, but we have done
5 studies with three strains, rhamnosis GR1, B54 and RC4 or
6 fermenturn strains with over 100 patients with no adverse
7 effects, and certainly no yeast infections.

8 I am going to describe to you wound infections in
9 a minute which is a very exciting new area that we have
10 discovered that probiotics can be applied to, once again
11 expanding your area.

12 [Slide.]

13 Wound infections in the U.S.; you spend
14 \$200 billion a year on managing wound infections. So if
15 probiotics can have an effect, that is exciting. Then there
16 are other papers on newborns and in children, newborns
17 particularly. Reducing the rate of necrotizing
18 enterocolitis in premature babies is potentially very
19 exciting.

20 I was at an antibiotic conference in Atlanta where
21 all they talked about was antibiotics. In fact, the first
22 thing they give a newborn is gentamicin-ampicillin. I
23 suggested probiotics and he looked at me as I have had three
24 heads. Clearly, we need to educate some of the physicians
25 that this is a potential option.

1 [Slide.]

2 This is a wound-infection study that we did. This
3 is Staph aureus. When you put it under the skin of a rat
4 for four days, you get horrendous sepsis. This strain, GR1,
5 didn't make any impact on this at all. It was blood and
6 awful. It was pretty terrible.

7 When you put under bovine serum albumin, you still
8 get this horrendous sepsis, wound infection. But when you
9 put under RC14, we get absolutely no infection, which is
10 kind of remarkable and we have since isolated a
11 biosurfactant from that strain which also gave no infection
12 and then, subsequently, isolated a collagen-binding protein
13 which also gave us no infection.

14 So, again, I think the potential applications of
15 some of these strains, given that diabetic patients,
16 basically, get their legs amputated and other limbs
17 amputated when they have severe wound infections, let's not
18 hold it to the gut.

19 [Slide.]

20 Evidence for probiotic colonization; the probiotic
21 bugs colonize the gut. We have seen and given good examples
22 of Gerald Tannock's work. I think there are, now, enough
23 studies with these strains and we have talked about it
24 today, so I am not going to repeat that.

25 [Slide.]

1 We have concentrated on the vagina. Do probiotic
2 organisms colonize the vagina? I think the answer is yes.
3 We have shown clearly that GR1 does for at least seven
4 weeks. We did not follow it longer. We have also shown
5 that RC14 does.

6 Not only that, we have shown that RC14 produces
7 the P29 protein on the vaginal epithelial cell. Thirty-
8 three patients who received this therapy for a year did not
9 experience a yeast infection nor did fifty-five patients who
10 received therapy for one month. This is equivalent to
11 thirty-nine patient years without yeast.

12 The expected yeast prevalence in recurrent UTI
13 patients is two to four per year, or 264 expected, which is
14 remarkable.

15 There is another strain which Sean Hillyer is
16 working on, CTV05, which FDA and HPB are probably aware of.
17 It has been shown to colonize and persist in the vagina and
18 it looks like it has got a chance of reducing the risk of
19 BV.

20 [Slide. 1

21 I have said for quite a long time we need to have
22 a scientific basis for probiotics. These are some of their
23 characteristics. I would have to agree that adhesion,
24 itself, is not necessarily important but we have shown that
25 in vitro adhesion actually can correlate with in vivo

1 colonization levels. I can happily show you the data.

2 I think it is important, though, if adhesion is
3 followed by exclusion of pathogens, and we have, again,
4 shown that persistence to multiply production of
5 antimicrobial products like hydrogen peroxide. But the
6 problem with hydrogen peroxide is that spermicide kills it,
7 the bugs that produce it. So you can't just simply go with
8 that.

9 It should be antagonistic to the growth of
10 pathogens, able to resist vaginal microbicides. There is no
11 point in putting in a lacto when someone is using an
12 anoxinol 9 that will probably get rid of it. It should be
13 safe. And it should form a balanced flora. To the best of
14 our knowledge, a balanced flora in the vagina is one that is
15 dominated by lactobacilli so we are a little bit clearer on
16 that than on people in the gut.

17 So then I raise the question, should we have a
18 claim that states something like, "Well, lactobacilli
19 strain," in our case, these two but it could be any one,
20 "reduces the ability of pathogens to adhere and grow." We
21 have to think about that. There are arguments for and
22 against.

23 A claim should not, in my opinion, be done by
24 association with work on other strains. If you go to the
25 website of people who are producing products with

1 probiotics, it is classically filled with reference to the
2 literature. The literature has nothing to do with the
3 strains that they have in their product.

4 [Slide.]

5 I think this is the last slide. Studies should
6 be able to show that the use of specific probiotic strains
7 make an impact in the incidence of infection. So, for
8 a example, I will give you, again, our work in UTI. The
9 recurrence rate for women not receiving antibiotics is 2.6
10 per patient per year. This is Walter Stamm, a very well-
11 known figure in Seattle.

12 However, the risk of recurrence rises up to 5.5-
13 fold in women with a history of UTI. The recurrence rate in
14 UTI women who also get trimethoprim sulfamethoxazole--this
15 is an antibiotic that is given every day for up to five
16 years--imagine. They still get 2.3 per patient
17 breakthroughs.

18 When we gave lactobacilli in a combination of
19 vaginal therapy once a week, the infection rate, we then
20 found, after a year, was 1.6 per patient per year.
21 Lactobacilli, three strains, all proven by molecular typing
22 to colonize the vagina and by Nugent score, which is very
23 well regarded, restore the flora to normal.

24 Also, there is a correlation between lactobacilli
25 presence, fewer recurrences of UTI; therefore, surely, there

1 is proof of persistence of vaginal lactobacilli after
2 probiotic therapy and therefore should we not allow--I know
3 there is not going to be a decision made on this today; I am
4 just challenging you, I guess--the use of lactobacilli
5 strains, et cetera, is safe and can help restore the vaginal
6 flora and reduce the ability of pathogens to adhere, grow
7 and infect--I know there is that awful word "infect--
8 "thereby helping to maintain the health of the host."

9 Thank you again for your time.

10 DR. BENEDICT: Thank you.

11 Next we have Dr. John Vanderhoof who is in the
12 house who also uses the analog method of presentation.

13 DR. VANDERHOOF: Thank you very much. I am also
14 going to go low-tech here today.

15 [Slide.]

16 This is the "who I am and why am I here" slide. I
17 am John Vanderhoof. I am a pediatric gastroenterologist. I
18 run the Pediatric GI Section at the University of Nebraska
19 and Creighton University since 1976. About four years ago,
20 I received a call from some people at ConAgra, which is a
21 large food company in Omaha, and they said, "Dr. Vanderhoof,
22 your name was given to us by somebody in New York as
23 somebody who might be able to tell us about probiotics. Do
24 you know anything about that?"

25 And I said, "Yeah; they don't work."

1 Subsequently, they said, "Would you review some stuff for
2 us?" And I reviewed a bunch of material for them and told
3 them that, in my opinion, they ought to try to get hold of
4 something called Lactobacillus GG. I didn't know very much
5 about it, but, from reading the literature, it seemed to do
6 something.

7 That was my introduction to probiotics. It was
8 also my introduction to ConAgra and that has ended up
9 resulting in a number of clinical and basic studies and a
10 fruitful collaboration with these people. They have even
11 given me a title. They funded the work that David Mack did
12 that was in my laboratory that was presented by Dr. Gaskins
13 and some of the studies that I want to show you today.

14 When I initially met with them, they asked me
15 several questions. These are some of them, and they are
16 some of them I want to pose to you. What are the potential
17 uses of probiotics? What kind of basic and clinical
18 investigations should be done prior to marketing probiotics?
19 How should clinical data be used to direct probiotic use?
20 What is needed to make health-related claims about
21 probiotics? At what point do the claims about probiotics
22 become meaningless and misleading to the public?

23 I couldn't answer any of these questions. And I
24 still can't answer them, but I have an opinion on them. I
25 think it is the same opinion that the members of my GI

1 section, and also the people at ConAgra might share. So
2 that is what I want to tell you about today.

3 [Slide.]

4 Lactobacillus GG is the organism that we have
5 worked with the most. We have worked with a few others.
6 This was developed by Gorbach and Goldin at Tufts or, if you
7 talk to Barry Goldin, it was discovered by Goldin and
8 Gorbach, and, hence, the name GG, a human organism. It is
9 probably the most clinically studied probiotic that there
10 is.

11 [Slide.]

12 I think we have adequately demonstrated efficacy
13 in the following conditions. Viral diarrhea, antibiotic-
14 associated diarrhea, relapsing C. difficile--maybe we have a
15 little bit more work to do there--and traveler's diarrhea.
16 By demonstrating efficacy, what I mean is we did double-
17 blind placebo-controlled studies.

18 If you don't do double-blind placebo-controlled
19 studies in humans to establish efficacy about probiotics, I
20 think you are wasting your time and I think these studies
21 need to be published and they need to be published in
22 refereed medical journals where everybody can read them and
23 where they undergo the scrutiny of the review process.

24 Until that happens, I don't think we ought to be
25 making claims about things.

1 [Slide.]

2 A lot has been said about colonization and a lot
3 of the things that I see about probiotics are based on,
4 "Well, this one colonizes better than that one and so forth
5 and so forth." So what? I don't know that we know that
6 this is an important thing. It seems to be, but it, in and
7 of itself, I don't think it tells us that--at least tells
8 me, as a physician--that that probiotic is going to be
9 useful.

10 Perhaps the most well-established indication for
11 the use, at least of Lactobacillus GG, is in viral diarrhea.
12 This was a study by Erica Isolauri in Finland where she
13 showed that GG in either a milk or a powder reduced the
14 diarrheal days of viral diarrhea in children relative to a
15 placebo.

16 One thing I think that is always important, as
17 honest as all physicians are, it is nice to have something
18 done by groups working totally independently. And that is
19 why I think another feature of this is you ought to have at
20 least two studies that show the same thing.

21 [Slide.]

22 Here was a multicenter study in Europe done by the
23 European Society for Pediatric Gastroenterology and
24 Nutrition that basically showed the same thing. If you
25 looked at the viral diarrhea, specifically rotovirus-

1 positive diarrheas, although the effect wasn't dramatic, the
2 drop in diarrheal days was still there.

3 Interestingly enough, it doesn't seem to work in
4 bacterial diarrheas. There have been about four studies now
5 that have all shown the same thing with Lactobacillus GG.
6 It, interestingly, works in viral diarrheas. It does not
7 work in bacterial diarrheas.

8 [Slide.]

9 What about prevention of diarrhea? Here is a
10 study that was done in Peru in toddlers, some of them
11 breastfed and some of them not breastfed. In the non-
12 breastfed group, Lactobacillus GG significantly, although
13 modestly, reduced the incidence of diarrhea.

14 A similar study in a large number of children in a
15 day-care center was done by us in association with an
16 investigator in Brazil and basically showed the same thing.
17 So I think we have at least two studies here but only one of
18 them is published. I think that they both need to be.

19 [Slide.]

20 Here is another example. Children get diarrhea on
21 antibiotics and children, as you know, and as we just heard,
22 get antibiotics for almost everything, unfortunately, in
23 this country. We took 200 children who got antibiotics and
24 gave half of them GG and half of them placebo. The
25 percentage of the children that got loose stools on the

1 placebo group was almost 50 percent, and it dropped by about
2 three-quarters with Lactobacillus GG.

3 We published this in the Journal of Pediatrics.
4 Fortunately, at about the same month in the journal,
5 Pediatrics, was a paper from Finland showing an identical
6 percentage reduction in diarrhea on antibiotics. So, again,
7 two published studies in refereed journals, double-blind,
8 placebo-controlled studies, demonstrating clinical efficacy
9 in that particular area.

10 [Slide.]

11 Preliminary data suggesting that, after the first
12 relapse of Clostridium difficile diarrhea in adults--this
13 being done at Cornell University Medical Center by Mark
14 Pochapin--demonstrated a significant reduction in relapse
15 rates. The chronic recurrent relapsers, it didn't seem to
16 work, but further data suggest that more prolonged
17 administration of Lactobacillus GG greater than the two
18 weeks that we did it in this first study does, in fact,
19 work.

20 This study is under way. It is not published.
21 This is abstracted and presented in a meeting but since it
22 is not published in a refereed journal, I think it doesn't
23 really count toward what I think needs to be done in order
24 to say what these probiotics really do in that case.

25 Lactobacillus GG and traveler's diarrhea. Next

1 week, I go to Brazil. I can promise you I will have my
2 Lactobacillus GG with me. Protection rate from people going
3 from Long Island to Mexico, published by Dr. Hilton back in
4 1996, of about 47 percent. Again, the corresponding double-
5 blind, out of Finland, Fins going to Turkey, showing a
6 comparable reduction in the risk of traveler's diarrhea.

7 So I think, in these areas, we have been able to
8 clinically demonstrate by the use of double-blind, placebo-
9 controlled, studies that this agent is effective.

10 [Slide.]

11 Here is another example of something that you are
12 commonly seeing; it enhances your immunity. "You ought to
13 take this because it enhances your immunity." Everybody
14 puts this on the box. But we did this with Lactobacillus
15 GG. We gave a bunch of adults typhoid vaccine. This was
16 done by Larry June at Creighton University.

17 They were either placed before they were
18 vaccinated on GG or placebo and he drew antibody levels on
19 them. These were IgG antibodies to typhoid. You can see
20 that the GG group got higher antibody titers. Does this
21 mean that they are less likely to get an infection? I don't
22 think it does. Maybe it does, but we didn't study that.

23 I don't think we could make any claims about that.
24 I think when people read this on the package, they say, "I
25 am not going to get a cold if I take this stuff." In fact,

1 this is all we did.

2 [Slide.]

3 Here is another study. Severe lung infections in
4 cystic-fibrosis patients in Naples, Italy done by a very
5 respectable investigator, Dr. Guarino and colleagues,
6 showing about a 50 percent reduction in lung infections.
7 **Now**, that gets my attention. That, to me, suggest that it
8 does have some protective effect.

9 It is so astounding that it needs to be repeated
10 and we are in the process of trying to repeat it at an
11 American medical school at this time. But if you had two of
12 these in the literature suggesting that that happened, that
13 would tell you, yes, people should maybe take that. Maybe
14 it won't make them sick. Maybe it is worth doing

15 I think that is something that you can
16 substantiate.

17 [Slide.]

18 What about safety? I think lactobacillus has got
19 about as much data on safety as you can have. With clinical
20 trials involving over 5,000 patients, no untoward effects
21 have been recorded. There has also been a major survey done
22 in Finland where the stuff is consumed in large quantities
23 because that is where ConAgra gets it and it is sold in
24 large quantities in Finland and there have been no reported
25 cases of lactobacillus infection until recently.

1 [Slide. 1

2 And then one case pops up.

3 I think this is a diabetic lady who developed a
4 liver abscess and was treated and got well, and the strain
5 was indistinguishable from Lactobacillus GG. What does this
6 case point out? There are tons of cases of lactobacillus
7 bacterial infections in the literature, and you have to
8 remember that anything that gets into the bowel can get into
9 the blood stream, and anything that gets into the blood
10 stream and infect you.

11 It doesn't matter what probiotic you take, no
12 bacteria is totally innocuous and they all can cause an
13 infection. As these things become more commonly used, you
14 will see more and more of these. Does it mean they are
15 dangerous? No. Quite likely, this lady would have gotten
16 infected with another strain of lactobacillus that the GG
17 replaced.

18 But you are going to see these and I think that we
19 are going to have to find a way to deal with these kinds of
20 reports as they come up.

21 [Slide.]

22 So, in answer to the questions that I posed, I
23 would like to give you my opinion. These are my opinions
24 only. I think most of the people at ConAgra would probably
25 agree with this since they funded a lot of this research.

1 But, nonetheless, first of all, I think we can say that
' 2 probiotics are most useful in intestinal disorders, but they
3 may have other benefits.

4 We don't know that yet. The studies haven't
5 really been done. The second thing is that double-blind,
6 placebo-controlled, studies published in peer-review medical
7 journals, in my opinion, should be directing the use of
8 probiotics and not little deflections of laboratory values
9 in one way or another or studies that were done in rats or
10 mice.

11 This is the endpoint that we ought to be using as
12 physicians to determine whether or not these things should
13 be used, just like we would an antibiotic or a cancer drug
14 or anything else.

15 The study should be species- and strain-specific.
16 These are very different. When comparative studies have
17 been done with probiotics, there have been big differences
18 on how well they work to do one thing versus another versus
19 another. I think you should know what strain you are
20 getting. You can't apply what was done with one probiotic
21 to another. The claims, based on these kinds of double-
22 blind, placebo-controlled, studies I think are probably--

23 DR. BENEDICT: Is this your last slide?

24 DR. VANDERHOOF: This is it. Finally, I think
2 5 that we should say that claims based upon demonstration of

1 colonization or changes in laboratory values, by themselves,
2 are meaningless and I think misleading to the public.

3 Thank you very much.

4 DR. BENEDICT: Thank you.

5 We have come to the end of Day 1. What we will do
6 tomorrow, as it says on your schedules, is we will have a
7 discussion and we will ask the invited speakers to join us
8 at the table. We will be provided with a more focused
9 charge but we also will deal with the questions that we were
10 provided with today.

11 What we would like to encourage you to do is
12 please don't check your intellect as you leave the room but,
13 in fact, think about how all of the things we have learned
14 today can be applied to what FDA needs to do over the next
15 period of years.

16 We want to try to think tomorrow about how all
17 this information can be made useful to FDA. I encourage you
18 to think creatively; to think about anything you can think
19 about and then we will have our discussions tomorrow. I
20 think all the speakers were very illuminating presentations.

21 Ms. DeRoever, do you have anything else to add?

22 Apparently not. So we stand adjourned until 8:30 sharp.

23 [Whereupon, at 5:05 p.m., the meeting was
24 recessed, to be resumed at 8 o'clock a.m., Wednesday,
25 September 27, 2000.1

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a solid horizontal line.

ALICE TOIGO